

CENTERS FOR MEDICARE AND MEDICAID SERVICES

**Medicare Carrier Training Conference Call:**

***2005 Drug Administration Coding Revisions (CR 3631)  
and  
Chemotherapy Demonstration Project (CR 3670)***

+ + +

Thursday  
February 3, 2005

+ + +

**PARTICIPANTS:**

**Call Leader**

**Hazeline Roulac**, Provider Communications Group, Division of  
Provider Information, Planning, and Development

**CMS Representatives / Presenters**

**Karen Allen**, Division of Standard Systems Maintenance

**Rene Coleman**, Provider Communications Group

**Justin Giwa**, Provider Communications Group

**Kathy Kersell**, Division of Practitioner Claims Processing,  
Provider Billing Group

**Jim Menas**, Division of Practitioner Services

**Susan Myers**, Division of Practitioner Claims Processing,  
Provider Billing Group

**Robin Phillips**, Provider Communications Group

**Steve Phillips**, Division of Practitioner Services,  
Hospital and Ambulatory Policy Group

**Catherine (Kit) Scally**, Division of Practitioner Services

## CONTENTS

	<u>Page No.</u>
Opening Remarks <i>Hazeline Roulac</i> .....	4
Introduction of CMS Participants .....	5
Presentation: 2005 Drug Administration Coding Revisions and the Chemotherapy Demonstration Project <i>Steve Phillips</i> .....	6
<i>Jim Menas</i> .....	23
Question-and-Answer Session .....	31
Adjournment .....	63



1 Steve Phillips on the specific issues covered in CR 3631  
2 and 3670, including an overview of the new codes and a  
3 discussion of the demonstration project. In addition,  
4 Jim Menas will review some of the most frequently asked  
5 questions concerning the subject matter. At the end of  
6 the presentation, we will open the call for questions.

7 A PowerPoint slide presentation has been  
8 prepared for today's call and was posted to the Medlearn  
9 Contractor Training for CMS Initiatives Web page for you  
10 to download prior to the call so that you can follow  
11 along with the speakers. The presentation is located at  
12 [www.cms.hhs.gov/medlearn/cmsinit.asp](http://www.cms.hhs.gov/medlearn/cmsinit.asp).

13 This training session, again, is being recorded  
14 and transcribed. A copy of the transcript will be posted  
15 to the Contractor Training website within approximately  
16 two weeks from today.

17 Before we begin, I would like everyone here at  
18 Central Office to go around the room, introduce yourself,  
19 and identify your division or group you're in.

20 MS. COLEMAN: Hello. I'm Rene Coleman. I am  
21 with the Provider Communications Group in the Division of

1 Contractor Oversight.

2 MS. KERSELL: Hello. This is Kathy Kersell.  
3 I'm in the Division of Practitioner Claims Processing,  
4 part of the Provider Billing Group.

5 MS. MYERS: This is Susan Myers. I'm also in  
6 the Division of Practitioner Claims Processing in the  
7 Provider Billing Group.

8 MS. SCALLY: Hi. This is Kit Scally, and I  
9 work with the Division of Practitioner Services.

10 MR. MENAS: Hi. I'm Jim Menas. I work in the  
11 Division of Practitioner Services.

12 MR. PHILLIPS: Hi. I'm Steve Phillips, also in  
13 the Division of Practitioner Services, within the  
14 Hospital and Ambulatory Policy Group.

15 MS. ALLEN: Karen Allen, Division of Standard  
16 Systems Maintenance.

17 MR. GIWA: Justin Giwa from the Provider  
18 Communications Group.

19 MS. PHILLIPS: Robin Phillips with the Provider  
20 Communications Group.

21 MS. ROULAC: Okay, great. Thank you.

1           At this time, we will have Steve Phillips begin  
2 the training session.

3       **Presentation: 2005 Drug Administration Coding Revisions**  
4           **and the Chemotherapy Demonstration Project**

5           **Steve Phillips and Jim Menas**

6           [PowerPoint presentation.]

7           MR. PHILLIPS: Thanks, Hazeline. I must start  
8 off just thanking the Provider Communications Group for  
9 setting this up on short notice, and everyone on the call  
10 for being available to discuss this today, again on short  
11 notice.

12           The topic is the changes to the Drug  
13 Administration policy. That is an important policy, and  
14 we wanted to just take the time today, one, in response  
15 to some concerns that we've been hearing from our  
16 oncology community but also just to make sure everyone is  
17 on the same page as far as going forward and implementing  
18 that.

19           So as Hazeline indicated, basically what I'm  
20 going to do is just go through the slides that are posted  
21 to the website at the address just given. As far as the

1 prepared remarks on the slides, I'll really just kind of  
2 go through the main points of the instructions that were  
3 issued in December, first, with the Drug Administration  
4 and then, second, with the Chemotherapy Demonstration  
5 Project.

6 Then we have a couple of questions and answers  
7 that Jim Menas will go through based on issues that have  
8 come up since publication of the instructions, and then,  
9 as indicated earlier, we'll open it up for questions.

10 You will see, and basically I just wanted to  
11 note, that a lot of the information here, the American  
12 Society of Clinical Oncology has actually been working  
13 and having conferences with its members. We just wanted  
14 to acknowledge that they were good enough to share some  
15 slides they had already put together which helped to  
16 formulate this. Of course, that was all based on our  
17 instructions, but we just wanted to acknowledge their  
18 input.

19 So then, moving on to Slide No. 4, Drug  
20 Administration Payment Policy and Coding, this just gets  
21 at the reasons why all of this is happening. The

1 Medicare Modernization Act required CMS to promptly  
2 evaluate the existing drug administration codes to ensure  
3 that they accurately reflect physician resources involved  
4 in providing the services.

5           Of course, that is linked to the change in drug  
6 payments from the average wholesale price to the average  
7 sales price and the resulting reductions on the drug  
8 payment side, which for oncologists have been -- the  
9 Inspector General's Office and the Government  
10 Accountability Office, I guess it is now, have issued  
11 reports in the past basically indicating that oncologists  
12 have essentially been really supplementing their drug  
13 administration costs through excess payments on the drug  
14 side.

15           So the MMA mandate was in recognition of that  
16 fact and recognizing that reductions to the drug payments  
17 would necessitate some adjustments on the drug  
18 administration side.

19           The second bullet here is, another part of that  
20 requirement was to use existing processes and consult  
21 with the physician specialties affected by the provision

1 that provides drug administration services. So  
2 essentially, referencing the existing processes, on the  
3 next slide, we referred to the AMA process for updating  
4 and creating new CPT codes, but we worked with the AMA,  
5 CPT Editorial Panel, and the Drug Administration  
6 Workgroup. The workgroup and the panel approved new CPT  
7 codes for drug administration in August of last year, and  
8 the RUC met in September and approved or assigned new  
9 values to those new codes.

10 Because of the timing of that process, there  
11 was not time remaining to have those new codes into the  
12 CPT book for 2005. They are targeted to become effective  
13 in January of 2006. Nevertheless, in response to the AMA  
14 mandate, CMS established new G codes for 2005, and these  
15 correspond to the new CPT codes. The new G codes will  
16 only be in place for 2005, and then we will switch over  
17 to the CPT codes.

18 The last bullet there on Slide 5 just indicates  
19 that at the same time the MMA required a transition  
20 payment increase in 2004 of 32 percent for drug  
21 administration services, and then that drops down to 3

1 percent in 2005, and then it goes away in 2006. So kind  
2 of a tapering off to some additional revenue source to  
3 help ease the transition to the reduced drug payments.

4 In Slide 6, we just get into the design of the  
5 new codes, and I will refer the rest of the way to the G  
6 codes. But again, these just reflect the changes to the  
7 CPT codes that will be in place next year.

8 This Slide 6 indicates there are three  
9 categories of new codes: first, infusion for hydration;  
10 and then the second, non-chemotherapy therapeutic and  
11 diagnostic injections and infusions; and then the third  
12 is chemotherapy administration.

13 One important aspect of the new codes is the  
14 CPT redefines or actually introduces a broader definition  
15 of chemotherapy administration. We will get into that a  
16 little bit in a later slide.

17 Slide 7. Well, actually, here it is. As I  
18 said, the CPT expanded the definition of chemotherapy,  
19 and this slide indicates under the new codes chemotherapy  
20 administration is applied to non-radionuclide anti-  
21 neoplastic drugs. I will just leave it to you to read

1 this slide. You can probably read it better than I can  
2 as far as the drugs themselves.

3           This is an issue that I will just say, I know  
4 that there is a medical directors' call next Tuesday. I  
5 think this is one of the issues on the agenda. So we  
6 will get into it more at that point, but, you know, the  
7 Change Request 3631 did give a couple of examples of  
8 drugs that are now defined as chemotherapy just to  
9 establish some guidelines.

10           Again, we will talk about, you know, how  
11 exactly we are approaching this at the call on Tuesday,  
12 but, you know, essentially, we are relying on the medical  
13 directors for a lot of these determinations at this  
14 point, based on the general guidance under the new CPT  
15 definition, to designate which drugs are chemotherapy and  
16 which are not. So the slides here are really just to try  
17 to broaden our definitions we use to make those  
18 determinations.

19           Slide 8, more changes. Infusion of substances  
20 such as monoclonal antibody agents or other biologic  
21 response modifiers is reported under the chemotherapy

1 administration code, so these are, again, getting more  
2 into the definition of what is chemotherapy.

3 Administration of the anti-anemia drugs and anti-emetics  
4 by injection or infusion for cancer patients is not  
5 considered chemotherapy.

6 Slide 9 is some additional changes. There are  
7 new codes in both chemotherapy and non-chemotherapy  
8 sections for reporting the additional sequential infusion  
9 of different substances or drugs. This is a change that  
10 is dealt with and is found in Change Request 3631.

11 Basically, the new CPT and G codes provide for one  
12 initial infusion to be coded, and then subsequent  
13 infusions or injections or courses are coded using the  
14 sequential or subsequent codes, which we will get into in  
15 a minute.

16 Another important point here on this Slide 9 is  
17 that injection services are now separately paid even if  
18 another physician fee schedule service is billed for the  
19 same patient that day. This was implemented through a  
20 change in the status indicator on the fee schedule  
21 database.

1 Starting on Slide 10, we get into some of the  
2 specific new codes, and the left-hand column is the  
3 predecessor CPT code. Some of these are one-to-one  
4 crosswalks. Some of them, there may be some variation in  
5 terms of predecessor codes. They may have more than one  
6 predecessor. In some cases the predecessor code may not  
7 go to more than one G code, and in some cases there is  
8 really no predecessor code at all.

9 There is a similar table, again, in CR 3631,  
10 and this is just to help kind of tie it back to prior  
11 practice.

12 We have been getting a lot of questions, very  
13 specific coding questions, and Jim has been handling  
14 those. We can maybe get into some of that in the Qs &  
15 As, as well as a couple of the prepared questions and  
16 responses may deal with some of those issues as well.

17 Slide 11 deals with more of the new codes, the  
18 non-chemotherapy injections and infusions, again showing  
19 the prior CPT code and then the new G code and a short  
20 descriptor.

21 Slide 12 shows the new injection and infusion

1 codes: G0351, -353, and -354. It shows G0354 is  
2 actually a new code definition for each additional  
3 sequential IV course with no predecessor CPT code. So  
4 that is an example of the change CPT made to break out a  
5 service separately.

6 Slide 13 has the chemotherapy administration  
7 codes. Here you see G0357, for example, is the code for  
8 the initial infusion. It would be coded using that code,  
9 and then each additional substance or drug after the  
10 initial would be coded. For example, here you can see  
11 G0358.

12 Slide 14, again, are some additional  
13 chemotherapy administration services. I think people  
14 probably are pretty familiar with the new codes, so I  
15 just wanted to go through those quickly.

16 The next slide, 15, What is Initial Service?  
17 This, again, gets back to the change that was made where  
18 generally there was just one initial code that is used  
19 for the infusion on a particular day. If a combination  
20 of chemotherapy drugs, non-chemotherapy drugs, and/or  
21 hydration is administered by infusion, the initial code

1 that best describes the service should always be billed  
2 irrespective of the order.

3           Basically, what that means is if you have --  
4 well, I guess what it says, a combination of infusions,  
5 injections, pushes, chemo or non-chemo, that, you know,  
6 we would expect the primary service for which the patient  
7 came in to receive that day and the ancillary or  
8 supplemental services that are also provided, the initial  
9 should reflect, really, the primary service provided. As  
10 indicated in the second bullet, the additional codes are  
11 secondary to the initial code.

12           As I said, the general rule is that there is  
13 only one initial service. However, on the next slide,  
14 you see in the first bullet that an exception to that is  
15 where a protocol requires two separate IV sites to be  
16 utilized. Then two initial codes could be reported.

17           Also, if a patient has to come back for a  
18 separate identifiable service on the same day, or has two  
19 IV lines per protocol, these services are separately  
20 payable and reported with modifier -76.

21           On to Slide 17. This is an issue that we have

1 had some questions about. Following the definition of  
2 CPT, this essentially is the language right out of the  
3 CPT instructions. Intravenous or intra-arterial push is  
4 defined as an injection or infusion of short duration,  
5 i.e., 30 minutes or less, in which the healthcare  
6 professional who administers the substance or drug is  
7 continuously present to administer the injection and  
8 observe the patient. So that is, you know, also carried  
9 over into the CR 3631 as far as the definition of how to  
10 code that service.

11 Slide 18. This just gets into some of the new  
12 codes that are intended to recognize additional work and  
13 practice expense in the provision of multiple drugs. You  
14 see here on the third bullet this list of the add-on  
15 codes that would be subsequent to initial infusion  
16 chemotherapy.

17 Slide 19. Again, this is just identifying a  
18 specific code for additional sequential infusions, up to  
19 an hour. For example, if you administer three  
20 chemotherapy drugs, you report one initial code and two  
21 additional sequential codes. So that is, you know, first

1 hour, then second hour additional infusion, would result  
2 in two additional codes.

3           Slide 20, Injections and Infusions for non-  
4 chemotherapy, other than hydration. Here, G0354 is used  
5 to report each additional sequential IV push for non-  
6 chemotherapy drugs. It is possible that a non-  
7 chemotherapy drug-administered IV push may follow the  
8 administration of a chemotherapy drug. In that case,  
9 G0357 would be reported as the initial, and G0354. Even  
10 though it is a combination of chemo and non-chemo, the  
11 non-chemo sequential drug would be here, and the chemo  
12 drug would be the initial drug reported.

13           There are also changes to the Port Flush codes.  
14 G0363 now is used to report irrigation of an implanted  
15 venous access device. Medicare will pay for this code if  
16 it is the only service provided that day. If there is a  
17 visit or other drug administration service provided on  
18 the same day, the payment is funneled into the payment  
19 for the other service.

20           There are some drug administration codes that  
21 are not changing in 2005 or 2006 as well. Listed here on

1 these pages, 90783, 90788. Also, 96405 and 96406.

2 Basically, CPT will not change those codes.

3 Slide 23 has a list of additional codes that  
4 are not changing. So these will still be accurate. And  
5 then Slide 24 also lists some of the codes related to  
6 refilling and maintenance of portable pumps.

7 That is essentially the presentation on the  
8 drug administration codes. As I'm sure you all have had  
9 as well, we have had questions that have come in for  
10 further clarification on issues as people begin to  
11 operate under these instructions out in the field. So we  
12 will cover some of those in the Qs & As and then in our  
13 prepared Qs & As and then open it up for your specific  
14 questions.

15 I wanted to then go through Slide 25. It  
16 begins with the discussion of the Chemotherapy  
17 Demonstration Project. This project is designed to  
18 identify and assess certain oncology services in an  
19 office-based oncology practice having a positive effect  
20 on the outcomes in the Medicare population. It is a one-  
21 year demonstration project for 2005.

1           While we encourage optimal care of cancer  
2 patients, and all patients, based on input from cancer  
3 patients and meetings that we have had with organizations  
4 of cancer patients here at Central Office, working with  
5 our Office of Clinical Standards and Quality, we have  
6 identified three areas of concern that are most often  
7 cited by patients. The first is pain control management,  
8 second is minimization of nausea and vomiting, and the  
9 third is the reduction of fatigue.

10           So the demonstration project is designed to  
11 conceptually collect data on patients' data or symptom  
12 levels for those three areas and the data selected  
13 through the claims processing system, as you will see in  
14 a minute.

15           What do practitioners need to do to  
16 participate? They must provide and document specified  
17 measurements related to pain control management,  
18 minimization of nausea and vomiting, and reduction of  
19 fatigue. Basically, those are reported and measured  
20 using the specified G codes.

21           The assessment may be taken either by the

1 practitioner or by a qualified employee of the office  
2 under the supervision of the practitioner. If the  
3 assessment is performed by an employee, the expectation  
4 by CMS is that the practitioner will review the data as  
5 part of the patient assessment.

6 The responses of the patient should be recorded  
7 and included as part of the medical records.

8 Slide 27, How is the Assessment Performed?  
9 Patients will basically assess their symptoms using four  
10 standard patient assessment responses for each of the  
11 three symptom areas.

12 Although the answers seem somewhat simplistic,  
13 they are actually based on a scale that is out there and  
14 being used in the clinical world, the Rotterdam scale.  
15 It was chosen just, really, for the reason that they are  
16 easily understood by the patient and don't require, you  
17 know, a burdensome process for the practitioner to  
18 determine the appropriate level to report.

19 As was indicated, they should be documented in  
20 the medical report and submitted on the claim.

21 In order to bill for the encounter under the

1 demonstration project, a patient must have received  
2 chemotherapy through intravenous infusion or push, and  
3 billing under the demonstration is limited to once per  
4 day.

5           Participation is open to any office-based  
6 physician or non-physician practitioner operating within  
7 the State scope of the practice. They must be providing  
8 chemotherapy to oncology patients in an office setting.  
9 The CR 3670 that was issued December 30th indicated that  
10 payment under the demonstration is limited to  
11 chemotherapy care that is provided and billed under the  
12 physician fee schedule, effectively precluding outpatient  
13 department chemotherapy within a hospital outpatient  
14 department.

15           By billing the designated G codes, the  
16 practitioner self-enrolls in the project and agrees to  
17 all the terms and conditions of the demonstration  
18 project.

19           Slide 29 just graphically displays the 12 new G  
20 codes. As you see here, for example, in the second  
21 column, Nausea and/or Vomiting, G codes G9021 through

1 G9024 are used to report symptom levels of nausea and/or  
2 vomiting ranging from "not at all" for G9021 to "very  
3 much" for G9024, and similarly for pain and fatigue.

4 In the demonstration project, for each  
5 encounter reported with the chemotherapy administration  
6 where each of the patient status codes are reported on  
7 the claim, an additional \$130 per encounter. The payment  
8 requires that one code from each symptom category -- that  
9 is, one code reported on the level for pain, nausea and  
10 vomiting, and fatigue -- must be included on the claim or  
11 else the demonstration payment will be denied.

12 The CR basically just established the  
13 allowances for the various codes, which you see on Slide  
14 31. So since all three codes are required, they just  
15 split the \$130 payment among the three sets of codes.

16 Services are paid on an assignment basis, and  
17 the usual Part B coinsurance and deductibles apply under  
18 this MO.

19 This is just going into detail. The codes  
20 should be recorded on the same claim and for the same  
21 date of service as either chemotherapy infusion or

1 chemotherapy push. The patient must have a cancer  
2 diagnosis; it is limited to those patients. And the  
3 place of service is Code 11 for an office. Only  
4 beneficiaries not enrolled in a Medicare managed care  
5 plan are included within the demonstration project.

6 Slide 33, then. We get some of the questions  
7 that Jim Menas will take you through. So that concludes  
8 the sort of run-through of 3631 and 3670. So these kind  
9 of follow up on issues that have come up. These  
10 questions and Jim's responses follow up on issues that  
11 have arisen since we put out those instructions.

12 **Presentation by Jim Menas**

13 [PowerPoint presentation.]

14 MR. MENAS: Thanks, Steve.

15 As Steve mentioned, the remaining slides are a  
16 hodgepodge of different questions somewhat from the  
17 perspective of the carriers or somewhat from the  
18 perspective of the oncologist. There is a range of  
19 issues in there, from specific codes that are selected,  
20 the policies for chemotherapy for last year or for this  
21 year, whether they continue to apply or not.

1           Also, not in this package as far as this  
2 presentation, but we have worked with ASCO. They have  
3 conducted a number of seminars for folks and they have  
4 submitted a number or series of different questions for  
5 us. We reviewed those and probably got two RAM of  
6 responses back to them.

7           What we intend to do in the next week or two is  
8 to compile those questions and those responses and put  
9 them on the CMS website, as well as, I guess, any  
10 questions that come up today. If they need  
11 clarification, we'll put those on the website, also.

12           Anyway, the first slide, the question there has  
13 to deal more with the coding structure. It is, "How are  
14 the 'each additional hour' and the 'additional sequential  
15 codes' different?"

16           As was mentioned earlier, you can see that  
17 there has been a pretty fundamental change in the coding  
18 structure for drug administration codes in 2005. There  
19 are three different levels of codes for chemotherapy and  
20 non-chemotherapy services.

21           What is the same as before is there is the

1 initial infusion code up to one hour. What is also the  
2 same as before is there is an add-on code for each  
3 additional hour that follows that first hour.

4           What is the difference in 2005 for both chemo  
5 and non-chemo is there are new codes for additional  
6 sequential drugs, the codes for the drugs that follow the  
7 first drug. In the past, sequential drugs would have  
8 been recorded using the additional hour codes. Now we  
9 have new codes that really reflect the additional work  
10 and the practice expenses that are associated with these  
11 kinds of services. Then there are the additional  
12 sequential codes to use for each drug provided after the  
13 first drug.

14           At the bottom of that slide, you will see a  
15 little there about how the "each additional hour" code is  
16 reported. It is used if a particular drug is infused for  
17 more than one hour and 30 minutes. An example of the way  
18 it works is, if you have an infusion of one drug that  
19 goes for an hour and 45 minutes, the provider would bill  
20 G0350, which would be the initial code for up to the  
21 first hour, and then G0360 would be the additional hour

1 add-on.

2           The next slide is use of the -25 modifier with  
3 E & M visits. This is from the perspective of the  
4 oncologist and whether they need to use the -25 modifier  
5 with E & M services conducted on the same day as  
6 chemotherapy. Of course, the -25 modifier is used for  
7 designated significant, separately identified evaluation  
8 and management service by the same physician on the same  
9 day of service of the procedure.

10           Last year, we addressed this in the follow-up  
11 physician fee schedule in the transmittal in the spring  
12 of 2004. Basically, the policy is that the -25 modifier  
13 has to be attached to the E & M service that actually is  
14 billed by the oncologist on the same day that  
15 chemotherapy is provided. This policy is Exception 30.5,  
16 Chapter 12 of Publication [inaudible.]

17           The next slide involves, "Can a level one  
18 office visit be billed on the same day as chemotherapy?"  
19 This is a policy that was put into effect last year.  
20 Basically, what happened last year was work was added to  
21 the drug administrations codes where some of those codes

1 had a zero work value. The [inaudible] was added with  
2 the work that was associated with 99211. So, in regards  
3 to that shift, the policy was to not recognize 99211 if  
4 it was billed the same day as the chemotherapy service.  
5 That policy stays in effect this year.

6 Also, there is a change [inaudible] that policy  
7 to the diagnostic or therapeutic injection codes. That  
8 comes about largely because the status indicator, the  
9 physician fee schedule for the diagnostic or therapeutic  
10 injection codes used to be [inaudible] now those codes  
11 have an eight [inaudible] level. So those codes are  
12 treated just like any other code under that fee schedule.

13 Slide 36 has to deal with reporting times for  
14 infusion codes. I believe that in the past CPT did not  
15 have a specific guideline in terms of the amount of time  
16 that had to be met to report the first hour or additional  
17 hour code. Beginning in 2005, there is a coding  
18 guideline for the amount of time that has to be  
19 associated with the subsequent hour code.

20 The instruction in Transmittal 129 is that it  
21 has to be basically later than 30 minutes beyond the one

1 hour increment. So the way that would work in that  
2 example -- this is one that I mentioned before -- you  
3 have an hour and 45 minutes of chemotherapy. You would  
4 be able to bill the additional hour code. Since you're  
5 more than 30 minutes beyond the hour, you are allowed to  
6 bill the additional hour code, G0360.

7           The next slide takes you through receiving  
8 hydration before, concurrent, and after chemotherapy. We  
9 are continuing the same policy this year as we have had  
10 for many years previously. Hydration can be paid before  
11 and after chemotherapy but not concurrent to  
12 chemotherapy. I believe in Transmittal 129 there was a  
13 mistake. There is an indication in there that  
14 potentially you could pay hydration that was concurrent  
15 to chemotherapy. So this is a clarification to make sure  
16 that you are aware that the same policy carries over from  
17 last year and that we pay for hydration both before and  
18 after chemotherapy.

19           The next slide deals with the appropriate  
20 modifiers to be used with hydration when it is provided  
21 with chemotherapy. Again, this is just a reminder that

1 we are continuing the same policy that we have always had  
2 and that is currently in Section [inaudible] of Chapter  
3 12, Publication [inaudible], that you would append a -59  
4 to the hydration code with chemotherapy.

5           The next slide deals with injections provided  
6 on the same day as other services. As I mentioned, in  
7 the past the diagnostic injection codes had a key status  
8 which indicated that they are payable [inaudible] billed  
9 and provided the service on that day. Those status  
10 indicators have been changed from T to A. There are new  
11 G codes that replace two of the diagnostic exemption  
12 codes. Beginning in 2005, the G replacement codes are  
13 going to be G0351 to G0354. They will be eligible for  
14 separate payment.

15           The next question is whether the new G codes  
16 for drug administration services, whether these are  
17 available for outpatient hospital services. It may not  
18 be pertinent to this audience, but the question has come  
19 up quite a bit. As you know, the G codes have a  
20 [inaudible.] These codes are not payable if provided in  
21 a hospital outpatient was used. The CPT code in 2005

1 [inaudible] would be billed to [inaudible] and those  
2 services would be paid on an outpatient system.

3 Moving on to the last slide, as I mentioned,  
4 the G codes parallel the CPT codes. They will be in  
5 effect for this year, and in 2006, they will be replaced  
6 with the new CPT codes.

7 That pretty much completes the frequently asked  
8 questions.

9 MS. ROULAC: Thank you very much, Jim. We  
10 appreciate the explanation that you've just shared with  
11 us.

12 We are going to prepare now to open the call  
13 for your questions. Because the call is being  
14 transcribed and recorded, when you ask your question,  
15 please identify yourself and your organization that you  
16 are with. We will allow plenty of opportunity to take as  
17 many questions as we can. We do ask that you limit your  
18 questions to one at a time.

19 We do have subject matter experts in the room  
20 who can answer your questions concerning coding, payment  
21 policy, claims processing, and systems.

1           If for some reason we are not able to answer  
2 your question at this particular point in time, maybe  
3 because we need to do a little more research, we ask that  
4 you would forward your question to the following mailbox.  
5 It is contractortraining@cms.hhs.gov. We will get your  
6 question to the correct expert and we will get it  
7 answered for you.

8           At this time, Operator, you may go ahead and  
9 open the phone up to questions.

10                           **Question-and-Answer Session**

11           THE OPERATOR: Thank you.

12           We will now begin the question-and-answer  
13 session. If you would like to ask a question, please  
14 press star-1. You will be prompted to record your name.  
15 To withdraw your request, you may press star-2.

16           Our first question comes from Christina  
17 Stelavides [ph]. Your line is open.

18           [No response.]

19           THE OPERATOR: Christina Stelavides, your line  
20 is open. Please check your mute button.

21           MS. STELAVIDES: Actually, that was answered.

1 I apologize.

2 MS. ROULAC: You can go to the next call.

3 THE OPERATOR: Our next question comes from  
4 Richard Whitten [ph].

5 MR. WHITTEN: Thank you. This is Dick Whitten,  
6 medical director for Noridian for Washington, Alaska, and  
7 Hawaii. I have a couple closely related questions.

8 You have made it clear that the difference  
9 between an infusion and an injection is the 30-minute  
10 timetable. I assume that what you mean by that is the  
11 protocol requirement of less than 30 minutes. Just the  
12 fact that the injection happens to go beyond 30 minutes  
13 does not turn an injection into an infusion. Is that  
14 correct?

15 CMS PARTICIPANT: You're saying that the  
16 injection itself is going more than 30 minutes?

17 MR. WHITTEN: Correct. [Inaudible] that  
18 protocol is given for less than 30 minutes but  
19 borderline. If it happens during the course of its  
20 administration to extend beyond 30 minutes, this does not  
21 change the nature of what we would call it. It would

1 still be intended to be and would remain an injection, is  
2 that correct?

3 CMS PARTICIPANT: That's my reading of it, yes.  
4 I mean, yes.

5 MR. WHITTEN: That was ours, too. Thank you.

6 Related to that, a push in the past was defined  
7 as something for which someone is continuously present.  
8 Since we are now allowing IV push to go out to 30 minutes  
9 and sometimes these things are hung for brief periods of  
10 time, and the only requirement typically for hung  
11 medicines was that someone was immediately available but  
12 didn't have to be continuously present, we would now  
13 accept that things up to 30 minutes may not necessarily  
14 have someone continuously present. Is that correct?

15 MR. PHILLIPS: Yes. This is Steve Phillips.  
16 That is correct. Short infusions where the -- our  
17 understanding is that this is an issue ASCO particularly  
18 has raised a lot of questions about and has indicated to  
19 us that they intend to raise this with the CPT Editorial  
20 Panel next week. You know, we recognized as well that  
21 because the definition of the code includes that it is a

1 push and that personnel is continuously present, it has  
2 created a lot of confusion.

3           So we are anxious to hear and to participate,  
4 since we are represented on the CPT panel, in that  
5 discussion for further clarification on that. But at  
6 this point, based on our understanding of the coding  
7 instructions, you're right. An infusion of 30 minutes  
8 would be coded as a push even if the personnel was not at  
9 the bedside the entire time.

10           MR. WHITTEN: Thank you.

11           If I could, with the push, you have been  
12 discussing separate medications have their own code now.  
13 For instance, a sequential IV or a sequential IV push or  
14 infusion. Those separate medications would apply only if  
15 they had separate hanging set-ups, would they not? You  
16 would not bill separately for Menital [ph], Benadryl,  
17 Lasix, et cetera, that were placed in the same bag, is  
18 that correct?

19           CMS PARTICIPANT: Are you talking about  
20 sequential or concurrent infusions?

21           MR. WHITTEN: In that case they would be

1 concurrent. There would only be one administration,  
2 correct? Even if they were placed in the same  
3 administration set, it would be one administration, is  
4 that correct?

5 CMS PARTICIPANT: We are getting questions in  
6 terms of -- I mean, there is this -- before, CPT never  
7 had a code for a concurrent infusion. This year there is  
8 a concurrent infusion code that is for maximum therapy.  
9 CPT guidelines, which were adopted by instruction, do not  
10 contain any type of additional guide to what constitutes  
11 a concurrent infusion.

12 We are pretty much [inaudible] those questions.  
13 It is the coders and the medical folks that decide how  
14 you want to code those because we [inaudible] providing  
15 more guidance.

16 MR. WHITTEN: We are hoping to get some further  
17 guidance next week. But basically, you're saying if it's  
18 in one bag, it's one infusion, right?

19 CMS PARTICIPANT: I think [inaudible] you know,  
20 when [inaudible] code from CPT [inaudible] we can see,  
21 you know, what the net for the typical patient is and

1 also for practice expense codes are assigned to that code  
2 in terms of the clinical labor, the medical supplies, and  
3 the medical equipment.

4 So my take on it would be the [inaudible] being  
5 billed for is consistent with the underlying practice  
6 expenses that are associated with that [inaudible.] I  
7 think that is the only kind of guidance that we would be  
8 able to provide.

9 MR. WHITTEN: Okay, thank you.

10 Heparin used to maintain the line is not a  
11 separate drug, correct?

12 CMS PARTICIPANT: [Inaudible.]

13 MR. WHITTEN: Correct. [Inaudible] yes.

14 CMS PARTICIPANT: You know, I would toss the  
15 question back, would you think that the new code, the  
16 G0363, does that describe that service?

17 MR. WHITTEN: It does when you apply a venous  
18 device, but it doesn't for just [inaudible] or something  
19 that is closed temporarily [inaudible.] So something  
20 used in the process of administering a drug or something  
21 of that nature which does not meet the criteria for G0363

1 would not be separately billable, correct?

2 CMS PARTICIPANT: Is that something [inaudible]  
3 policy on, or is that something -- I don't believe that's  
4 open to [inaudible.]

5 MR. WHITTEN: [Inaudible.] Okay. So we don't  
6 have a clear answer on that, but we'll see if we can get  
7 that from CPT.

8 One last thing. You've mentioned several times  
9 that normally we would have only one initial code, the  
10 exceptions being the protocol requires a separate one or  
11 that the patient returns later in the day. The return  
12 would be the return later in the day and at that time  
13 requiring a new line, correct? Someone who really  
14 returned an hour later but [inaudible] would not require  
15 a separate initial code. Is that correct or not?

16 CMS PARTICIPANT: Sir, we [inaudible] clinical  
17 folks here really [inaudible] particular guidance  
18 [inaudible] outside our group of expertise. So we will  
19 defer responding to that for now.

20 MR. WHITTEN: Okay, thank you. I just wondered  
21 if there was. Thank you very much.

1 MS. ROULAC: Thank you.

2 Next call.

3 THE OPERATOR: Linda Greenberg, your line is  
4 open.

5 MS. URES: This is actually Tammy Ures [ph]  
6 from Noridian. I had a question in regards to the G  
7 codes and not for hospitals. But does that apply also to  
8 critical access hospitals? Those are non-OPPS hospitals,  
9 and they were told that they could use the G codes  
10 because the 9 code -- none of them was deleted off of  
11 their outline. I was under the impression that a  
12 hospital outpatient was a hospital outpatient regardless  
13 of the type of hospital.

14 CMS PARTICIPANT: You say they were told to use  
15 the G codes?

16 MS. URES: Yes. It was going to be something  
17 that they could use. Not the demonstration codes but the  
18 administration codes.

19 CMS PARTICIPANT: Do you know who told them  
20 that?

21 MS. URES: They didn't tell us. We asked for

1 clarification from CMS and got a response back that  
2 critical access hospitals, non-OPPS hospitals -- using  
3 the G codes, and I just wanted to make sure -- I question  
4 that because the CR didn't seem to indicate that.

5 CMS PARTICIPANT: Right. Well, I know that  
6 critical access hospitals have different methods that  
7 they can bill for Part B services. I think, maybe,  
8 before we answer definitively on that, we should check  
9 with the people who deal directly with billing under  
10 CAHs. For example, they use Method 2 for the  
11 professional services that, you know, in fact would be a  
12 situation where they could use the G codes. I think that  
13 is one where we will have to follow up.

14 MS. URES: [Inaudible] Method 1 and Method 2,  
15 because Method 1 they bill out on a 1500 Form. They  
16 would get out all of the physician components. Maybe  
17 that was what the confusion was.

18 CMS PARTICIPANT: Right.

19 MS. URES: Method 2 would be all-inclusive on a  
20 UB92 [inaudible] physician component on the original  
21 claim. But if they are Method 1, then it would be a

1 separate physician service, that it would be done in an  
2 outpatient hospital setting.

3 CMS PARTICIPANT: Okay. Maybe, yes, Method 1  
4 could be the situation. But let us check on that and get  
5 clarification.

6 MS. URES: Thank you very much.

7 MS. ROULAC: Can you put that question in the  
8 Contractor Training e-mailbox?

9 MS. URES: Sure. I'd love to.

10 MS. ROULAC: Okay. Great. That would ease our  
11 responding to you.

12 Next question.

13 THE OPERATOR: Michelle Kelly, your line is  
14 open.

15 MS. KELLY: Thank you.

16 We received a question, or we have a lot of  
17 issues coming up on the demonstration codes where the  
18 providers are not referencing the cancer diagnosis. They  
19 are putting the cancer diagnosis on the claim but they  
20 are just not pointing to it. The requirements say that  
21 they must reference it. Would you consider changing that

1 so that they just have the diagnosis on the claim?

2 MS. ROULAC: Michelle, what organization are  
3 you with?

4 MS. KELLY: National Heritage.

5 MS. ROULAC: Thank you.

6 CMS PARTICIPANT: When you say that they are  
7 not pointing to it, they are referencing it, I guess,  
8 could you describe a little bit more, you know, what you  
9 mean?

10 MS. KELLY: From the 1500 Claim Form  
11 perspective, they put four diagnoses in Item 21. Then  
12 each line points to a diagnosis. In most cases, they are  
13 pointing to the nausea or the vomiting; they are not  
14 pointing to the cancer, so they are not actually  
15 referencing the cancer as the primary reason for these  
16 codes. And we have it set up to deny if they don't  
17 reference the cancer diagnosis, which causes a lot of  
18 reworks and a lot of questions because they do have the  
19 cancer diagnosis on the claim.

20 MS. MYERS: This is Susan Myers. We suggest  
21 that maybe you put that in writing and send that in to

1 Hazeline, and we'll have to do a bit more research on  
2 that.

3 MS. KELLY: Okay.

4 MS. ROULAC: Thank you, Michelle.

5 Next question.

6 THE OPERATOR: Carol Manilow [ph], you may ask  
7 your question.

8 [No response.]

9 THE OPERATOR: Carol Manilow from Medicare  
10 Arkansas, your line is open.

11 MS. LEDGE: This is Cheryl Ledge [ph] from  
12 Oklahoma and New Mexico Medicare. On the G0350, we are  
13 having some confusion on that concurrent administration.  
14 We need some clarification on that. Even our medical  
15 directors here are unable to agree on how we should use  
16 that code.

17 MR. MENAS: This is Jim Menas. I'm not sure we  
18 can give you a lot of guidance right now. We will  
19 probably have to, you know, work more at that. As I  
20 mentioned, that is a new code that CPT adopted. It  
21 doesn't crosswalk to any prior code.

1 MS. LEDGE: Okay. Would you be able to bill  
2 that with sequential infusion?

3 MR. MENAS: I'm not sure I understand, but I  
4 mean, "concurrent" means, in my mind, you have, you know,  
5 maybe another cancer patient or part of the chemotherapy  
6 you may mix together two different types of drugs that  
7 are combined, or non-cancer drugs for therapy and  
8 diagnosis.

9 MS. LEDGE: Right. You may be giving Doprin  
10 [ph] and you may also be giving them Decadron. We are  
11 wondering if we could use that concurrent administration  
12 code.

13 MR. MENAS: Well, again, the code was created  
14 for other than chemotherapy. It seems to me that I guess  
15 the kind of response we would have to put out would be  
16 something that describes what CPT looked at when they  
17 provided that code in terms of work and practice expense  
18 inputs, or whether the clinical circumstance that you  
19 have described is consistent with how they looked at the  
20 service.

21 MR. PHILLIPS: This is Steve Phillips. I just

1 wanted to add, I mean, Jim indicated earlier that we  
2 don't have our medical officers here today. Basically,  
3 what we were intending to do is at the CMT call next  
4 Tuesday to have them available and, you know, if need be,  
5 we can have some subsequent discussions as well on some  
6 of these issues.

7 MS. LEDGE: So, if we could e-mail this  
8 question to you, could you address it to your medical  
9 staff there?

10 MR. PHILLIPS: Yes.

11 MS. LEDGE: Okay.

12 MR. PHILLIPS: Sure.

13 MS. LEDGE: Thank you.

14 MR. PHILLIPS: Okay.

15 MS. ROULAC: Thank you.

16 Next question.

17 THE OPERATOR: [Name], you may ask your  
18 question.

19 QUESTION: I'm back on Slide 16 on if the  
20 patient has come in for a separate identifiable service  
21 on the same day or they have two separate IV lines per

1 protocol. It says to tell them to use the -76 modifier.

2 Now, I can understand that if they are getting  
3 the same initial drug administration, but if they have  
4 two different IV lines and they're doing G0359 for the  
5 one IV line and then they do a G0347 for the other line,  
6 that is going to get denied for CCI. The -76 modifier is  
7 not recognized by CCI.

8 So, should we clarify to our providers that  
9 [inaudible] and their records support it, they need to  
10 use a CCI modifier?

11 MR. MENAS: This is Jim Menas. What modifier  
12 would you need there to [inaudible]?

13 QUESTION: -59. -76 is only for internal  
14 [inaudible] products, glycogen. So if they were doing  
15 the same code, I could see the -76. It's when they're  
16 doing two different procedure codes.

17 CMS PARTICIPANT: -76 would be identical to  
18 [inaudible.]

19 CMS PARTICIPANT: -76 is identical to  
20 [inaudible] service.

21 CMS PARTICIPANT: We'll have to go back and

1 look at that [inaudible.]

2 MS. ROULAC: Thanks, Elaine. What organization  
3 are you with?

4 QUESTION: BlueCross BlueShield of Montana.

5 MS. ROULAC: Thank you very much.

6 QUESTION: You're welcome.

7 Next question.

8 THE OPERATOR: Harold Graham [ph] -- actually,  
9 it's Paul Goatch [ph] of [inaudible], you may ask your  
10 question.

11 DR. GOATCH: Thank you. First, thank you very  
12 much. This was very helpful, the PowerPoint.

13 First, and the real question, can we release  
14 this PowerPoint to providers or put it up on the website,  
15 or is CMS going to have it available on their website?

16 MS. ROULAC: We may need to make some revisions  
17 to it, and we'll indicate on the Medicare Contractor  
18 website the date that it has been revised.

19 DR. GOATCH: Okay. Thank you.

20 The second question is, in both the  
21 administration and the demonstration, we keep referring

1 that this is for place of service "office," 11. Under  
2 Part B, we also have independent clinics, place of  
3 service 49, which for all intents and purposes is  
4 identical to an office and in fact was cross-walked from  
5 11 to 49, and last year we were allowed. So I'm  
6 wondering, for both issues, should we be billing 49 and  
7 take that literally from both CRs?

8           The other point is, when you're discussing the  
9 OPPS, on the administration codes, again in these  
10 independent clinics, what happens in the other settings,  
11 like the SNFs, which is a non-Part A stay? Normally,  
12 these services could be paid under Part B. Then there is  
13 no OPPS issue.

14           CMS PARTICIPANT: With respect to the place of  
15 service code, we need to consult with the Operations  
16 folks here. But I understand your concern. I thought  
17 the place of service code "office" was more far-reaching  
18 than just the physician office and that it would  
19 encompass clinics.

20           CMS PARTICIPANT: [Inaudible.]

21           DR. GOATCH: Yes. You said that in Slide 11

1 specifically, and then on the OPPS, you really get to the  
2 whole issue of non-Part A stays in SNFs.

3 CMS PARTICIPANT: We're going to have to look  
4 at that. I don't know; I mean, are there any other place  
5 of service codes that should be included, too?

6 [Inaudible.]

7 CMS PARTICIPANT: You might wind up, actually -  
8 - and again, it goes to the intent of some of these  
9 things again. If there are people who are getting some  
10 of these things at home or in skilled nursing facilities  
11 and [inaudible] facilities, even.

12 CMS PARTICIPANT: Yes. I mean, the policy as  
13 they described it in the CR was, I mean, there was a  
14 limitation. I mean, we said office-based, but then, you  
15 know, sort of [inaudible] participate. We didn't really  
16 look at it more than that. I think what we will have to  
17 do is try to look at the issue based, you know, as far as  
18 the different sites of service where it could be provided  
19 and billed under the fee schedule and issue some  
20 clarification on that. So this is helpful.

21 DR. GOATCH: I just have two additional things.

1 One question is, on the chemo demonstration, the question  
2 of frequency. At one of our major academic hospitals  
3 here in New York, the question was raised, what happens  
4 if a patient gets an IV chemo multiple days in a row; can  
5 the physicians bill for the symptom codes?

6           It seems if you have two days in a row and the  
7 symptom codes say, "Have you had these symptoms within  
8 the prior week?", they have the chemo administration two  
9 days in a row. If they bill the symptom code that second  
10 day, essentially they're asking, "Have you had any  
11 symptoms in the past one day?" Otherwise, they are  
12 asking for essentially the same period of time. It means  
13 that we would have duplicate payments, all right, if we  
14 do multiple sequential days.

15           CMS PARTICIPANT: Yes. I think what you are  
16 referring to in the CR, we indicated that the assessment  
17 was, ask the patient, as you say, in the past week the  
18 degree to which they have been involved in this  
19 experiencing these symptoms. But the design of the  
20 demonstration really is that there is not a limit on  
21 billing other than once per day. I think the reference

1 to asking the patient about their symptoms during the  
2 past week comes from, you know, the material out there on  
3 the Rotterdam scale.

4 I think in response to your question that it  
5 needs to be modified in terms of this demo that since it  
6 is available on a daily basis, if the patient is coming  
7 in more frequently than once per week, that it would just  
8 essentially reflect since the last visit as far as the  
9 assessment of the patient's symptoms.

10 DR. GOATCH: Thank you.

11 My last question: if somebody gets multiple  
12 infusions, and say they have two infusions -- the  
13 question was raised to us, what if you have two IV pushes  
14 in 31 minutes or more? It seems like you could be  
15 spending an hour and two minutes and be billing two  
16 hours' worth of infusions.

17 There seems to be a problem. If you look at  
18 the corollary physical therapy services, the instructions  
19 on physical therapy services is when you get multiple  
20 physical therapy services, add them all up and divide by  
21 15 minutes to find out the number of billable services.

1           It looks like here every drug is a new  
2 infusion, a new push, or a new service. So we're going  
3 to be winding up maybe doing two hours' work in one hour  
4 -- excuse me, getting paid for two hours when you're only  
5 doing one hour's work.

6           CMS PARTICIPANT: What was the scenario again?  
7 You have two --

8           DR. GOATCH: The question that came in from one  
9 of our hospitals was, what are the guidelines for billing  
10 multiple chemo drugs 31 minutes or more? So, you could  
11 wind up with, say, a provider giving two 31-minute  
12 infusions and working just over an hour, but they are  
13 really going to get paid for two hours' work.

14           That's going to apply in any combination of  
15 infusions. If you take your hour and 30 minutes or hour  
16 and 40 minutes, you know, and you take two of those in a  
17 row, that gets you up to a little over three hours, when  
18 a single infusion wouldn't take three hours. Now it  
19 would pay them four hours because of the different drugs.

20           CMS PARTICIPANT: I think that problem  
21 permeates this coding structure, because just looking at

1 the one hour code, at the low end, as you mentioned, it  
2 could be 31 minutes, whereas at the high end, if you work  
3 an hour and 29 minutes, you still only get to bill that  
4 one hour code. You can't jump into the additional hour  
5 code.

6 So it is almost an averaging game to the extent  
7 that the practice [inaudible] both kinds of services,  
8 you're okay. To the extent that the practice happens to  
9 do more short-term infusions, they tend to benefit.

10 DR. GOATCH: It's the average game.

11 CMS PARTICIPANT: Well, if you look at the  
12 corollary of the physical therapy services or the  
13 physical therapy, they tend to add up the total time and  
14 divide by those 15-minute intervals so you can't get paid  
15 for more than the actual time. Here it's a little  
16 different. I understand about whether it's going to  
17 average out or not. Somehow it always averages out on  
18 one side, though.

19 DR. GOATCH: Okay. Thank you very much.

20 CMS PARTICIPANT: [Inaudible] the codes,  
21 although I think that this is another issue that, you

1 know, I'd like to come up with CPT next week just to try  
2 to get, you know, some further clarification on how to  
3 interpret those situations: subsequent codes, where do  
4 you kick over into the next code, depending on how long  
5 it is. But, you know, at this point, Jim just stated our  
6 interpretation of it.

7 MS. ROULAC: Did you have another question, Dr.  
8 Goatch?

9 DR. GOATCH: No. Thank you.

10 MS. ROULAC: Okay. Thank you.

11 Next question.

12 THE OPERATOR: [Name], you may ask your  
13 question.

14 QUESTION: Yes. Our question was addressed  
15 with BlueCross BlueShield of Montana.

16 MS. ROULAC: Okay.

17 QUESTION: That's the same issue we had, so  
18 we'll wait until something is out there on the other Q &  
19 A.

20 MS. ROULAC: All right. Thank you so much.

21 QUESTION: Okay.

1 MS. ROULAC: Next question.

2 THE OPERATOR: Janet [Name], you may ask your  
3 question.

4 [No response.]

5 THE OPERATOR: Janet [Name], your line is open.

6 QUESTION: Yes. My question has also been  
7 answered. I was seeking clarification on the IV push  
8 versus the infusion. I have a lot of very upset nurses  
9 down here who are taking this very literally. But I  
10 think you answered my question for right now. Thank you.

11 MS. ROULAC: Thank you.

12 Next question.

13 THE OPERATOR: [Name], you may ask a question.

14 QUESTION: This is [Name], the medical director  
15 for WPS [ph.] Looking at the PowerPoint slides, Slide  
16 No. 15 says that the initial code that best describes the  
17 service should always be billed irrespective of the order  
18 in which the infusions occur. If you look at Slide 33,  
19 it says, the initial code refers to the first drug  
20 infusion administered.

21 There seems to be a contradiction in your

1 definition of "initial."

2 CMS PARTICIPANT: Actually, I think Slide 33  
3 actually needs to be clarified to comport with that  
4 "initial." So, thank you for pointing that out.

5 QUESTION: Second question: going back to the  
6 infusion/IV push of 30 minutes or less, if you can bill  
7 that when the person administering the dose of the drug  
8 is not constantly in attendance, we're actually going  
9 against the definition in the OPS. Is that going to be  
10 changed or clarified?

11 Because if we start telling this to our  
12 providers, it looks like we are giving them advice that  
13 directly contradicts the description of a push in the  
14 OPS. I realize, you know, what your answer was, but that  
15 is not the description in the OPS that we have to follow.

16 CMS PARTICIPANT: I'm looking here at  
17 Transmittal 129 [inaudible] pages on mine. Okay.

18 Four pages in, just before the Non-Chemotherapy  
19 Injection heading, "Intravenous intra-arterial push is  
20 defined as an injection/infusion of short duration, i.e.,  
21 30 minutes or less, in which the healthcare professional

1 administering the substance or drug is continuously  
2 present to administer the injection and observe the  
3 patient." That is the language out of the CPT guidance  
4 that we are relying on for the instructions.

5           Again, we recognize the reference to the  
6 "healthcare professional continuously present," but,  
7 you're right, there are some conflicting issues there.

8           CMS PARTICIPANT: Our interpretation of the CPT  
9 guidance is that, you know, it says injection or infusion  
10 of short duration is coded as a push.

11           QUESTION: But we can still advise providers  
12 that they can use push even if they're not continuously  
13 present, which, by the way, was the COD edit for Part B  
14 News, the January 1st edition. Your answer was the same  
15 that the COD put in that article, which surprised us.

16           CMS PARTICIPANT: Right. Yes. I mean, that is  
17 basically where we are on it now, pending further  
18 clarification, you know, at least from CPT.

19           QUESTION: Okay. Thank you.

20           CMS PARTICIPANT: Thanks.

21           MS. ROULAC: Thank you.

1 Next question.

2 THE OPERATOR: Frank [Name], your line is open.

3 QUESTION: Thank you. Can you hear me?

4 MS. ROULAC: Yes. If you can identify yourself  
5 and your organization?

6 QUESTION: Sure. Frank [Name], Carrier Medical  
7 Directors in Connecticut. I have several questions in  
8 regards to the IV push once again. In looking at the  
9 input that went into the work value and the practice  
10 expense, are we saying that if a drug is pushed via a  
11 syringe as an IV push into an existing tubing, is that  
12 the same as hanging a sequential IV for less than 30  
13 minutes?

14 CMS PARTICIPANT: This issue, you know, may be  
15 an issue that we should defer until we have our clerical  
16 staff -- I know that this was not the specific issue that  
17 was scheduled for the CMT call next week, so I don't know  
18 if we'll be able to get into it there. But, you know, we  
19 have two -- well, Dr. Simon is on the Development CPT  
20 Editorial Panel, as well as the direct panel and, you  
21 know, is directly involved. And [Name], you know, is

1 there as well. So maybe before we get too much farther  
2 into this, we can bring them into the discussion.

3 QUESTION: Should I e-mail that question to the  
4 same website?

5 CMS PARTICIPANT: Sure.

6 QUESTION: Okay. Great. Thank you.

7 MS. ROULAC: Thank you.

8 Next question.

9 THE OPERATOR: Jill Schaeffer [ph], you may ask  
10 your question.

11 MS. SCHAEFFER: Thank you. Our question was  
12 the same question for Slide 16 regarding the -59  
13 modifier. We did address that question to CMS in writing  
14 in early January, so a response would be appreciated.  
15 Thank you.

16 MS. ROULAC: You're welcome to send that back  
17 to us to the Contractor Training mailbox.

18 CMS PARTICIPANT: Who was that again? I'm  
19 sorry.

20 MS. SCHAEFFER: [Inaudible.]

21 MS. ROULAC: Thank you.

1 Next question.

2 THE OPERATOR: Theresa Wyland [ph], you may ask  
3 your question.

4 [No response.]

5 MS. ROULAC: Hello?

6 THE OPERATOR: Theresa Wyland, your line is  
7 open.

8 MS. WYLAND: This is Theresa Wyland. Our  
9 question was already addressed. It had to do with the  
10 [inaudible] assessment and whether there needed to be a  
11 detail line or header information.

12 MS. ROULAC: Thank you.

13 Next question.

14 THE OPERATOR: [Name], your line is open.

15 QUESTION: Barb [Name], from Noridian  
16 Administrative Services. We've received a lot of  
17 questions about the Code G0351, the therapeutic or  
18 diagnostic injections. Providers would like to know if  
19 they're going to be giving several injections, say maybe  
20 three, it would be appropriate to use a -76 modifier with  
21 this code, or should they use their quantity value of

1 three? We would like to know how you feel about this.

2 MS. ROULAC: Barb, could you put that question  
3 and send it to us in the Contractor Training mailbox?

4 QUESTION: Okay. I'd be glad to. Could I just  
5 ask one more quick one?

6 MS. ROULAC: Certainly.

7 QUESTION: Okay. The second question is, we've  
8 received several inquiries as to whether or not the E & M  
9 is coded with the hydration code. We can bill a separate  
10 E & M with chemotherapy or infusions, but there was  
11 nothing addressed as far as the hydration.

12 CMS PARTICIPANT: Can you repeat the question  
13 again? I didn't catch all of it.

14 QUESTION: That's okay. The chemotherapy and  
15 the infusion codes, we're allowing evaluation and  
16 management codes to be billed in addition with a  
17 quantified modifier. Providers were asking us if they  
18 can bill an E & M with a quantified modifier when they're  
19 doing hydration, or would that be bundled?

20 CMS PARTICIPANT: What level of E & M service  
21 are they billing?

1 QUESTION: They didn't ask.

2 CMS PARTICIPANT: Beyond level one.

3 QUESTION: I would assume maybe most of the  
4 time hydration is being done with something else, but  
5 [inaudible.]

6 CMS PARTICIPANT: I don't see anything to  
7 preclude that as long as it is a separate and related  
8 service.

9 QUESTION: Thank you.

10 MS. ROULAC: Thank you.

11 Next question.

12 THE OPERATOR: Linda [Name], you may ask your  
13 question.

14 QUESTION: Hi. This is Linda [Name] at  
15 Noridian Administrative Services. This is regarding the  
16 chemo demonstration. If Medicare is a secondary payer  
17 and this is a demonstration only for a Medicare  
18 population, should the claims processing system bypass  
19 the MSP edits and allow the claim for payment?

20 CMS PARTICIPANT: Yes, presuming your primary  
21 payer is not recognizing the demonstration G codes,

1 which, if that was the case, you know, Medicare would --  
2 my understanding is that, you know, that Medicare, in  
3 that situation, would be just paying -- when you say you  
4 bypass the edits, I guess I'm not exactly sure what the  
5 edits are, but I'm going to answer as far as what the  
6 policy would be.

7           You know, Medicare would be paying for the  
8 demonstration. So if it is a matter of how do you pass  
9 those costs through --

10           QUESTION: They may not be recognizing the G  
11 codes is kind of what we're thinking.

12           CMS PARTICIPANT: Right.

13           QUESTION: So they would be billing -- I don't  
14 know -- I guess, the old code or something, so.

15           CMS PARTICIPANT: Right. Then, I mean, one  
16 thing that we have told folks is that it may require, you  
17 know, submitting a separate bill with the G codes to  
18 Medicare.

19           MS. ROULAC: If you'd like further  
20 clarification on that question, please send it to the  
21 Contractor Training mailbox.

1 QUESTION: Okay. Thank you.

2 MS. ROULAC: You're welcome.

3 THE OPERATOR: Sandy Victor [ph], your line is  
4 open.

5 MS. VICTOR: Thank you. My name is Sandy  
6 Victor. I'm from Empire Medicare Services, New Jersey.  
7 Providers have asked us in relation to the demonstration  
8 project why the G0355 and G0356 are not included and only  
9 mentioned with G0357 and G0359.

10 CMS PARTICIPANT: [Inaudible] just for  
11 chemotherapy.

12 MS. VICTOR: G0355 and G0356 are chemotherapy  
13 administration except that they're intramuscular and not  
14 --

15 CMS PARTICIPANT: Oh, right. In designing the  
16 demonstration, you know, the decision was made to limit  
17 it to chemotherapy either infused or administered through  
18 a push.

19 MS. VICTOR: Okay, fine. [Inaudible] the 20  
20 percent [inaudible] providers are getting upset about  
21 that. They feel that the CMS [inaudible] and therefore

1 it is the patient's responsibility for the 20 percent  
2 since they [inaudible] patients won't comply with the  
3 demonstration project per se. I mean, why did CMS decide  
4 to do an 80/20 split?

5 CMS PARTICIPANT: Well, you know, the decision,  
6 you know, was made to include coinsurance under the  
7 demonstration just based on the feeling that, you know,  
8 that with the design of the demonstration that it wasn't  
9 necessary to waive the coinsurance and deductible but  
10 that the providers do have the option to waive it on an  
11 individual basis and take the financial mean as well as  
12 that the participation in the demonstration is voluntary  
13 both for the providers and the beneficiaries.

14 However, certainly, I have been hearing here as  
15 well dissatisfaction with the fact that the coinsurance  
16 is included, but, you know, it is just a decision that  
17 was made in the design of the program. But, you know, we  
18 just note that it is voluntary and that it can be waived  
19 in individual situations.

20 MS. VICTOR: Thank you.

21 MS. ROULAC: Operator, at this time, how many

1 callers do we have in the queue?

2 THE OPERATOR: Actually, that was our last one.

3 MS. ROULAC: Okay. All right. At this point,  
4 we won't take any more questions. If you do have  
5 additional questions following this training session,  
6 please send them to [contractortraining@cms.hhs.gov](mailto:contractortraining@cms.hhs.gov), and  
7 if you could get your questions in to us by Friday,  
8 February the 11th.

9 In your e-mail, if you would refer to today's  
10 date. We get a lot of questions from different training  
11 sessions, so that would be very helpful.

12 We want to thank everyone for participating in  
13 this call. We want to thank our presenters and our  
14 subject matter experts here in the room in Central Office  
15 for providing answers to your questions.

16 This ends the training session for today.

17 Thank you so much.

18 [Whereupon, at 2:30 p.m., the conference call  
19 was concluded.]

20 + + +