

00001

1

2

3

4

5

6

7

8

9

10

11 CENTERS FOR MEDICARE AND MEDICAID SERVICES

12 Medicare Coverage Advisory Committee

13

14

15

16

17

18

19 March 29, 2005

20

21 Centers for Medicare and Medicaid Services

22 7500 Security Boulevard

23 Baltimore, Maryland

24

25

00002

1 Panelists
2
3 Chairperson
4 Ronald M. Davis, M.D.
5
6 Vice-Chairperson
7 Barbara J. McNeil, M.D., Ph.D.
8
9 Voting Members
10 Edgar R. Black, M.D.
11 David J. Margolis, M.D., Ph.D.
12 Catherine A. Glennon, R.N.
13 Angus M. McBryde, Jr., M.D.
14 Harry B. Burke, M.D., Ph.D.
15 Steven N. Goodman, M.D., M.H.S., Ph.D.
16 Jonathan P. Weiner, Ph.D.
17
18 HCFA Liaison
19 Steve Phurrough, M.D., M.P.A.
20
21 Industry Representative
22 Kim K. Kuebler, M.N., R.N.
23
24 Consumer Representative
25 Charles J. Queenan, III

00003

- 1 Panelists (Continued)
- 2
- 3 Non-Voting Guest Panelists
- 4 Harold Brem, M.D.
- 5 Susan D. Horn, Ph.D.
- 6 William B. Greenough, III, M.D.
- 7 Elizabeth A. Ayello, Ph.D., R.N.
- 8
- 9 Executive Secretary
- 10 Kimberly Long
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

00004

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks	
5	Kimberly Long/Ronald Davis/	
6	Steve Phurrough	7
7		
8	Introduction of Panel	10
9		
10	CMS Summary and Presentation of Voting	
11	Questions	
12	James Rollins, M.D.	13
13		
14	Presentation of the Technology Assessment	
15	Tom O'Donnell, M.D.	26
16		
17	Presentations by Panelists	
18	Susan D. Horn, Ph.D.	38
19	Elizabeth A. Ayello, Ph.D., R.N.	55
20	David J. Margolis, M.D., Ph.D.	63
21		
22	Scheduled Public Comments	
23	Diane Krasner, Ph.D., R.N.	77
24	John MacDonald, M.D.	80
25	Laura Edsberg, Ph.D.	82

00005

1	Table of Contents (Continued)	
2		
3	Scheduled Public Comments (Continued)	
4	Robert Warriner, III, M.D.	83
5	William Marston, M.D.	87
6	Larry Lavery, D.P.M., M.P.H.	90
7	Dot Weir, R.N.	92
8	Vincent W. Li, M.D.	95
9	Vickie R. Driver, D.P.M.	97
10	William J. Ennis, D.O. M.B.A.	99
11	Stephen A. Brigido, D.P.M.	101
12	Adrian Barbul, M.D., F.A.C.S.	105
13	Pam G. Unger, P.T., C.W.S.	107
14	David G. Armstrong, D.P.M.	110
15	James Laredo, M.D.	114
16	Lisa Ovington, Ph.D., C.W.S.	116
17	Maryangela Stokes	120
18	Joseph Gatewood	122
19		
20	Questions from the Panel	125
21		
22		
23		
24		
25		

00006

1	TABLE OF CONTENTS (Continued)	
2		
3	Open Public Comments	
4	Patty Smith	167
5	Kathleen Schaum	169
6	Diane Krasner, Ph.D., R.N.	173
7	Dot Weir, R.N.	174
8		
9	Lunch	175
10		
11	Questions to Presenters	175
12		
13	Open Panel Discussions	
14	Ronald M. Davis, M.D.	198
15		
16	Formal Committee Remarks	216
17		
18	Closing Remarks	284
19		
20	Adjournment	286
21		
22		
23		
24		
25		

00007

1 PANEL PROCEEDINGS

2 (The meeting was called to order at 8:06
3 a.m., Tuesday, March 29, 2005.)

4 MS. LONG: Good morning, panel
5 chairperson, members and guests. I am Kimberly Long,
6 the executive secretary. We are meeting here today
7 to discuss the evidence, hear presentations and
8 public comment, and make recommendations regarding
9 usual care of chronic wounds.

10 We ask that all presenters please adhere
11 to their time limits. We have a large number of
12 presenters to hear from today and a very tight
13 agenda, and therefore cannot allow extra time. There
14 is a timer at the podium that you should follow. The
15 light will turn red when your time is up. Please
16 note that there is a chair in front of the stage for
17 the next speaker. Please proceed to the chair when
18 it is your turn.

19 I will now turn the meeting over to
20 Dr. Steve Phurrough.

21 DR. PHURROUGH: Good morning. I am Steve
22 Phurrough. I am the director of the Coverage and
23 Analysis Group here at Medicare, and the CMS liaison
24 to this particular advisory committee. I want to
25 thank you for your presence. We think we have a very

00008

1 interesting and a challenging discussion today. My
2 special thanks to the panel members who have taken
3 their time to join us today.
4 This particular MCAC is different than
5 most. In most of our advisory committees, we have a
6 particular decision, particular coverage issue that
7 someone has requested of us and then we have this
8 meeting to go over the evidence around that
9 particular technology. However, in this instance, we
10 have been evaluating the kinds of technologies, the
11 kinds of services that are being provided to our
12 Medicare beneficiaries around the issues of chronic
13 wound care. We also, in our review of the technology
14 and our review of the evidence, have become concerned
15 that perhaps the evidence base for a particular
16 technology is not as strong as it needs to be and
17 therefore, we are beginning with this particular MCAC
18 a series of discussions around the appropriate
19 methods of treating chronic wounds.
20 This particular meeting is to provide
21 advice to us from the panel and from you the public
22 on the appropriate basic care of chronic wounds. We
23 will not be addressing today any specific secondary
24 technologies, we're not discussing anything other
25 than basic care for wounds, so hyperbaric oxygen,

00009

1 electrical stimulation, so and so, are not issues for
2 today. We're interested in the time for public
3 presentations, both scheduled and open, your comments
4 on what basic care should be. So please, if you
5 elect to make comments, we are not interested in
6 hearing about your specific technologies today. We
7 will do that in the future, but what we hope to end
8 today with are some recommendations from our panel as
9 to what basic care should be, what are those groups
10 of services that ought to be applied to beneficiaries
11 who have one of the kinds of chronic wounds that we
12 are discussing today, what are the basic services
13 that should first be applied to all wounds. And
14 then, what are the gaps in evidence, what kinds of
15 evidence should we be looking for as we evaluate
16 other technologies that may be applied to those
17 wounds that do not heal after the basic therapy has
18 been applied. And then we will discuss those in
19 other future forums, whether through national
20 coverage determinations, through other MCACs, through
21 open door forums, expert panels, and there may be a
22 number of meetings later this year or next year where
23 we will address those issues.
24 So again, thank you for your interest.
25 This is a significant problem in our Medicare

00010

1 population and we look forward to hearing comments
2 today on it. With that, I will turn it over to our
3 chairman today, Ron Davis.

4 DR. DAVIS: Thank you very much, Steve,
5 and I would like to also thank everyone for being
6 here and thank the members of the panel for
7 participating in this process. My only purpose at
8 this point is to ask the members of the panel to
9 introduce themselves as we go down the table, and
10 also ask you to disclose any potential conflicts of
11 interest that you may have.

12 So I will begin. I am Ron Davis, I'm a
13 preventive medicine physician at the Henry Ford
14 Health System in Detroit, and I have no conflicts of
15 interest.

16 DR. MCNEIL: I'm Barbara McNeil, with the
17 Department of Health Care Policy at Harvard Medical
18 School and a radiologist at the Brigham and Women's
19 Hospital, and I have no conflicts.

20 DR. MARGOLIS: I'm David Margolis, I'm a
21 dermatologist, I also treat chronic wounds, and am an
22 epidemiologist. In terms of conflicts of interest,
23 since we are not discussing new products, do you mean
24 conflicts with standard therapies?

25 DR. DAVIS: I leave it up to your

00011

1 discretion as to whether you think you may have a
2 conflict.

3 DR. MARGOLIS: Because I certainly have
4 done consulting work with companies that are
5 investigating products, to include Keene
6 Pharmaceuticals, (inaudible), Neptune and others, but
7 none of their products to the best of my knowledge
8 will be discussed today.

9 MS. GLENNON: I'm Cathy Glennon, I'm an
10 oncology nurse at Duke University, and I have no
11 conflicts of interest.

12 DR. MCBRYDE: Angus McBryde, professor of
13 orthopedics at the University of South Carolina
14 School of Medicine in Columbia, South Carolina, and I
15 have no conflicts.

16 DR. BURKE: Harry Burke. I am associate
17 professor of medicine, biochemistry and microbiology
18 at George Washington University, and I have no
19 conflicts.

20 DR. GOODMAN: I am Steve Goodman, I'm
21 associate professor of oncology at the Division of
22 Biostatistics at Johns Hopkins, and I have no
23 conflicts.

24 DR. WEINER: I am Jonathan Weiner,
25 professor of health services research at the Johns

00012

1 Hopkins School of Public Health here in Baltimore,
2 and I have no conflicts.

3 MR. QUEENAN: I am Charlie Queenan, the
4 consumer representative. I'm an independent
5 consultant and also a member of a company that's
6 developing software for diabetes, and I have no
7 conflicts.

8 MS. KUEBLER: Good morning. My name is
9 Kim Kuebler, I'm a nurse practitioner (inaudible) and
10 I have no conflicts.

11 DR. BREM: Good morning. My name is
12 Harold Brem. I'm a surgeon and I direct the wound
13 healing program at Columbia University in New York.
14 I have done some speaking over the years for
15 different companies and occasionally I do some
16 consulting with regard to Genesis.

17 DR. HORN: Susan Horn. I am with the
18 Institute for Clinical Outcomes Research in Salt Lake
19 City and I have done research in wound care that was
20 partially funded by Ross Laboratories (inaudible).

21 DR. GREENOUGH: I'm William Greenough,
22 professor of medicine and international health at
23 Johns Hopkins and chair of the division of geriatric
24 medicine, and work with the wound team in the
25 long-term care facility there. I have no conflicts

00013

1 of interest.

2 DR. AYELLO: Good morning. I'm Elizabeth
3 Ayello. I'm a senior advisor to the John A. Hartford
4 Institute for Geriatric Nursing in New York, and
5 faculty member at Excelsior College in Albany, New
6 York. I'm also the executive editor of the World
7 Council of Enterostomal Therapy Journal that is the
8 journal for the international nursing organization
9 for nurses who specialize in ostomy, incontinence and
10 wound care. I just completed a research grant that
11 was funded by ConvaTE out of Yale University and have
12 participated in doing consultations for a variety of
13 companies, including Smith & Nephew, Ross, Sage,
14 Coloplast, Hill-Rom, Gaymar and others.

15 DR. DAVIS: Thank you very much. And
16 given the heavy agenda, we will proceed to the next
17 item on the agenda, which is CMS summary and
18 presentation of voting questions. Dr. James Rollins.

19 DR. ROLLINS: I would like to say good
20 morning to the members of the MCAC committee as well
21 as the general public. Also, I would like to thank
22 the members of CMS's chronic wound team, thank you.
23 In my presentation I would like to provide
24 a general overview of chronic wounds and its impact
25 on the U.S. population, CMS's coverage position on

00014

1 chronic wound therapy, problems encountered in the
2 literature evaluating treatment for chronic wounds,
3 and goals as well as questions for the MCAC
4 committee.

5 As shown here, CMS defines chronic wounds
6 as wounds which take longer than 30 days to
7 completely heal. This definition is consistent with
8 our coverage policies. Also based on a review of
9 literature, we have restricted our wound discussion
10 to three types, venous ulcers, pressure ulcers and
11 diabetic ulcers.

12 From an epidemiological perspective,
13 chronic wounds have had a significant impact on our
14 population, as well as causing a significant
15 financial burden. There are over six million chronic
16 wound patients in the United States involving two
17 percent of our population, \$8.5 billion for wound
18 care products and services, and more than \$20 billion
19 just for the treatment of these chronic wounds. 15
20 percent of our elderly population suffers from
21 chronic wounds. Two percent of ulcers are caused by
22 diabetes and while the number of patients with
23 pressure ulcers is increasing by five percent
24 annually, the incidence of diabetic foot ulcers is
25 growing at a rate of 14 percent per year and accounts

00015

1 for 80 percent of all chronic wound costs. And as
2 noted in a study by Allman, the prevalence of chronic
3 skin lesions increases with age.
4 First, I would like to discuss venous
5 ulcers. Venous ulcers are a chronic cause of chronic
6 wounds, especially in the lower extremity. We have a
7 high incidence of venous ulcers in the United States
8 with a significant recurrence rate.
9 Next I would like to discuss pressure
10 ulcers. One study based on MedPar data estimates
11 that between 1.0 and 1.7 million pressure ulcers
12 occur annually. Some authorities feel that this
13 number is low. Studies also confirm that a
14 significant number of pressure ulcers are becoming
15 more severe. Again, as noted before, these ulcers
16 place a significant financial burden on the U.S.
17 healthcare system. In a hospital setting pressure
18 ulcers are common, not only because a large cohort of
19 patients are admitted to the hospital with pressure
20 ulcers, but also because of the development of
21 pressure ulcers once patients are admitted to the
22 hospital.
23 Spinal cord injury patients are
24 particularly prone to pressure ulcers. They have a
25 high incidence and the number of pressure ulcers

00016

1 increases life-long. As noted in this slide, they
2 require 50 percent more nursing time, remain
3 hospitalized for longer periods of time, and
4 contribute significantly to hospital charges. Over
5 time, the incidence of hospitalized patients with
6 pressure ulcers has significantly increased. A large
7 portion of patients suffering from pressure ulcers
8 can be found in the critical care setting.
9 Also, studies have documented that
10 pressure ulcers have significant costs. Staas notes
11 the estimated costs of over \$1 billion in
12 expenditures resulting in an additional 2.2 million
13 Medicare hospital days. Bergman notes the cost of
14 treatment of a single pressure ulcer can range
15 between \$2,000 and \$40,000. Patients with pressure
16 ulcers are more likely to have longer hospital stays
17 than patients without pressure ulcers. Beckrich used
18 MedPar data to explore this relationship and was able
19 to demonstrate that pressure ulcer patients on
20 average had two to five times more hospital days than
21 non-pressure ulcer patients.
22 Now we will discuss diabetic ulcers, which
23 have a high incidence as well as a high prevalence in
24 our population. According to the ADA, 18.2 million
25 people in the United States, or 6.3 percent of the

00017

1 population, have diabetes, and while 13 million have
2 been diagnosed with diabetes, unfortunately 5.2
3 million people, or nearly one-third, are unaware that
4 they have the disease.
5 Foot ulcers are a common complication of
6 this condition, commonly resulting in amputation.
7 Also, the recurrence of foot ulcers is high in this
8 population. Diabetic foot problems also result in
9 high hospital utilization and expenses. Limb
10 amputation, which is a common complication of
11 diabetic foot ulcers, costs the country more than
12 \$350 million per year. For diabetes, most lower limb
13 amputations are preceded by foot ulcers; according to
14 Cohen and Powderly, in the Infectious Disease text,
15 of all amputations in people with diabetes, 60
16 percent are preceded by an infected ulcer. Pecoraro
17 and colleagues were also able to demonstrate that
18 lower limb amputations are commonly preceded by foot
19 ulcers. And as noted by the ADA, once a diabetic
20 loses a limb due to ulcer, long-term survival is
21 compromised. Not only do diabetic ulcers result in
22 increased chance of amputation of the same extremity,
23 they also can result in increased chances of ulcers
24 developing in the contralateral extremity, as well as
25 death.

00018

1 The next set of slides looks at national
2 coverage decisions that CMS currently has for chronic
3 wound care. The first of these NCDs is used for
4 hyperbaric oxygen therapy for diabetic wounds in the
5 lower extremities. Based on the literature, CMS
6 feels that there is sufficient evidence to conclude
7 that HBO therapy is clinically effective and is,
8 thus, reasonable and necessary in the treatment of
9 certain patients with limb-threatening diabetic
10 wounds in the lower extremities. For HBO therapy to
11 be covered, the patient must meet the following
12 criteria: The patient must have Type I or II
13 diabetes and have a lower extremity wound that is due
14 to diabetes; a patient has a wound classified as
15 Wagner grade III or higher; and the patient has
16 failed an adequate course of standard wound
17 treatment.
18 CMS also has coverage positions on
19 electrostimulation of wounds. Medicare allows for
20 the coverage of electrical and electromagnetic
21 stimulation for chronic Stage III and Stage IV
22 pressure ulcers, arterial ulcers, diabetic ulcers and
23 venous ulcers. All other uses of electrical and
24 electromagnetic stimulation for the treatment of
25 wounds is not covered.

00019

1 CMS also feels that electrical
2 stimulation, electromagnetic stimulation for the
3 treatment of wounds will not be covered as an initial
4 primary treatment modality. CMS has coverage
5 positions also for both autologous blood-derived
6 products for chronic non-healing wounds, as well as
7 non-contact normothermic wound therapy. Those are
8 not covered due to the lack of sufficient evidence
9 demonstrating effectiveness.
10 The use of hydrotherapy for the treatment
11 of decubitus ulcers is covered when treatment is
12 reasonable and necessary. Other forms of treatment
13 which are not safe or effective are not approved by
14 CMS for the treatment of decubitus ulcers.
15 Porcine skin dressings are covered when
16 reasonable and necessary for the individual as an
17 occlusive dressing for burns, donor sites of a
18 homograph, and decubiti and other ulcers.
19 And finally, pneumatic compression devices
20 are covered in the home setting for the treatment of
21 chronic venous insufficiency of the lower extremity
22 only if the patient has one or more venous stasis
23 ulcers which have failed to heal after a six-month
24 trial of conservative therapy directed by the
25 treating physician.

00020

1 As noted in the previous slide, CMS
2 defines chronic wounds as wounds taking longer than
3 30 days to heal, and as previously stated in our
4 policy on chronic wound care, before adjunctive care
5 may be initiated, usual care must have failed. Based
6 on textbooks and a review of the literature, we have
7 identified a number of components of what we consider
8 to be usual care. These components consist of
9 debridement, cleansing, dressing, compression,
10 antibiotics, and last, off-loading.
11 But one question that we are faced with is
12 how do we define usual care. One reason why it might
13 be difficult to define usual care may be related to
14 problems in evaluating the evidence. We found that
15 with other technologies, a hierarchy of evidence is
16 available which can be used to assess them. Does a
17 paucity of evidence exist when evaluating treatments
18 for chronic wound care? When we were doing studies
19 and evaluating the evidence concerning wound care
20 therapy, some problems identified include lack of
21 blind assessment, inadequate sample size, lack of
22 documentation of baseline data, duration of study too
23 short, and lack of documentation or recurrence and
24 adequate follow-up. Other problems include a paucity
25 of data, methodological flaws, as well as no defining

00021

1 of end points and outcomes.
2 A number of international organizations
3 have performed technology assessments and evaluated
4 the evidence related to chronic wound treatment.
5 Also, national guidance documents as well as
6 individual assessments have been performed. A
7 Cochrane collaborative that was performed in 2000 to
8 evaluate the evidence concluded, quote, even though
9 systematic reviews are available, evidence is
10 strikingly scarce regarding local wound care,
11 although this is a worldwide problem, end quote.
12 One recommendation that was made was the
13 development and conduction of good methodologic
14 randomized clinical trials which are the basis of
15 systematic reviews. Based on a hierarchy of
16 evidence, randomized clinical trials are considered
17 the gold standard, but is this research design
18 appropriate for evaluating chronic wound care
19 treatment or are other research designs more
20 appropriate? More on this later.
21 The United Kingdom also commissioned a
22 technology assessment to evaluate chronic wound care
23 treatment. Its purpose was to provide a
24 comprehensive review of the evidence of different
25 wound care interventions using systematic reviews.

00022

1 This technology assessment did not make a specific
2 recommendation for standard of care. The conclusion
3 from this analysis revealed that there was little
4 evidence to indicate which dressings or topical
5 agents are the most effective in the treatment of
6 chronic wounds.
7 Earlier this year another technology
8 assessment was performed by the Cochrane
9 collaborative and again, it concluded that there is
10 insufficient evidence to determine whether the choice
11 of topical dressings affect the healing of leg
12 ulcers. It also noted an inadequate description of
13 the people in the clinical trial, which means that
14 the results cannot be easily applied to other
15 clinical populations.
16 The FDA also developed a guidance document
17 addressing chronic wound care. This document was
18 designed to address the number of different types of
19 ulcers and specific proposals on trial design,
20 outcome measures and labeling claims for the
21 developing products.
22 DARE also performed a technology
23 assessment which consisted of a systematic review.
24 Its purpose was to assess the clinical and cost
25 effectiveness of antimicrobial agents in prevention

00023

1 and healing of chronic wounds. It noted several
2 methodological problems, the most common being
3 inadequate sample size. It concludes, there is no
4 existing evidence to support the use of systemic
5 antimicrobial agents for chronic wound care. It also
6 commented that most of the research conducted in this
7 area needs replication with well-designed randomized
8 controlled trials.
9 And finally, there was a study by Alvarez
10 which addresses the gaps in treatment options for
11 non-healing wounds. After assessing the literature,
12 he made a number of recommendations, which include
13 using a research design which will collect outcome
14 data on large groups, establishing standards that are
15 universally applied, the establishment of non-healing
16 end points in products and treatment testing, and the
17 establishment of a validated tool or process by which
18 all clinicians can reliably determine the value of
19 non-healing end points.
20 As noted earlier, these are the components
21 identified as part of the usual care. How do we
22 define usual care? Today's meeting is the first in a
23 series of meetings to help define this question.
24 Ultimately we have a number of goals, which include
25 to identify current problems with the literature on

00024

1 the evidence of chronic wound care; describe a
2 baseline of what good care is for treatment of
3 chronic wounds so that CMS can develop standards for
4 evidence in future national coverage decisions; to
5 define evidence standards for future evaluations; and
6 to improve health outcomes for Medicare
7 beneficiaries.
8 Questions for the MCAC committee. Usual
9 care for chronic wounds commonly includes
10 debridement, cleansing, dressing, compression,
11 antibiotics, and off-loading. Is there sufficient
12 evidence to assess the benefit of those modalities?
13 Are there other modalities that provide benefit?
14 Second question. The following outcomes
15 are commonly used to assess healing of chronic
16 wounds: Complete healing, partial healing rates,
17 recurrence rate, elimination of infection, amputation
18 rate, reduction of pain, resumption of normal
19 activities. Are these appropriate outcomes to be
20 considered to assess the benefit of usual care of
21 chronic wounds? Are there other outcome measures
22 that should be considered? And, as new technologies
23 arise, are new end points needed to demonstrate a
24 benefit in the treatment of chronic wounds?
25 Third question: Based on the evidence

00025

1 reviewed, how likely is it that the treatments
2 discussed in Question 1 will positively affect the
3 outcomes discussed in Question 2?
4 Fourth question: Based on the evidence
5 reviewed, do the treatments reviewed in Question 1,
6 singly or in combination produce clinically
7 significant health benefit in the treatment of
8 chronic wounds?
9 Question five: Based on the evidence
10 reviewed, how likely is it that the usual care used
11 to treat chronic wounds will be generalized to the
12 Medicare population, as well as providers,
13 facilities, physicians in community practice?
14 Question number six: What are the
15 knowledge gaps in current evidence pertaining to the
16 usual care of chronic wounds?
17 And the final question, what trial designs
18 will support the development of sufficient evidence
19 to determine the appropriate treatment of chronic
20 wounds? What units of analysis and covariates can be
21 considered?
22 DR. DAVIS: Thank you very much. Let me
23 pause and see if there are any questions from members
24 of the panel. If not, we'll proceed to the next item
25 on the agenda, which is the presentation of the

00026

1 technology assessment by Dr. Tom O'Donnell.
2 DR. O'DONNELL: Thank you, and good
3 morning. I'm Tom O'Donnell, and I'm a senior surgeon
4 at Tufts-New England Medical Center. I was formerly
5 the chairman of the department of surgery there for
6 ten years, and served as the CEO and president of the
7 hospital.
8 This morning my task is to present the
9 results of a technology assessment in the usual care
10 and management of chronic wounds as derived from the
11 recent literature. CMS had requested a technology
12 assessment report from AHRQ and the Tufts-New England
13 Medical Center evidence-based practice center
14 participated and collaborated with AHRQ in analyzing
15 and compiling this data.
16 CMS, as Jim Rollins was saying, was
17 interested, what is the usual care for chronic
18 wounds? It's very important to understand that our
19 task was not to look at efficacy. We did not address
20 that, nor were we charged to develop clinical
21 practice guidelines. We were looking merely at the
22 usual care. The charges summarized on the next two
23 slides were to review clinical trials to develop:
24 What are the usual care; the evidence and rationale
25 for each element; what were the common modalities

00027

1 across the different wound types, diabetic, venous
2 and pressure; what unique modalities were noted for a
3 particular wound type; and then, how did the 20
4 largest studies conform to the FDA recommendations?
5 As Jim mentioned, the NHS-sponsored health
6 technology assessment program in the UK did a major
7 review of studies up to 1999 and were impressed by
8 the methodologic weaknesses of this trial, so we
9 started our review from the date that they concluded
10 their review. The methods were to address clinical
11 practice guideline recommendations from the National
12 Guideline Clearing House and MEDLINE. Not an
13 exhaustive, but a review of surgical, dermatologic
14 and rehabilitation medicine textbooks. And most
15 importantly, review of usual care in randomized
16 controlled trials published since 1997. And then,
17 apply it to the 20 largest studies that the FDA had
18 set up.

19 A chronic wound is one where there is
20 persistent loss of epithelial coverage or integrity,
21 or the wound appears to be stuck in the inflammatory
22 or proliferative phase, subjecting it to repeated
23 injury, infection and inflammation. The types of
24 chronic wounds that will be addressed today, as Jim
25 outlined, are neuropathic diabetic foot ulcers,

00028

1 chronic venous insufficiency secondary to that, and
2 pressure or decubitus ulcers. We will not be
3 discussing ischemic or other types of wounds.
4 The first phase was to identify common
5 modalities derived from clinical practice guidelines
6 and from expert reviews. The National Guideline
7 Clearing House Search was utilized. 117 guidelines
8 addressing wounds were assessed. 11 guidelines were
9 available on the specific wounds of interest, the
10 majority on pressure ulcers, two on diabetic, one on
11 arterial, and none on venous. Subsequent to this
12 study review, there were two others developed for
13 venous ulcer, and one additional for diabetic.
14 As I stated, there was a nonexhaustive
15 review of textbooks. In general, the basic textbooks
16 were very vague and only when you got to specialty
17 textbooks did you see some specifics on the common
18 elements for wounds. These are the common modalities
19 that were identified from this review: Cleansing,
20 debridement, either sharp or non-sharp, antibiotic
21 treatment, dressings, and physical measures for
22 diabetic and pressure, off-loading, and for venous,
23 compression.
24 The type of wound dressings, since a lot
25 of the studies address the type of wound studied, and

00029

1 again to emphasize, we were only looking at the
2 control arm, are classified along Winter's
3 classification, passive, interactive or active. We
4 will be focusing on this side and will not deal with
5 biologic or active dressings. And they are further
6 subdivided into non-occlusive, semi-occlusive and
7 occlusive. The latter definition is related to the
8 dressing's ability to decrease moisture vapor
9 transmission rate from the wound, and anything below
10 35 MVTR leads to a moist wound. And Winter in a
11 series of experimental clinical studies showed a 40
12 percent increase in epithelialization rate over dry
13 wounds, so that hydrocolloid falls below this,
14 impregnated gauze and woven gauze do not. Saline wet
15 to dry, depending on the water content, flows
16 anywhere, and certainly if it's moist, it would be
17 below the 35 standard. And further subdivision into
18 the types or classes of products is shown on this
19 slide.
20 Many of the studies addressed, at least
21 the experimental arm addressed these active or
22 biologic products. We will not be addressing those
23 today, but they were part of the RCT.
24 The review of randomized controlled
25 trials, and we picked randomized controlled trials

00030

1 because they most likely had the most rigid study
2 design to define the modalities. And research, as
3 stated on this slide, identifying 277 articles out of
4 nearly 3,000 unique citations. Again, we are
5 focusing on the control group, the modalities of care
6 used in the control group. The inclusion criteria
7 for the RCTs was published in the English language;
8 again, as Dr. Rollins said, chronic wound of 30 days
9 or more; mixed duration ulcers without clear duration
10 were included; and trials of any duration of
11 treatment were assessed.
12 148 qualifying studies with over 12,000
13 patients. We'll focus on the trials to the left.
14 The largest were in venous ulcers, almost twice as
15 much as the number of patients in diabetic ulcers and
16 a great magnitude greater than the 33 pressure ulcer
17 trials.
18 The data was collected to obviously
19 characterize usual care by the treatment modalities
20 that I outlined for you, but also patient
21 demographics, country where the study was conducted,
22 study size, ulcer duration, and trial objectives were
23 also studied.
24 Now if you look at the characteristics of
25 the three types of ulcers, the largest sample size

00031

1 was in diabetics where there was one trial of 922
2 subjects; seven of the trials were greater than 100
3 in the diabetic; and 17 trials were greater than, as
4 far as sample size, greater than 100 for venous, and
5 pressure tended to be smaller.
6 The diabetic population tended to be
7 younger and predominantly male, whereas equally
8 distributed in the other two ulcers, and obviously in
9 the older population.
10 When we look at the country of origin, 50
11 percent or so of the diabetic and pressure were
12 conducted in the United States, followed by the UK.
13 Conversely, though, a look at the randomized
14 controlled trials of venous ulcers, about 30 percent
15 were done in the UK. It's very interesting that
16 Italy had a very significant number of diabetic and
17 venous ulcer trials. No randomized controlled trial,
18 or very few tended to be multicountry in nature.
19 When we look at the maximum treatment
20 duration for the various ulcers, you will see that
21 venous, the study duration tended to be the longest,
22 24 months at 70 percent. 60 percent of the diabetics
23 fell in between 12 to 23, and the pressure ulcer
24 studies, 85 percent were of the shortest duration.
25 These series of slides will be color coded

00032

1 with diabetic blue, pressure red, and venous yellow.
2 This is the frequency of reported wound care
3 modalities in the various groups. As far as surgical
4 debridement, 80 percent of the diabetic ulcer trials
5 were reported, followed by about 35 percent of the
6 pressure, very infrequent in the surgical trial, and
7 nonsurgical debridement, less than 10 percent. 50
8 percent of both the pressure and venous reported
9 cleansing with fluid of the wound, less so in the
10 diabetic. Compression, obviously used for venous
11 ulcers, reported in over 85 percent. And off-loading
12 of pressure for diabetic feet, again, reported in
13 comparable proportions, and less off-loading but
14 still a significant proportion in the diabetic. It
15 should be remembered that these are what is reported.
16 The authors could have assumed that the customary
17 care did not need to be reported, so that is the
18 weakness of an analysis like this. Dressing was
19 reported in over 90 percent of the trials for the
20 three groups.
21 This slide demonstrates the specific wound
22 dressings across the various types of ulcers, dry
23 gauze, ointment, paraffin accounted for less than 10
24 percent of, or less than 15 percent of the various
25 trials, i.e., the non-occlusive dressings. By far

00033

1 and away, saline wet to dry and hydrocolloid were the
2 most frequently used dressings, and certainly favored
3 in the diabetic foot ulcer and the pressure ulcer,
4 whereas in venous ulcer and the pressure ulcer,
5 hydrocolloid accounted for about 40 percent of the
6 wounds. In venous ulcers, the combination of
7 dressings plus rigid compression, Unna's boot, was
8 used in about 15 percent. Of significance is greater
9 than 20 percent of the diabetic and venous ulcers did
10 not report what dressing was used.
11 When we look at the frequency of dressing
12 changes and how frequently they were reported in the
13 various trials, over half of the diabetic and nearly
14 half of the pressure reported the frequency of
15 dressing changes and a very small proportion in the
16 venous patients. Obviously, this is a problem in
17 larger study design. When we look at the frequency
18 of dressing changes, in the diabetic and the pressure
19 changed more frequently, one to two times per day,
20 probably related to the dressing product used,
21 whereas in the venous ulcer group, it tended to be
22 change one to twice a week, again related to the type
23 of dressing used.
24 How did these studies conform to the FDA
25 draft guidance document? To review the various

00034

1 characteristics, what we did was take the 20 largest
2 studies and analyzed how they matched as far as
3 outcome on measurements, particularly proportion of
4 wounds completely healed, time to initial healing or
5 healing rate, and most importantly, something that's
6 been ignored in many of these studies, incidence of
7 recurrence. Hopefully they would record in life
8 table analysis form the ulcer healing rate. When we
9 look at how they conform to the FDA document as
10 portrayed in this slide, you see that complete wound
11 closure was found in about 80 percent of the trials,
12 so that's pretty good. However, 45 percent of the
13 diabetic and 25 percent of the venous still used
14 partial wound closed as an outcomes measurement.
15 What is a little disappointing is shown by the low
16 proportion in the reporting of wound size
17 pre-and-post debridement, use of antibiotics during
18 the study, which were not mentioned. So other than
19 telling us that they had complete healing, the
20 studies failed to live up to many of the requisites
21 of the FDA document.
22 When we look at the summary of the usual
23 care modalities, we see that nonsurgical debridement
24 is infrequent and comparable among the three ulcer
25 types. Cleansing occurs in 50 percent or so of

00035

1 pressure and venous, less in the diabetic. Dressing,
2 as stated earlier, used in the majority of these
3 ulcers. Antibiotics reported more frequently, three
4 times as much in the diabetic versus venous and
5 pressure ulcers.
6 And I will talk a little bit about
7 surgical debridement and physical measures, which are
8 a unique modality, but again, our task was to look
9 at, in addition to common, what were unique. In
10 diabetic foot ulcer, off-loading was reported in 80
11 percent of the studies. In addition, sharp
12 debridement was reported in 80 percent of the
13 studies, here using a scalpel to remove tissue around
14 the rim of the ulcer.
15 An important study prior to our view was
16 that of David Steed, which showed that using the
17 recombinant becaplermin growth factor would seem to
18 be a better healing rate in diabetic ulcers. What
19 was of interest is that a post hoc analysis showed
20 that scalpel debridement also was associated with a
21 greater healing rate. Obviously this is
22 retrospective and would have to be proven by doing a
23 prospective trial, but it suggests that scalpel
24 debridement is important.
25 Far and away the most important modality

00036

1 in venous ulcers is compression, and about two-thirds
2 of the studies either used wrap, generally high
3 compression, multi-layer, as shown on this limb. In
4 addition, about 15 to 20 percent of the studies used
5 elastic stockings, obviously difficult sometimes when
6 you have ulcers present, and this was reported in 83
7 percent of studies.
8 Some form of off-loading, and shown here
9 is a special bed, in the pressure ulcers was reported
10 in 50 percent of ulcers. It could be a special
11 cushion or whatever, to remove the point pressure
12 over the ulcer.
13 When we look at the summary of the wound
14 dressings for all the randomized controlled trials,
15 we see that saline wet to dry is found in about 50
16 percent of diabetic and pressure, infrequent in
17 venous. Hydrocolloid, more common in pressure and
18 venous. Unna's boot used in about 15 percent of
19 venous. Again, related probably to the type of
20 dressing, it is more frequently changed in the
21 diabetic and pressure ulcer.
22 So, we would conclude from this review
23 that there is general consensus on the basic
24 treatment modalities, but recommendations are vague,
25 they are not comprehensive, and unfortunately lack

00037

1 details. The randomized controlled trials had
2 considerable variation in the frequency of reported
3 use and treatment modalities, across different ulcer
4 types as well as for specific ulcers. There were a
5 large number of RCTs available but that's deceptive
6 because they yield at times limited information
7 regarding the treatment modalities to be used. In
8 addition, we have shown that conformance with FDA
9 draft guidance is low, and the quality of information
10 is generally poor, leading to a significant variation
11 in the reporting of the use of specific modalities.
12 We would conclude that the low rate of
13 reporting is either related to the investigator
14 didn't employ it or he or she considered it was so
15 basic that they didn't need to bother to report it.
16 Jim Rollins asked me to review some study
17 design characteristics and since I'm under my time,
18 I'll present this. So two or three days ago, I
19 reviewed the 20 largest studies that were applicable
20 to diabetic, pressure and venous, and looked at study
21 design characteristics. About 80 percent of the
22 venous had an a priori calculation of sample size,
23 less than diabetic and less than pressure. This is
24 up from the 6 percent in the NHS assessment in 1999.
25 Baseline comparability of groups is pretty good but

00038

1 in venous they failed to look at the incidence of
2 venous insufficiency, and diabetic many times they
3 did not look at the location of the ulcer on the leg
4 or foot. Intention to treat, quite low, 35 percent
5 in the diabetic, 42 percent in the venous. Providing
6 the number withdrawn and the reasons for withdrawal,
7 over three-quarters. And using an end point as far
8 as percent ulcer healed, diabetic three-quarters,
9 pressure only a third, and venous about 70 percent.
10 As I say, comparing this to the earlier review by the
11 NHS, it's improved but certainly not satisfactory to
12 make judgments on.
13 Thank you. This concludes my
14 presentation. I will be glad to answer any
15 questions.
16 DR. DAVIS: Thank you very much.
17 Questions? All right. Well, we will have the
18 opportunity I think later on in the morning to ask
19 questions to any of the speakers, so if you think of
20 a question later on this morning, please hold and it
21 and we will have a chance to come back to it.
22 So, we will now proceed to the next
23 presentation by Dr. Susan Horn.
24 DR. HORN: Good morning. I am going to
25 share with you today some results of a study done in

00039

1 the actual practice of care to look at what was
2 associated with healing of pressure ulcers in
3 long-term care settings. The study has been called
4 the National Pressure Ulcer Long-Term Care Study. To
5 give you a framework of what it involved, there were
6 six large long-term care providers of which, or in
7 which there were 109 long-term care facilities
8 located all across the United States. We studied
9 almost 2,500 residents. A little more than half of
10 them had a pressure ulcer; the others were at risk of
11 developing a pressure ulcer. They were largely
12 female, as is typical in long-term care populations,
13 and their average age was almost 80 years old.
14 In studies on the actual practice of care,
15 you can look at many different outcomes, so we looked
16 at who developed pressure ulcers if they didn't start
17 out with one, how did pressure ulcers heal with
18 various definitions of healing, which is what I will
19 concentrate on; but then also issues such as who was
20 hospitalized and issues about systemic infections.
21 Just to give you a flavor of the types of
22 data that were collected, we tried to get lots of
23 details about the residents themselves, and you see
24 the factors listed here. In particular, we were able
25 to control for how sick they were for each of their

00040

1 diseases and all of their relevant signs and
2 symptoms, and whatever their signs and symptoms, the
3 higher the score, and that could be controlled for in
4 subsequent analysis.
5 We also looked at details about the
6 staffing associated with them, and then what factors
7 were used and the timing of them for preventing
8 pressure ulcers if they didn't have one, and for
9 treating pressure ulcers if they did have one.
10 Although this is not our focus today, I
11 thought I would just very quickly share with you some
12 of the things that come out of doing studies like
13 this. In this case, this slide and the next one show
14 you the factors that were associated with developing
15 a pressure ulcer. In general assessment I have
16 factors about the residents, and if they have a
17 positive sign in front of them, that means they were
18 associated with greater likelihood of developing
19 pressure ulcers.
20 So, though some of the previous comments
21 have shown you that definitely the older population,
22 it turns out males in addition, sicker patients, have
23 a history of a pressure ulcer, et cetera, were all
24 factors associated with greater likelihood of
25 developing pressure ulcers in long-term care.

00041

1 There's nothing you can do about those differences,
2 but they are important covariants to account for when
3 you are trying to determine what are the best things
4 to do if you're going to intervene to prevent
5 pressure ulcers.
6 If the residents were incontinent, what
7 the data showed us was using disposable briefs was
8 associated with fewer pressure ulcers, or using
9 antidepressant medications was associated with fewer
10 pressure ulcers developing. Also, those that had
11 fluid, sufficient fluids, were taking nutritional
12 supplements or taking enteral supplements, had fewer
13 pressure ulcers developing.
14 And subsequently in studies where we
15 implemented these changes in long-term care, we have
16 found outcomes that were predicted, and we have been
17 able to improve the decrease in development of
18 pressure ulcers by more than 50 percent in long-term
19 care settings.
20 But our focus today is on healing. In
21 this same study for those residents who developed
22 pressure ulcers, we also looked at what was
23 associated with getting them to heal more quickly.
24 Now the patients I'm going to share with you, the
25 residents I'm going to share with you today had

00042

1 either Stage II, Stage III or Stage IV ulcers. We
2 followed them for a 12-week period of time, taking
3 the data out of their medical records or medication
4 logs, or the central dataset data, and the outcomes
5 that I'm going to focus on today is change in
6 pressure ulcer area from one assessment to the next
7 assessment. More than 76 percent of the assessments
8 were less than seven days. So we looked at the area
9 at one time and the area the next time that they
10 assessed it to see if it had gotten larger or
11 smaller, because of course the goal was in healing
12 these ulcers to have them get smaller and smaller
13 over time. And as you know, in the actual practice
14 of care, they usually don't keep the same treatment
15 on for a very long period of time, they keep changing
16 them from assessment to assessment, and so we wanted
17 follow what was associated with improving there.
18 In terms of the data I'm going to share
19 with you, there were over 2,600 assessments of Stage
20 III or IV pressure ulcers, and that's what I'm going
21 to display today. The factors that were associated
22 with healing in this case, the area of the pressure
23 ulcer getting smaller from the first assessment to
24 the next assessment, so there is improvement over
25 time. If something is negative here with regard to

00043

1 the general assessment, it was associated with less
2 improvement. If it's positive, it's associated with
3 greater improvement.
4 So in particular, some of the things that
5 we found is that you have to be very careful about
6 residents that have depression, particularly dementia
7 and depression, which is very widespread in long-term
8 care facilities, and concentrate on making sure you
9 do the right treatment for them because they have
10 less improvement over time. Also not surprising, the
11 sicker patients had less improvement over time.
12 But then with regard to dressings,
13 nutrition and bed surfaces, this is what we found.
14 Moist dressings were definitely associated with best
15 healing from one assessment to the next. Dry also
16 worked, but not as well, as I will show you in a
17 moment. Another factor we found that was highly
18 significant was having sufficient feeding, and the
19 definition that we used as a threshold was more than
20 30 kilocalories per kilogram over a 21-day period of
21 time. Finally, we found that Group 3 bed surfaces
22 were associated with better healing from one
23 assessment to the next.
24 Let me show you what that means in terms
25 of size from one assessment to the next on average.

00044

1 You see many episodes of care where moist was used,
2 and the mean healing area from one assessment to the
3 next was greater than one centimeter squared during
4 each of the assessments, as compared to less than
5 that for dry, for other kinds of dressings, for no
6 dressings at all. And for any dressings that also
7 used collagenase, which was quite widely used as we
8 see here, we found actually the least amount of
9 healing from one assessment to the next when those
10 were used. So moist dressings were definitely what
11 we found to be better.
12 With regard to feeding, we found better
13 healing rates when patients were fed sufficiently
14 compared to not.
15 With regard to the various kinds of
16 surfaces they were on during those episodes from one
17 assessment to the next, we found far better healing
18 if they were on Group 3 surfaces, compared to being
19 on Group 2 or Group 1 surfaces.
20 Finally, with regard to cleansing agents,
21 we found saline or soap and water associated with
22 better healing compared to not being recorded, or
23 antiseptic antibiotics or other commercial products.
24 So those are some of the findings that
25 have come out in the actual practice of care of what

00045

1 seems to be associated with the healing of pressure
2 ulcers.
3 The next question that we were asked to
4 address was what kind of studies should we consider
5 doing in the future to be able to assess what works
6 best for whom, and I would like to share with you
7 some of the experiences that we have had very quickly
8 to address that. There's a study design that was not
9 used in the study I just described to you but in many
10 other clinical areas is one that we call clinical
11 practice improvement study design, where we look at
12 multiple outcomes. Of course the main focus is
13 getting the best clinical outcomes, and what our
14 studies usually show is when we get the best clinical
15 outcomes we also simultaneously get the lowest cost
16 in terms of treatment and care. So finding out
17 what's best to do to get the patient or resident well
18 as quickly as possible does end up being cost
19 efficient.
20 In order to figure out, though, what is
21 associated with those outcomes, we need to know what
22 we've done with a great deal of detail, and when
23 we've done it, and what we've done in combination.
24 And also, we have to adjust for whom we are doing it
25 to, so we collect great amounts of detail on both

00046

1 patients, the treatments and the outcomes. By
2 putting this comprehensive data together, then, we're
3 able to look at residents or patients that have
4 comparable comorbidities, same ages, gender, location
5 of wounds, et cetera, to be able to be sure that
6 we're talking about the same kind of people and what
7 works for them. And by putting that together, then,
8 we're able to go beyond the restrictions in a
9 randomized trial that usually limit what patients we
10 can look at to examining all patients or residents in
11 that condition, controlling for how sick they are by
12 measuring in great detail about all of their
13 illnesses as you see represented here, and then also
14 rather than guessing at what treatments or single
15 treatment at a time might be associated with better
16 healing in a small group of people, we can look at
17 combinations of what's done in the actual practice of
18 care to determine what combinations are associated
19 with best outcomes. Thank you.

20 DR. DAVIS: Any questions? Dr. Horn, I
21 had a question. You showed a history of tobacco use
22 being associated with healing?

23 DR. HORN: No, development.

24 DR. DAVIS: Development of ulcers. Did
25 you stratify that by current user versus former user,

00047

1 did you have any current users in the patient
2 population?

3 DR. HORN: That's the way the data were
4 collected, in terms of have they ever used tobacco,
5 or when, or whether they stopped, or things of that
6 sort. And so we tried a number of different ways to
7 quantify that variable, and it came out the way you
8 saw it there, which was any past use of tobacco
9 seemed to be associated with a greater likelihood of
10 developing pressure ulcers, after controlling for all
11 the other variabilities that you saw there on that
12 slide. So there may be something to do with what
13 happens to a person's body even if they stopped
14 smoking, because most of the time they don't allow
15 them to smoke in long-term care settings, but we
16 could not find anything, any other kinds of
17 definitions that for some subset it would be
18 significant and for others it wouldn't.

19 DR. DAVIS: Thank you.

20 MS. KUEBLER: There is a lot of data
21 showing patients who have smoked for a period of
22 time, there is a cascade that probably contributes to
23 some of that wound development.

24 DR. DAVIS: Other comments or questions?

25 MR. QUEENAN: I do have a quick question.

00048

1 How long did it take you to sort of design and
2 execute that particular study? And in particular, if
3 one were to think about translating that sort of
4 study where you have access to people who are already
5 under care into a different environment or other
6 kinds of wounds, I'm wondering if you could comment
7 on whether that might be easy or hard in light of
8 what you learned from that study.

9 DR. HORN: For the first question, it was
10 how long did it take us to put it together. In each
11 one of the studies that we've done of this nature, we
12 gather together experts in the area, look at the
13 literature and guidelines, and also people who are in
14 the actual, who are actually treating those kinds of
15 patients.

16 So for example, we had a number of
17 certified nursing assistants, directors of nursing,
18 et cetera, from existing long-term facilities that
19 participated in our studies. And we asked them, what
20 do you do, what are you doing in the actual practice
21 of care, so we can be sure we're defining things
22 exactly in ways that they will understand what it
23 means when the data are analyzed. They also told us
24 a lot about nutrition. People who work in this area
25 for a long time, they have a lot of intuition about

00049

1 what works and what doesn't, and they have very
2 differing intuition depending upon what part of the
3 country they're from.
4 So what we do is, we never say to someone,
5 so, you have to show us there is some study that says
6 that the data you're asking for have been useful in
7 the past. We say whatever you think, we're going to
8 collect. So that's the way these data instruments
9 get put together. And frankly, it often will take
10 six months to a year to be able to get everybody to
11 say, you know, I think we've got a comprehensive way
12 of looking at these patients or residents.
13 Then you go into charts and collect the
14 data if the data are there. Most of the studies that
15 I've reviewed recently, even in long-term care but
16 also in stroke rehabilitation, et cetera, we have
17 found that once people find the data they want, we
18 find a lot of it isn't in existing medical records.
19 And consequently then, we put together a prospective
20 data collection system.
21 Then the next question is, can this kind
22 of design be used widely? I have had experience
23 using that same conceptual three-component study in
24 inpatient care, surgery or medicine, pediatric care,
25 long-term care as you've seen, stroke rehabilitation,

00050

1 hospice care, and in every one of the areas that we
2 have ever conceptualized this kind of a model, we
3 have found amazing things in terms of associations,
4 and it always seems to address the issues that are
5 relevant in those particular areas, so I found it
6 very widely applicable.

7 DR. GOODMAN: I was struck by the role of
8 the nutritional status both in development and in
9 healing. In any of these care facilities or to your
10 knowledge in the guidelines, is nutritional
11 supplementation or minimum intake part of the care
12 protocol?

13 DR. HORN: I have the guidelines that go
14 toward prevention of pressure ulcers and also
15 treatment of pressure ulcers. I have seen nutrition
16 addressed but in a way guidelines usually address
17 things, such as if someone is nutritionally impaired,
18 make sure that you consider that in ways. What we
19 have found in our data is that when you get into the
20 details of what was actually done, you can find what
21 of those multiple interventions are associated with
22 better outcomes. And we particularly found for
23 prevention, if we use standardized medical
24 nutritional products, those are the complete products
25 that have the right combination of proteins, lipids

00051

1 and carbohydrates, or enteral feeding, did much
2 better in terms of the prevention of pressure ulcers
3 than things like nutritional snacks or other things.
4 So that's what you're able to differentiate when
5 you're using data of this nature. And we've
6 actually, or that prevention study have implemented
7 that in a number of long-term care facilities across
8 the country, and we have found a great decrease in
9 development of pressure ulcers by focusing on those
10 few things, like sufficient nutrition, sufficient
11 fluids, using disposable briefs, and making sure they
12 have appropriate staffing and medications.

13 DR. GOODMAN: In terms of the treatment,
14 you also had it accelerating wound closure. Were you
15 able to distinguish the effect in those who they
16 documented were underweight or had nutritional
17 deficiencies, versus those who didn't have obvious
18 deficiencies.

19 DR. HORN: That measurement of how
20 impaired they were in terms of weight and other
21 things like that was over in our severity
22 measurement.

23 DR. GOODMAN: Right. I guess the question
24 is, was there an interaction, that is, was it more
25 likely to have an effect if they had deficiency

00052

1 versus not?

2 DR. HORN: No. That was kind of
3 interesting. Even people who had heavy body mass
4 index, we found that for healing pressure ulcers,
5 they even were better off having sufficient
6 nutrition. So that was interesting in that very
7 specific case that we looked for that and couldn't
8 find the association. In other words, it looks like
9 everybody needs nutritional support to get their
10 ulcers healed.

11 DR. DAVIS: Dr. Greenough.

12 DR. GREENOUGH: I'm interested in your
13 staffing iteration. I'd like you to expand on the
14 key issues, how much money you spend on the very
15 expensive mechanical devices versus staffing. As we
16 know in nursing, if you have a nurse-to-patient ratio
17 in acute hospitals of less than four to one, or five
18 or six to one, then mortality increases, and I notice
19 you didn't have any comments to the fact of increased
20 staffing except for nursing assistants, so could you
21 comment further on that?

22 DR. HORN: Yes, actually those, when we
23 first did that analysis, or I reported to you the
24 published analyses here. We did not go any further
25 than to just cross the threshold. But subsequently

00053

1 we have and have a paper that's going to be appearing
2 on that issue. And what we found was, it was RN
3 staffing that was most associated with, higher RN
4 care was more associated with better outcomes. And
5 in particular, it was 30 to 40 minutes of direct RN
6 time per resident per day that was associated with
7 significantly less pressure ulcer development,
8 significantly less hospitalization, significantly
9 less urinary tract infections. So every one of the
10 outcomes that we looked at, less declines in
11 activities of daily living, better outcomes all
12 across the board.
13 We've also undertaken a study of the
14 economic evaluation of RN staffing. I think -- well,
15 I will finish that piece. For the RN staffing, it
16 turns out that for increasing -- most of the
17 facilities had less than ten minutes of direct RN
18 care time per day, and 30 to 40 was what we found to
19 be the best for our outcomes that we looked at. The
20 cost savings per long-term care at-risk resident was
21 about \$3,200 per year if we would increase the RN
22 staffing, and I can tell you more details about that
23 in our subsequent discussions, but that was really
24 rather striking. In other words, we as a society are
25 paying \$3,200 more per resident per year to get

00054

1 poorer outcomes because we have, I think,
2 understaffing in some cases, and significant
3 understaffing.

4 CNA and LPN time were only associated with
5 the prevention of pressure ulcers, they were
6 associated with none of the other better outcomes,
7 they were not significant to any of them.

8 DR. DAVIS: Let's try to wrap up questions
9 before moving on. Dr. Weiner.

10 DR. WEINER: Just one quick question. You
11 identified starting out with a large ulcer, very
12 large ulcer, and if it was associated with
13 improvement, obviously there is more room to improve.
14 Did you control for that?

15 DR. HORN: Yes, that was over in the side
16 with the general assessment, Jonathan, because we did
17 find that larger ulcers, the healing change in area
18 is greater, so we had to control for that.

19 DR. WEINER: So when you reported the
20 observed change, you took that into consideration.

21 DR. HORN: Yes, that was adjusted for that
22 when I reported the results.

23 DR. DAVIS: Thank you very much. We'll
24 move on to the next presentation by another member of
25 our panel, Dr. Elizabeth Ayello.

00055

1 DR. AYELLO: Thank you very much, and good
2 morning. I appreciate the opportunity to talk a
3 little bit about what's going on in wound care
4 clinics across America to take care of the number of
5 people who have diabetic foot ulcers.
6 As we've heard before, diabetes is a
7 worldwide epidemic if you look up the numbers for
8 Americans, and we've heard from Jim Rollins already
9 that they're up to several million Americans with
10 diabetes, some of which are already diagnosed and
11 some of which have yet to be discovered. What is
12 even more concerning is the number of people with
13 Type 2 diabetes is increasing in younger people, the
14 diabetes that we did not see in our young people and
15 children in the numbers that we're seeing now,
16 as well as the expected increase in the number of
17 people in the United States over the next 22 years
18 with projections as high as 60 percent.
19 We heard about the importance of
20 ulcerations and how they lead to hospitalization, but
21 we've also heard the ADA numbers in terms of 15
22 percent of people with diabetes will develop a foot
23 ulcer. But even more alarming is the fact that the
24 international working group for diabetic feet has
25 projected that it may be as high as 25 percent rather

00056

1 than 15 percent that the ADA has projected.
2 Our patients, particularly our patients
3 over 60 years old, it's documented that around 15
4 percent of them will develop neuropathy, and the
5 usual components that will be there, the underlying
6 pathology is the loss of sensation, which hopefully
7 as we are assessing or protecting our patients,
8 clearly will increase their ability to have even
9 minimal (inaudible) which result in a foot ulcer, the
10 motor deformities which result in shuffle foot and
11 wasting of muscles and changes in the foot,
12 deformity, as well as autonomic shunting of the blood
13 which results in dry scaly skin, cracking, which
14 again, predisposes a person to ulceration.
15 Most of us in the clinical realm are
16 familiar with this definition of chronic wounds, that
17 they fail to progress to a normal, orderly, and
18 timely sequence of repair, or wounds that pass
19 through the repair process without restoring anatomic
20 and functional results. It's well quoted by the
21 Wound Healing Society and was first mentioned by
22 Lazarus in 1994, and diabetic ulcers certainly fall
23 in that category.
24 The Wagner classification has been used,
25 and I would point out, we've heard about the

00057

1 recurrence of ulcers, and they are graded as grade
2 zero, and the importance of preventing this high
3 recurrence rate, because they need to be treated as
4 well as ulcers delineated in terms of the development
5 of the tissue and the underlying problems.
6 In terms of diabetic ulcers, when you look
7 at the deformities that happen with the foot, they
8 are most at risk for what's called tip-top-toe
9 syndrome, as a result of pressure on the top of the
10 toes from shoes that are usually not well fitted for
11 them, as well as the head of the metatarsal can push
12 down through the bottom of the foot. We've seen
13 classic ulcers developing on the head of the
14 metatarsal.
15 We've heard about amputations, and
16 certainly amputations are available in other
17 populations other than people with diabetes, but they
18 occur 10 to 30 times more often in diabetics than in
19 the general population. We also have heard different
20 percentages of numbers, but 83 to 85 percent of
21 patients who have an ulcer will then follow with an
22 amputation, where diabetic ulcer amputation accounts
23 for about 66 percent of all nontraumatic amputations.
24 What this tells us is the importance of screening
25 patients with diabetes to identify their ulcerations

00058

1 early and then intervene in an appropriate early
2 aggressive manner.
3 Besides the emotional trauma and loss of
4 limb by a patient, and the importance of wound
5 salvation, we've heard about the high cost of
6 treating diabetic foot ulcers. This is just some of
7 the recent data showing some of the numbers in the
8 United States in 2001 in terms of how much it cost to
9 treat an uninfected ulcer versus infected ulcer, and
10 you see how the cost increases with osteomyelitis.
11 The other study on the bottom actually looked at
12 (inaudible) data as well as informational data, and
13 you can see that there is a little bit lower
14 difference in the cost of treating, probably because
15 of a more global perspective. The important thing to
16 remember is the mortality rates increase with
17 amputations, and that gives us another reason why it
18 is important to address diabetic foot ulcers.
19 We know that from some of the best
20 research that's being done that there is a difference
21 in the way chronic wounds behave as opposed to
22 healing wounds. We know with the diabetic patients
23 that the neuropathy and ischemia certainly
24 contributed, but what we're learning more about is
25 the decreased angiogenic response in diabetic

00059

1 patients, that there is actually an increase in
2 growth factors and that the growth factors needed to
3 regrow vasculature may be diminished in this patient
4 population.
5 Because these patients have diabetes,
6 control of the disease is important. Measuring the
7 glycosylated hemoglobin and controlling the diabetic
8 blood sugar is an important part of their treatment.
9 The DCCT trial showed that by controlling glycemia
10 with tight control, there was a 57 percent reduction
11 in neuropathy in those patients, and in the UK
12 prospective diabetic study published in 1998, by good
13 control of those patients with their glycemia, there
14 was a 25 percent reduction in the microvascular
15 complications for each one percent mean reduction in
16 hemoglobin A1C. Of great importance is the
17 multidisciplinary team approach. In the clinics that
18 I've been involved with consulting, the importance of
19 having appropriate team members and the team members
20 communicating with each other cannot be underscored
21 enough. Education of the patients and appropriate
22 intervention is critical, and there are several
23 guidelines that are published out there, the ADA and
24 the WOCN recently published guidelines for care of
25 neuropathic ulcers.

00060

1 This is one of several protocols that are
2 out there which have shown that strict adherence to
3 the protocol for wound care in clinics did reduce and
4 heal diabetic foot ulcers, but it takes a great deal
5 of commitment by a team and enough persons for this
6 to happen. The components of that plan include
7 appropriate measurement and photography of the wound
8 at each visit of the patient; evaluation for
9 ischemia, ruling out any osteomyelitis, since
10 infection is the enemy of the diabetic foot;
11 debridement, especially sharp; moist wound healing
12 with appropriate dressing; pressure redistribution,
13 which for the diabetic is usually referring to an
14 off-loading of the foot; and complete elimination of
15 cellulitis, infection, callus and drainage.
16 Ischemia needs to be evaluated, basically
17 noninvasive closed studies. ABI has been found to be
18 unreliable due to the calcification of the blood
19 vessels in the diabetic. Toe blood pressures may be
20 useful, and certainly revascularization. The
21 importance of proper debridement, particularly sharp
22 debridement has already been listed, and certainly
23 the work by Dr. Steed is one of the quintessential
24 studies out there. It requires removal of all the
25 callus in the wound.

00061

1 Infection for diabetic patients, early
2 aggressive treatment is critical. We have seen some
3 of the data about that. Nearly 50 percent of the
4 amputations result from infection. Deep cultures can
5 be obtained at the time of surgical debridement.
6 Infections in a diabetic are polymicrobial, so
7 therefore, antibiotic treatment with a wide spectrum
8 of antibiotics is needed.
9 Pressure in the foot, patients need
10 preventive shoes and off-loading once an ulcer
11 occurs, and certainly evaluation by some method,
12 whether it's pressure mapping the foot or ultrasound,
13 reducing the plantar pressure is important.
14 Pressure redistribution, there are a
15 variety of techniques that are out there. Certain
16 indications require custom footwear and orthotics to
17 reduce the pressure and accommodate foot deformities.
18 Total contact casting has been looked at as the gold
19 standard in the past, but some new data such as
20 looked at here by Cavanaugh, has looked at instant
21 contact casting versus total contact casting and
22 found that there was no difference, probably that you
23 couldn't take off the total contact cast, as well as
24 comparing the instance of contact casting using
25 pre-available walkers to remove pressure, and again

00062

1 found that there was an increased rate of ulcers that
2 healed.
3 Topical treatment, moist healing and
4 dressings, we have many dressings available that are
5 applied. If the wound is not healing, then we go to
6 cell therapies and growth factors.
7 So where are we now? Our problem is that
8 a very, very large study with 20-some thousand
9 patients found that 53 percent did not heal at 20
10 weeks. Our treatments must be multifaceted,
11 simultaneous, not sequential. We need a national
12 policy that will look at outcomes and accountability,
13 and healing must be expected.
14 So in conclusion, will the number of
15 chronic wounds with diabetic foot increase as the
16 numbers increase, and what will happen with
17 amputations? We need multidisciplinary team
18 approaches, early aggressive treatment, biological
19 therapies, debridement often, and pressure
20 redistribution, for which the best technique is yet
21 to be determined.
22 DR. DAVIS: Thank you very much.
23 Questions from the panel? Let me ask one as somebody
24 who does not deal with this problem in his practice.
25 For an early foot ulcer like Wagner grade I or II,

00063

1 before you get abscessed and gangrenous and so on,
2 what is the impact of quality of life, how much do
3 they restrict mobility.

4 DR. AYELLO: Of course every patient is
5 individual. There are some patients that will have a
6 great deal of pain because of the neuropathy, and
7 others do not have a great deal of pain. What
8 happens is many of these patients, some of them
9 become reclusive and will not go out, will not have
10 mobility. Actually one of the problems is, the more
11 that they walk on the foot at that point,
12 particularly as it is formed, that's really going to
13 prevent healing, so one of the strategies is, which
14 is going towards off-loading, is to get the pressure
15 off the foot. But these patients can have -- and
16 there are many studies out there impacting the
17 quality of life on these ulcers, which can be there
18 for months, years, and really have a negative impact
19 on the patients.

20 DR. DAVIS: Thank you. Dr. Margolis.

21 DR. MARGOLIS: Hi. I guess I'm the last
22 speaker, so I'm standing in the way of your break, so
23 I will move quickly. A lot of what I was going to
24 speak about has been covered already and addressed
25 quite nicely.

00064

1 There are four major chronic wounds,
2 venous leg ulcers, diabetic foot ulcers, arterial
3 insufficiency and pressure ulcers. The numbers that
4 I usually point to say that they will affect between
5 one to three million individuals in the U.S. per
6 year, and I will be concentrating in my talk on
7 venous leg ulcers. If you look at the prevalence of
8 those wounds themselves, there aren't many great
9 studies done in this country, the best studies are
10 actually done in Europe, and in Europe somewhere
11 between 0.2 and 1.3 percent of the population suffer
12 a lower extremity ulcer. Of those lower extremity
13 ulcers, somewhere between about 40 and 80 percent
14 will be due to a venous origin, and most recent
15 studies in Europe showed about 70 percent. That
16 supposedly transmits in the U.S. to between half a
17 million and a million wounds per year, although that
18 number is not well substantiated in this country.
19 They are generally more common in people over 65
20 years of age and women, although there is at least
21 one study looking at whether or not it is more common
22 in women or men, and it basically shows they are
23 probably more common in women than men only because
24 there are more women in older age groups. If you
25 were just looking at those over 65 years of age,

00065

1 there is at least one prevalence study in the UK that
2 shows that about 1.2 percent of the population will
3 have a venous leg ulcer.
4 Venous leg ulcers can be treated in the
5 outpatient arena, they are often treated in wound
6 care centers, and certainly the number of wound care
7 centers in the U.S. has increased dramatically in the
8 last 10 years. Treatment for venous leg ulcers
9 really needs to include both prevention and actual
10 treatment of the wound itself.
11 In terms of the cost of these wounds,
12 again, there aren't great U.S. studies. The most
13 recent study that was published was actually one from
14 the UK and the Netherlands, and came out to about
15 \$900 to \$2,600 per ulcer. They also noted the cost
16 of the ulcer increases as the prognosis, or the poor
17 prognosis of the wound increases.
18 So this is a typical venous leg ulcer, but
19 this one is also part of the spectrum as well, so you
20 can imagine how one standard therapy or one therapy
21 may not be a treat-all.
22 In terms of what causes the venous leg
23 ulcer, that's also not well established, but many of
24 the models argue that it's the calf muscle pump and
25 that abnormalities of the muscle pump are what cause

00066

1 the wound itself. The calf muscle pump includes the
2 calf muscle itself and the superficial and deep
3 venous system, as well as perforating veins and
4 valves in those systems. As those things become
5 abnormal, you increase the pressure on walking to the
6 superficial system.
7 There are multiple imaging and diagnostic
8 techniques that are available. Having said that, if
9 you actually look in most wound care centers, many of
10 these techniques often aren't used to determine
11 whether or not somebody has a venous leg ulcer.
12 Having said that, there is at least one large cohort
13 study that was done in Southern California that
14 looked at one of the supposed gold standards for
15 diagnosing venous leg ulcers or venous disease, and
16 demonstrated that the clinical exams and the results
17 from their testing do not always correlate both in
18 terms of the fact that somebody might have a venous
19 leg ulcer and have a relatively normal exam, and
20 somebody else could have a normal exam and have a
21 very abnormal test.
22 Standard therapies that have been quoted
23 in the literature show a healing rate or success rate
24 somewhere between 50 and 80 percent, sometimes even
25 90 percent, at about four to six months. Those

00067

1 numbers are very dependent on how well the patients
2 are followed, how long they are actually followed
3 for, how severe they were in terms of prognostic
4 factors. In most studies that are being conducted in
5 this country and the UK, there's multiple things that
6 commonly come up, common factors in terms of wounds
7 that aren't getting healed that are exposed to
8 standard therapy. These include duration of the
9 wound, how old the wound is itself, size of the
10 wound, and the arterial flow to the limb.
11 In terms of the standard therapy, there's
12 multiple consensus conferences, or at least consensus
13 conferences that I've heard, although they weren't
14 represented earlier, which all discuss about the same
15 thing which actually was represented earlier, that
16 for venous leg ulcers, the wound needs to be cleaned
17 or cleansed in some way, and many ultimately wind up
18 with debridement. Unlike diabetic foot ulcers and
19 pressure ulcers, often major and surgical debridement
20 is included as part of the therapy for venous ulcers.
21 You also have to have good wound care and good limb
22 care. Good wound care was earlier described in the
23 moisture retentive dressings. Good limb care is also
24 important, the wound mass rate and surrounding skin
25 index related to the wound can cause surrounding

00068

1 problems. And compression, this is a typical
2 compression bandage or multilayer compression
3 bandage.
4 This is one of multiple studies that have
5 been done with large cohorts of patients
6 demonstrating the importance in early episodes on
7 prognostic factors, the size of the wound on
8 presentation, how old the wound was on presentation,
9 and the adequacy of the lower limb arterial flow.
10 These factors have also been used by multiple centers
11 when trying to develop prognostic models, and these
12 are what the models say are reasonable areas under
13 predicting how well the person is going to do at
14 about 20 to 24 weeks.
15 With respect to standard therapies that
16 may be used or are used, there's multiple Cochrane
17 reviews that have come out in the last several years.
18 I was actually on the editorial board for Cochrane,
19 so I guess that might be a potential conflict of
20 interest. These include looking at topical dressings
21 for pain, and the conclusion of this RCT-based review
22 was that a eutectic mixture of topical anesthetic may
23 be helpful in debridement, therapeutic ultrasound it
24 was thought might lead to some possible benefit.
25 Skin grafting, which in this case also includes

00069

1 cell-based therapy or artificial skin, enhanced the
2 chance of healing. It should also be noted that
3 within these artificial skin studies, at least one
4 study was published showing the differential effects
5 of whether they were treating good prognostic wounds
6 or bad prognostic wounds.
7 There was a review looking at laser
8 therapy which supposedly showed no evidence of
9 benefit. Intermittent pneumatic compression, in
10 which the conclusion was that further trials are
11 required. Electromagnetic therapy, which again
12 showed no reliable evidence. And then compression
13 bandage, which showed that they were helpful. And
14 one of the things that needs to be clarified here,
15 there is a difference between limb compression
16 bandages, and a single-layer bandage is not as
17 efficient and not as effective as multilayer
18 bandages, and I'm not sure if that was the confusion
19 in the earlier report, that they were all being
20 lumped, but (inaudible).
21 There is a Cochrane review looking at
22 compression for recurrence, which showed that
23 individuals who weren't wearing compression was
24 associated with recurrence. The use of oral zinc
25 showed no reliable evidence, and the use of

00070

1 pentoxifylline with compression was shown to actually
2 have a benefit.
3 There are, again, also multiple studies
4 that looked at other endpoints aside from wounds
5 being fully healed. Most clinical trials that are
6 published do look at wounds fully healed, which is
7 consistent with the FDA guidance documents, and
8 because of that other endpoints have been looked at.
9 What some people have discussed in the literature and
10 what is represented in some cohort studies is whether
11 or not the wound base is prepared properly, sometimes
12 also called graft-ready, whether or not there is less
13 pain, whether or not the wound was smaller over time
14 as was presented in earlier in the pressure ulcer
15 report, and whether or not the limb was salvaged.
16 Again, there are multiple cohort studies
17 of 20 to 30,000 individuals with venous leg ulcer
18 changes in area over time. Most of these studies
19 concluded that at about four weeks, using different
20 formulas to try to stabilize the sites of the wound,
21 that if somebody does improve by a certain amount, we
22 can actually predict fairly well that they are going
23 to heal 20 to 24 weeks later, and in that study they
24 were just looking at about 15,000 people treated in
25 different ways to sort of stabilize and standardize

00071

1 the wound site, and about two-thirds of the time we
2 can accurately tell at four weeks whether or not the
3 wound would heal in 20 or 24 weeks.
4 So again, to be brief and get us back on
5 track and let everybody run to the bathroom, chronic
6 wounds are actually very common in the population
7 that Medicare is interested in, and venous leg ulcers
8 are especially common in that up to 1.2 percent of
9 the population will have them in any given year.
10 There are some well-established therapies, the
11 therapies really are generically somewhat similar in
12 that the wound needs to be cleansed in some way, the
13 wound needs to be cared for well, including moisture
14 retentive dressings, and a pressure bandage needs to
15 be put on. There are some therapies in the Cochrane
16 reviews that might be helpful adjuvant therapies and
17 are fairly well established at this point. There are
18 some things much less likely to be established, and
19 the bottom line for these wounds is we need to
20 identify people at high risk to compress them to try
21 to prevent the wounds, you have to compress them when
22 they have the wounds, and you have to compress them
23 afterwards to prevent recurrences, and I will stop
24 there.
25 DR. DAVIS: Thank you very much.

00072

1 Questions? Yes, Dr. McNeil.

2 DR. MCNEIL: David, I missed what you were
3 saying about the difference between the different
4 types of compression, and you indicated something
5 that might be confusing.

6 DR. MARGOLIS: In the very first
7 presentation, I'm sorry, I forgot his name,
8 Dr. O'Donnell, he noted that in the RCTs with these
9 leg ulcers, the majority of them used compression and
10 called it a Unna boot. My argument would be that
11 probably you are lumping all compression bandages as
12 being the same, where other studies have shown that
13 Unna boots are probably not as effective as
14 multilayered compression.

15 DR. O'DONNELL: Let me clarify that. Over
16 two-thirds of the studies that I have reviewed for
17 the RCT used compression; only one-third used Unna
18 boot. I would agree with you that the Unna boot is a
19 rigid device that requires the patient to walk and is
20 not as effective as layered compression.
21 While I'm up here, I wonder if you might
22 mention about the role of surgery, particularly the
23 recent trials on which the Lancet perspective was
24 found.

25 DR. MARGOLIS: Yeah, that's an interesting

00073

1 study. If you look at other studies that have been
2 done, particularly cohort studies, the argument or
3 what you see from many of these studies is that
4 long-term the results may be the same whether you
5 have surgery up front or not. I think it's a nice
6 first study, it would be nice to see it replicated.
7 DR. DAVIS: Dr. Margolis, you mentioned at
8 the beginning that prevention is also critical, in
9 addition to treatment, and I don't recall that you
10 spoke much about prevention strategies. What are
11 some of the predictors for venous ulcers and how can
12 we prevent them?
13 DR. MARGOLIS: In general, I didn't think
14 Medicare was all that interested in prevention.
15 (Laughter.)
16 DR. DAVIS: They are getting more and more
17 interested in prevention.
18 DR. PHURROUGH: We are interested in what
19 Congress tells us to be interested in.
20 (Laughter.)
21 DR. DAVIS: But in defense of CMS, they
22 just announced a new coverage decision for tobacco
23 cessation counseling, so they are trying to push the
24 envelope.
25 DR. MARGOLIS: Yeah. I mean, there are

00074

1 some studies that have shown that people who have
2 multiple abnormalities, have a condition called
3 (inaudible) sclerosis, who have these types of
4 problems are more likely to develop venous leg
5 ulcers. Certainly there are many things to try to
6 sort of reverse or control the impression. What's
7 interesting and concerning pressure ulcers as well,
8 is that a key part of treatment is also a key part of
9 prevention. With pressure ulcers you have
10 off-loading mattresses, the non-weight bearing
11 mattresses or whatever you want to call them, and
12 turning is a key element in treatment and also a key
13 element in prevention. The same thing is true on the
14 venous side, and with limb compression, the bandages
15 are more likely, the stockings are very helpful in
16 terms of alleviating some of the abnormalities that
17 you see clinically, and it helps with the dressing of
18 the wounds themselves.

19 DR. DAVIS: And are most of these wounds
20 just occurring spontaneously, or do they begin with
21 an abrasion or some external pressure?

22 DR. MARGOLIS: Back when I was younger, I
23 was always told that venous do occur spontaneously.
24 When you talk to a lot of patients, I don't know if
25 any good studies have really looked at this, but

00075

1 there are actually some that peripherally have looked
2 at what causes them. If you talk to a lot of the
3 patients, they will tell you that they've been sore
4 and painful for a long time, they often had this
5 precursor or sclerosis, or they might then scratch
6 themselves and then several days later then, the
7 ulcer occurs. There is no great study that I know
8 that has really looked at this, but it's what I just
9 happen to hear from them.

10 DR. DAVIS: Yes, Jonathan.

11 DR. WEINER: Your next to last slide is
12 very interesting, suggesting that you could develop,
13 that's the one with predictive values and deals with
14 specificity.

15 DR. MARGOLIS: Yes, for four weeks.

16 DR. WEINER: And it suggests that where
17 there are data for over 30,000, you could develop
18 guidelines or predictive models, but did you find
19 that in practice, and perhaps later on when we
20 discuss it --

21 DR. MARGOLIS: We actually recently
22 finished a cluster randomized trial where we were
23 working with a computerized database and within their
24 database they reported the wound age and wound size,
25 and similar to what happens when you get a

00076

1 cholesterol level and evaluate the risk of a heart
2 attack, we had patients who would on their second
3 visit receive a piece of paper that said this is your
4 likelihood of healing within this system, and not
5 actually telling them to do anything, that's all it
6 was. For the group of patients who received the
7 piece of paper which talked about the prognostic
8 factors, those patients at the end of 20 weeks were
9 about 10 percent more likely to heal than the group
10 that didn't. If you look at the four-week marker
11 which is also used, you see about the same 10
12 percent.
13 What's interesting about that trial, and
14 it's certainly not been written up yet, is that many
15 of the physicians claim that the paper wasn't
16 helpful, although you know, you have at least some
17 evidence that it was mildly helpful. And it was only
18 one piece of paper and there was no discussion of
19 what they should do with the patients, just looking
20 at, you know, this is the likelihood this person's
21 going to heal. So against that background, I really
22 can't tell you much more, except that was what this
23 cluster trial was about, and there was about 4,000
24 patients enrolled.
25 DR. DAVIS: Thank you very much. We will

00077

1 take a ten-minute break and that will help us get
2 back on target.

3 (Recess.)

4 DR. DAVIS: We'll reconvene at this point.

5 The next item on the agenda is scheduled public
6 comments and we do have a relatively long list of
7 people who are scheduled to give public comments,
8 which is good, but we do need to stick to our
9 timetable. We are about ten minutes late at the
10 time, and we've already asked all of the public
11 commenters to limit their remarks to two minutes
12 each. And I realize that's difficult; however, you
13 always have the right to submit written public
14 comments and they will certainly be taken into
15 account by CMS staff, so let me implore each public
16 speaker to please limit their remarks to two minutes,
17 otherwise we're going to get way behind.

18 So we will begin with several
19 representatives of the Alliance of Wound Care
20 stakeholders, beginning with Dr. Diane Krasner.

21 DR. KRASNER: Good morning. I am Diane
22 Krasner, president of the Association for the
23 Advancement of Wound Care. I've been a wound and
24 ostomy continence nurse here in Baltimore for 20
25 years and am currently a regional clinical manager

00078

1 for a company that manufactures wound and ostomy
2 incontinence products.
3 On behalf of the Alliance of Wound Care
4 Stakeholders, thank you for the opportunity to
5 present this morning. Seven of us from multiple
6 disciplines will highlight key points from our
7 written submission to the MCAC. Representatives of
8 the Alliance have been meeting over the past two
9 months to consider the questions posed by MCAC. We
10 have concluded that there is data to support current
11 practices for the usual care of chronic wounds. My
12 colleagues will address specifics to that
13 momentarily.
14 I would like to take a moment to thank
15 Marcia Nusgart, the executive director of the
16 Alliance, for coordinating our efforts for this
17 presentation today, providing a collective voice for
18 the MCAC.
19 There are three overarching issues that I
20 would like to address. The Alliance has over 15
21 member groups; here you see nine of those groups and
22 here are an additional nine. Clinical evidence for
23 usual care of chronic wounds is supported by data and
24 we will be addressing a variety of levels of evidence
25 this morning.

00079

1 The first issue that I want to address is
2 the phrase usual care. We found the term usual care
3 to be frankly, unusual, especially when it comes to
4 chronic wound care, where each person's care must be
5 individualized because there is so much variability
6 between wounds and between people. But based on the
7 questions you posed, we have restricted our
8 discussions today to the six components of the usual
9 care that you have identified. We do believe,
10 however, that there are other important aspects of
11 usual care that are not on your list, including
12 nutritional support, vascular testing, societal and
13 social support, and others that we mentioned in our
14 written comments to you.
15 The second issue I want to speak to
16 relates to the working definition of chronic wound.
17 The Alliance is concerned that the definition posed
18 is too narrow. Major groups of wound patients that
19 are prevalent in the Medicare population have been
20 excluded, and these include the six categories listed
21 below on this slide.
22 Additionally, we are concerned that the
23 30-day time frame is unrealistic. For example, the
24 moment a surgical wound reaches day five, it becomes
25 a chronic wound, so 30 days is really a very

00080

1 arbitrary time frame and does not match our clinical
2 reality.

3 I would like to conclude by emphasizing
4 that chronic wounds are symptomatic of other
5 underlying conditions. These conditions must be
6 addressed before chronic wounds can be jump started
7 to heal. Chronic wound patients are complex, with
8 multiple comorbidities that vary widely from patient
9 to patient and wound to wound. Their complex needs
10 warrant an interdisciplinary approach to wound care.
11 Thank you. I will now turn the podium
12 over to Dr. John MacDonald.

13 DR. MACDONALD: Thank you very much. I'm
14 Dr. John MacDonald, I'm speaking as president elect
15 of the Association for Advanced Wound Care. I think
16 a little background is in order so you can understand
17 where the multidisciplinary aspect of wound care
18 comes from. For 30 years I was a cardiovascular
19 surgeon in the south Florida area and for the past 15
20 years I have been heavily involved in the field of
21 lymphedema and wound healing, both in Haiti and in
22 the United States. I am now, of all things, a member
23 of the faculty of the department of dermatology at
24 the University of Miami, involved in wound care and
25 wound treatment there.

00081

1 The AAWC, we are the Association for the
2 Advancement of Wound Care. It is the premier
3 international society for wound care, with over 1,600
4 members. It is a multidisciplinary nonprofit
5 association headquartered in the United States. The
6 AAWC was conceived in 1995 to accomplish and promote
7 excellence in its patients, clinical practice, public
8 policy and research. We are dedicated to fostering
9 the best practice and evidence-based care for
10 management of people with complex, acute and chronic
11 wounds. We provide forums that promote excellence in
12 clinical practice, education, research, public policy
13 and international initiatives. Over the past year we
14 have launched the Advance of Practice Campaign to
15 heighten the awareness about the specialty practice
16 of wound care with seven partner organizations. We
17 have submitted to the commission three documents that
18 will outline many of the things that we are standing
19 for and that we will provide for your information.
20 The most important thing that I have to
21 say is that wound care is multidisciplinary. Due to
22 the complexity of most chronic wounds, it is
23 imperative that a comprehensive multidisciplinary
24 approach to care be taken in order to adequately
25 address each contributing factor, to optimize care

00082

1 and to improve outcomes. There is substantial wound
2 care research demonstrating positive qualitative
3 outcomes and the value of comprehensive
4 multidisciplinary wound care. The nature of the
5 chronic wound demands comprehensive multidisciplinary
6 care. Wound care professionals enhance the quality
7 of their treatment and their value on the team with
8 specialized training and board certification in wound
9 care. Thank you very much.

10 DR. EDSBERG: Hi. My name is Laura
11 Edsberg. I'm here representing the National Pressure
12 Ulcer Advisory Panel. We are a group dedicated to
13 the management and prevention of pressure ulcers.
14 Pressure ulcers can occur in a very short
15 period of time with unrelieved pressure whether a
16 person is sitting in a chair, a wheelchair, laying on
17 a support surface or a bed, or even on the operating
18 table. And I think what's important to consider is
19 when you look at the usual care for chronic wounds
20 and the clinical health care, what's really critical
21 is that we address pressure redistribution and how we
22 relieve that pressure and how do we support that
23 person without creating a pressure ulcer.
24 Additionally, we have to consider the importance of
25 shear and the relevance of shear to creating pressure

00083

1 ulcers even faster.
2 Additionally, I think we should look at
3 the outcome measures that were discussed and consider
4 some additional outcome measures. Some of those
5 might include reduction of exudate, decrease in odor,
6 and most importantly a reduction in the complications
7 associated with pressure ulcers, including pneumonia
8 or infection, and if possible, reduction in
9 hospitalization and our ability to avoid
10 institutionalization as a result of chronic wounds.
11 I think there are new outcomes that are
12 going to be appearing on the horizon shortly as our
13 research continues. We will start to see that
14 biomarkers are going to be a new outcome measure and
15 I think with the direction that the research has gone
16 in the last year or so, you'll start to see that not
17 only markers looking at MMPs and TIMPs but looking at
18 the complete biochemistry of the wound will soon be
19 the biomarkers relevant to the field and the outcome
20 of pressure ulcers. Thank you.
21 DR. WARRINER: Good morning. I'm Robert
22 Warriner and I'm here representing the Undersea and
23 Hyperbaric Medical Society, whose members include a
24 number of physicians who practice wound care as their
25 primary discipline, and that is my reason to speak

00084

1 with you today. I'm also a physician whose medical
2 practice for the past 15 years has involved solely
3 patients with wound healing problems in both the
4 inpatient and outpatient care setting.
5 I'm here to speak with you today about
6 debridement, which has already been well addressed by
7 previous speakers, debridement being defined as the
8 removal of necrotic tissue and foreign material from
9 the wound bed by a variety of means. I mention this
10 because some of the considerations related to
11 debridement include not only controlling the wound
12 bioburden but perhaps also adjusting the wound
13 geometry in such a way that wound healing may be
14 facilitated.
15 There are a variety of methods that are
16 typically used in debridement, including topical
17 applications of autolytic agents, chemical agents,
18 and local mechanical debridement. Those are
19 frequently performed by non-physicians. I'm not here
20 to address those specific areas, they have in fact
21 been reviewed recently by Cochrane, and there is
22 little differentiation in the relative value of these
23 debridement approaches one from another.
24 I want to spend my limited time speaking
25 about sharp surgical debridement and I want to speak

00085

1 to that from two perspectives. Very briefly, one is
2 the initial excision of a necrotic infected wound,
3 and I'm going to lay that aside, that is certainly a
4 surgical procedure generally done in the operating
5 room. The other form of debridement is that
6 debridement which may occur in a wound care clinic or
7 at the patient's bedside, may be performed by a wound
8 care physician, not necessarily a surgeon, or may be
9 provided by other licensed health care professionals
10 in a variety of settings related to maintenance of a
11 wound bed with minimal bioburden and appropriate
12 geometry.

13 I think it is fair to say that at this
14 point subjecting wounds to a randomized controlled
15 trial of surgical debridement versus no debridement
16 would be unacceptable as debriding necrotic wounds is
17 certainly the standard of care and has been since I
18 was a surgery resident in 1976. I think that it may
19 also be very difficult today to do a comparative
20 trial with surgical debridement in cases where that
21 is possible to other forms of debridement, given our
22 significant experience within surgical care.
23 Now this study has already been mentioned
24 by Dr. O'Donnell, this was the post hoc review by Dr.
25 David Steed published in the Journal of the American

00086

1 College of Surgeons looking at the unexpected finding
2 in subsequent clinical trials that those patients who
3 had more frequent debridements, at their follow-ups
4 are at higher healing rates. My take from this data
5 is that that really reflects a benefit of repetitive
6 debridement in diabetic foot ulcer patients who are
7 receiving some form of active topical agent, where
8 the agent, whatever it is, must be in full contact
9 with the wound surface for optimal effectiveness.
10 I'm not sure that from this limited data
11 we could extrapolate that debridement would seemingly
12 be beneficial for all wounds in this case. However,
13 Saap and Folanga recently reported in Wound Repair
14 and Regeneration a retrospective review of diabetic
15 foot ulcer trials looking at specific criteria of
16 those wounds, looking for periwound callus,
17 undermining of the wound edge and tissue necrosis.
18 And what is interesting about this data is that when
19 wounds met the requirements for debridement, having
20 any one of those criteria, and were sharp debrided,
21 or had none of the requirements for debridement,
22 their outcomes were improved in both treatment and
23 control arms over those patients who did not have
24 those wound abnormalities addressed by aggressive
25 sharp debridement.

00087

1 I think that it is impossible for us to
2 extrapolate from the diabetic foot ulcer debridement
3 data to all wounds, although I spoke with Dr. Horn
4 just a few moments ago, and she pointed out that
5 debridement was a negative factor in the integral
6 change in wound size. Debridement of pressure ulcers
7 was correlated ultimately to a higher healing rate.
8 We certainly don't have similar debridement data for
9 venous leg ulcers, but you can take the Saap and
10 Folanga view of looking at specific criteria in the
11 wound and do assessments in the future relative to
12 the specific appearance of debridement in different
13 wound types and using different wound modalities.
14 Thank you.

15 DR. MARSTON: Thank you very much. My
16 name is Bill Marston, and I'm an associate professor
17 of surgery at the University of North Carolina and
18 I'm here to represent the American Venous Forum. The
19 American Venous Forum is an organization of academic
20 and private physicians dedicated to researching
21 venous disorders and improving the treatment of
22 patients with venous disease.
23 They asked me to highlight the fact that
24 venous ulcers occur due to a specific etiology that
25 must be treated when assessing open wounds; this is

00088

1 an elevation of the ambulatory venous pressure that
2 occurs when valves in the veins become dysfunctional,
3 allowing reflux down towards the foot. This results
4 in an increase in venous pressure and as this
5 pressure increases, the incidence of ulceration does
6 as well.
7 Currently there are only two known methods
8 to treat elevated ambulatory venous pressure. One is
9 surgical correction of the venous reflux, which can
10 be accomplished in about 20 to 30 percent of the
11 patients, or with compression techniques. There are
12 numerous forms of compression therapy and I don't
13 think it's very important to look at the different
14 types. There's Unna boots, short stretch bandages,
15 multilayer compression. It is probably more
16 important that the practitioner using these
17 techniques is well educated on their use so that they
18 can be applied properly.
19 Now Dr. Margolis has looked in detail at
20 the evidence about venous compression therapy, and I
21 think the Cochrane library and the UK Health
22 Technology Assessment Programs have both recommended
23 that compression therapy is effective in dealing with
24 venous leg ulcers. More recently, there was an
25 international leg ulcer advisory panel commissioned

00089

1 with representatives from Europe, the UK, Australia
2 and the United States, and they came up with an
3 algorithm after evaluating the literature in detail.
4 Their algorithm is represented here, I'm not going to
5 go through it for the sake of time, but this is for
6 clinicians, nurses, and physicians treating venous
7 leg ulcers to appropriately use techniques of
8 management. Their conclusion was the same, that
9 multilayer compression is first line therapy for
10 venous leg ulcers. There have been some further
11 randomized trials not on compression, but on
12 different modes of compression to see which may be
13 best.

14 I think the more important question is not
15 whether we should use compression, but why don't we
16 use compression, which occurs in approximately 30 to
17 40 percent of venous leg ulcers treated in the United
18 States. I think the two main reasons are, number
19 one, lack of education and training of the clinicians
20 and, number two, lack of adequate reimbursement for
21 the use of the products required for compression.
22 So in conclusion, the Venous Forum asked
23 me to recommend that the panel confirm compression as
24 first line therapy for venous leg ulcers, and to
25 assist us in the training of and reimbursement for

00090

1 these specialists who use these products. Thank you
2 very much.
3 DR. LAVERY: My name is Larry Lavery. I
4 am a podiatrist at the Texas A&M University Health
5 Science Center, and I am here representing the
6 American Diabetes Association Foot Care Council. I
7 want to first address a few of the issues that were
8 discussed earlier. I think that there is a dearth of
9 evidence in this area and primarily it's because
10 these are very expensive studies to do, and for small
11 centers or small societies their costs are
12 prohibitive.
13 If you look at the industry studies, often
14 they require more than 20 centers to reach their
15 sample size. So I would encourage, like everyone
16 else, more money from the federal government for
17 research into this area to identify the issues that
18 we raise today.
19 Another concern is that often some of the
20 complicating factors in diabetic foot wound healing
21 are systematically eliminated from clinical trials.
22 Often patients with peripheral vascular disease or
23 poor glucose control are eliminated as part of the
24 exclusion criteria in these studies, and I think to
25 represent the Medicare population, they need to look

00091

1 more at the population in general.
2 I'm going to primarily address
3 off-loading, which I think was nicely addressed
4 earlier. This is a typical factor in the etiology
5 and treatment of diabetic foot ulcers. I want to
6 emphasize that this is not necessarily just a
7 treatment for chronic wounds, this should be a
8 treatment for diabetic foot wounds at their
9 initiation. This is a basic element of foot care.
10 There is relatively strong data both descriptive and
11 increasingly in randomized clinical trials to support
12 its effectiveness.
13 Most of these are unfunded or underfunded
14 by CMS and other health care providers. I make that
15 point because most patients in the community get no
16 off-loading, they leave with a thousand dollars worth
17 of new tissue in the same shoes that they ulcerated
18 in. This is a less effective -- or less expensive
19 and more effective modality than a number of things
20 that are currently funded.
21 I have three slides that summarize about
22 13 studies with off-loading devices. In the past,
23 total contact casting was thought to be a gold
24 standard. These are a number of descriptive studies,
25 are retrospective, and I'll show you some prospective

00092

1 cohort studies, that have surprising similar results
2 for the average time for healing and proportion of
3 patients that heal. Subsequently there have been
4 descriptive studies that report modifications of
5 casting techniques, padded dressings, which show
6 longer periods of time for wound healing, but still
7 support evidence that off-loading is an important
8 issue. And then finally, there are a growing number
9 of randomized clinical trials with different
10 off-loading techniques that suggest that there is a
11 high proportion of patients that heal when these
12 techniques are used in a relatively timely fashion.
13 And then most recently, I guess I don't have that
14 slide, I think it was presented, some of the
15 information that is evolving using removable cast
16 products that will fit in the hands of most
17 physicians and make them easy to apply, and have
18 demonstrated that simple modifications of those
19 devices can be as effective as total contact casts.
20 Thank you.

21 MS. WEIR: Good morning. My name is Dot
22 Weir. I am a registered nurse and board certified in
23 wound healing by the WOC as well as the American
24 Academy for Wound Management, but my real job is that
25 I'm a clinical manager for three outpatient wound

00093

1 centers in the Orlando, Florida area.
2 I am speaking today on behalf of the
3 Coalition of Wound Care Manufacturers, an alliance of
4 leading manufacturers of products and devices that we
5 use in chronic wound care. I do want to say, though,
6 that I am not employed by any of these manufacturers,
7 I will disclose that I am on a speaker panel for many
8 of them.
9 My primary goal today is to look at the
10 role of topical dressings in the treatment of chronic
11 wounds. While gauze is still the predominant
12 material perhaps used in wound care and wound
13 management today, the goal of wound management is
14 primarily to preserve tissue viability by
15 establishing and maintaining optimal tissue
16 (inaudible). So in answer to question 1.A, is there
17 sufficient evidence to assess health benefits of
18 dressings, I think the answer is a resounding yes.
19 There is a growing body of evidence, a growing number
20 of randomized clinical trials, and in the interest of
21 time, we did submit these comments to the panel in
22 writing.
23 The well-known AACPR treatment guidelines
24 that were published in 1994 resulted in 11
25 recommendations related to topical treatment of

00094

1 dressings and adjunctive therapies. And in a 1998
2 review of the same guidelines, it was found that all
3 11 of those remained valid, but four had advanced in
4 terms of the efficacy levels. There were several
5 that advanced from level B to level A through
6 additional randomized controlled trials that were
7 found in the more recent literature, and again, it
8 again addressed the fact that we need to keep the
9 ulcer bed continuously moist, that we need to use
10 clinical judgment based on our assessment when
11 choosing the appropriate dressing, and Dr. Horn made
12 a very good point about that this morning, and that
13 we should also always consider caregiver time when
14 selecting our dressings.
15 There was an additional recommendation
16 that went from a level C to a level B, and this
17 relates to the ever-challenging problems of keeping
18 dressings in place on certain anatomical areas.
19 And then there were recommendations that
20 remained at a level C, and this is just in choosing a
21 dressing material that provides optimal moisture
22 levels that keep the wound moist, also to protect the
23 wound bed from continued trauma, additional trauma to
24 the wound.
25 So in terms of the evidence for moisture

00095

1 retentive dressing, we certainly have a lot of them
2 out there. As we look at the gold standard, which
3 tends to still be gauze dressings, I think we do have
4 to eventually address the role of gauze in chronic
5 wound care. But often when we look at the dressing
6 trials, the gold standard is a randomized controlled
7 trial and one of the challenges that I have when I'm
8 looking at it as a practicing clinician is that I'm
9 looking at a trial, or even involved in some of these
10 trials, I'm looking at a trial that's going to do the
11 same treatment at week eight, week 12, week 20, and
12 this in my everyday clinical practice, it's very rare
13 that I actually continue to use one product from
14 initiation of treatment all the way through the
15 healing of the patient. My time is up and I thank
16 you very much.

17 DR. LI: Good morning. My name is Vincent
18 Li, and I'm a member of the WHCG, the Wound Healing
19 Cooperative, a national group that coordinates
20 clinical studies in real world settings, to help make
21 wound healing best practices. We represent different
22 disciplines and geographic areas. Three of us are
23 here today to present to you. Dr. Vickie Driver will
24 present after me, followed by Dr. Bill Ennis.
25 There has been a steep rise in knowledge

00096

1 about wound healing published over the past five
2 decades, ranging from passive interventions to
3 biologically active interventions. We now know many
4 factors that impede wound healing. All chronic
5 wounds show common abnormalities at (inaudible)
6 levels. Different abnormalities may result from the
7 person's different disease states and between
8 individuals. This may be seen in diabetic leg ulcers
9 where certain impairments may play a more prominent
10 role. Venous leg ulcers also share (inaudible),
11 likewise, in pressure ulcers. In fact, standard
12 therapeutic interventions do address the factors that
13 affect wound healing. Interventions such as
14 dressings, debridement and pressure off-loading and
15 antimicrobials address different wound healing
16 impairments. We also know that these interventions
17 can improve outcomes. Debridement as one example,
18 has been shown to improve healing in the placebo as
19 well as treatment arm of clinical trials, sharp
20 debridement particularly significantly increases
21 healing, and the adequacy of debridement is
22 independently predictive of wound healing.
23 In summary, we have seen an expanding
24 volume of scientific and clinical data that allows
25 with specific factors that impair healing, and wound

00097

1 care and management that address these factors can
2 lead to improved clinical outcomes, and Dr. Driver
3 and Dr. Ennis will address these outcome measures in
4 further detail.
5 DR. DRIVER: It's an honor to be here this
6 morning. The pioneer of outcomes is complete wound
7 closure, as used by the FDA for wound healing in
8 clinical trials, but many factors influence the
9 outcome of wound care, especially the setting of care
10 and timely selection of proper interventions. A
11 major challenge of wound healing end points is one
12 size does not fit all and there are different
13 standards for different types of wounds and a
14 spectrum of possible end points exist, such as
15 patient-directed end points, for example, and
16 wound-directed end points.
17 Although complete healing is without
18 question an important and valid end point, this can
19 often require long periods of time to assess in
20 practice and in clinical trials. The clinician needs
21 to have intermediate and shorter-term measures that
22 assess progress for its closure. These measures are
23 known as surrogate end points, which are
24 characteristically objectively measured as an
25 indicator of biologic response to a therapeutic

00098

1 intervention or fixed clinical benefit. They're
2 important because the sooner a clinician knows an
3 intervention is working or isn't working, the sooner
4 it's possible to select an efficacious therapy.
5 In fact, many predictors and surrogates of
6 healing have been studied and presented in the
7 literature, such as wound velocity as shown here,
8 where the speed of healing clearly shows the wound is
9 healing faster or slower. Present day wound velocity
10 is clinically used in therapy selection for
11 individual patients. Maybe a future surrogate study
12 would be gene expression at the tissue level.
13 In fact, WHCG members are already engaged
14 in profiling gene expression in both acute and
15 chronic wounds by taking biopsies and analyzing them
16 using microarrays. For example, in venous leg ulcers
17 studied by Dr. Kirschner and colleagues, they are
18 revealing a number of genes that are (inaudible)
19 wounds. Other studies that we are looking at involve
20 correlating gene expression with wound type, age,
21 presence of diabetes versus no diabetes, and the
22 different advanced modalities. These studies set the
23 stage for the future of evidence-based wound care.
24 Today, most of the evidence comes from
25 important but costly randomized controlled trials

00099

1 sponsored by industry, the existing gold standard for
2 evidence. However, it is important to recognize that
3 RCT trials are carefully designed and controlled in a
4 way that's usually not representative of the wounds
5 that are treated in real world clinical practice. In
6 fact, a paradox exists. As clinicians, we all know
7 that the interventions that work efficaciously in
8 clinical trials often do not have the same degree of
9 efficacy across the board in our practices, while
10 interventions that are highly effective in healing
11 patients in our clinics may not achieve statistical
12 significance in controlled clinical trials.
13 So, how do we address this paradox? One
14 way is to refine the way we design trials such as,
15 employing therapies for wound healing that are
16 controlling for factors like debridement, underlying
17 disease, dressings, level of results achieved,
18 off-loading, wound size and location. In summary,
19 complete wound closure is an important outcome in
20 wound care, but other clinically beneficial end
21 points need to be considered by CMS. We believe that
22 surrogate end points may contribute value to
23 evidence-based clinical decision-making in wound care
24 practice and clinical trials. Thank you.
25 DR. ENNIS: In closing for the Wound

00100

1 Healing Cooperative Group, there are many available
2 treatment options, but is the knowledge required to
3 make such decisions available at all points of care?
4 For example, does a hospital-based wound care team
5 know the expertise, products available and treatment
6 options of the subacute or home health agency? Our
7 group studied this question in three different
8 clinical settings, using the same clinical team and
9 medical records, and found between a 72 and 74
10 percent wound healing rate in hospital-based
11 outpatient wound centers, versus a 23 percent in
12 dedicated subacute wound units. This was
13 statistically significant.
14 Our focus should be more on the
15 interrelatedness of care. For example, a wound with
16 impaired perfusion changes that patient's individual
17 clinical needs and potentially the site of care where
18 this treatment is performed and provided, where that
19 type of therapy might be standard of care and
20 available through advanced supply chain initiatives
21 or expanded hospital formularies. The formulary is
22 therefore directly related to state regulations and
23 decisions made right here at CMS.
24 In other words, treating an individual
25 patient actually occurs within the larger health care

00101

1 system and this needs to be considered. And in the
2 future, as we mentioned in our prior talk, surrogates
3 might be useful in moving us down that street more
4 rapidly. I will therefore add to our prior summary
5 statements by stating that complexities do exist in
6 the real world that further complicate this
7 situation.
8 Our solutions are listed here as
9 recommendation to CMS. Number one, reimburse
10 interventions that address impairments in wounds.
11 For example, debridement is efficacious in improving
12 the wounds, and so we need to insure that it's
13 reimbursed appropriately. Convene periodic reviews
14 of evidence-based scientific and clinical outcomes
15 data, engaging wound healing experts to do so.
16 Support the validation and study of clinically
17 meaningful surrogate outcomes. Evaluate the
18 available RCT studies for their strengths and
19 weaknesses. Fund cooperative group studies in order
20 to validate best practice and promote the integration
21 of wound care, especially as it's delivered across
22 settings. The Wound Healing Cooperative Group would
23 like to thank CMS for the opportunity to address this
24 committee today.
25 DR. BRIGIDO: Good morning. My name is

00102

1 Dr. Steve Brigido. I'm a foot and ankle surgeon in
2 northern Pennsylvania. The thing that I want to talk
3 to you about is looking at the new exciting
4 technology that we have in chronic wound care,
5 focusing on diabetes, and how these wound care
6 treatments compare with some of the more traditional
7 modalities that we have, and how we can standardize
8 that.
9 When we look at the traditional modalities
10 for treatment such as debridement, dressings,
11 compression and off-loading, you know, there have
12 been numerous studies and papers performed that
13 demonstrate their benefit to the physiology of wound
14 healing. When we look at some of the newer
15 technologies such as alpha graft, thermograph, and
16 (inaudible) such as graft charging, when we look at
17 some of these things, we don't as physicians really
18 know where they fit in and what kind of role they
19 have in the treatment process, and that's what I want
20 to talk with you a little bit about today.
21 When we look at assessment of wound
22 healing, these are some of the parameters we look at.
23 We look at time to wound healing, we look at partial
24 healing rate and recurrence, these are all important
25 factors. We also look at the elimination of

00103

1 amputation, reduction, resumption of normal
2 activities for the diabetic. But I want to talk to
3 you about some of the other factors that we don't
4 look at and we should probably start to consider
5 doing so.
6 First is variability of activity, and I
7 think this is important because what it does is it
8 gives us an idea of how we can prevent ulceration
9 from recurring, and it gives us an idea as to why
10 certain patients break down and why other patients
11 don't. It also leads us and it gives us an idea of
12 how people break down after they have already had an
13 ulcer.
14 The second is reduction of wound depth,
15 and I think this is also very important because as a
16 physician, it is always my goal to get the wound
17 reduced as quickly as possible, and there are certain
18 things that we have as far as technology goes today
19 such as (inaudible) as well as standardized
20 off-loading techniques that can reduce the wound
21 depth very rapidly with minimal cost. Going along
22 with the reduction of wound depth is the number of
23 applications of some of these newer thermobiologics
24 and we have to look at how many times we had to apply
25 them and what are the costs associated with that.

00104

1 When we looked at the literature that
2 we've cited and that's been talked about today, we
3 made two assumptions. There needs to be a systemic
4 approach to all wound modalities, and working
5 together to do this, we can positively affect the
6 beneficial treatment outcomes, and two, work in
7 concert to produce clinically significant health
8 benefits, and benefits not only to the patients but
9 to the socioeconomic system around us.
10 But how do we make it happen? The first
11 way to make it happen is for us to continue to
12 educate the patients, that's first and foremost.
13 There are two important studies that I
14 want to quickly talk about. The first is (inaudible)
15 in 1996 and this study demonstrated that when
16 diabetics were given free footwear, only 20 percent
17 of them wore it, and it was given to them for free.
18 And the second paper done in 2003 demonstrated that
19 off-loading devices that were removable were only
20 worn about 20 percent of the day in patients that had
21 diabetic foot ulcer, and these two things just don't
22 cut it as far as getting wounds closed.
23 And when you look at it from a provider's
24 standpoint, the application of debridement and
25 standardized off-loading have to be stressed. We

00105

1 can't forget these, even with newer technologies.
2 And then we have to take these newer technologies
3 such as thermal (inaudible), negative pressure
4 environments, (inaudible), we have to define a role
5 for them and then create a systemic approach where we
6 apply them to the healing process.
7 So again, as physicians, we really have to
8 understand where these processes fit and where these
9 treatment options fit in our overall treatment of
10 chronic wounds for venous stasis or diabetes. In
11 conclusion, when we look at the trials, again, we
12 can't forget about early treatment being provided for
13 adequate pressure relief while incorporating these
14 newer therapies into our protocol. Thank you again
15 for your time.
16 DR. BARBUL: My name is Adrian Barbul, I
17 am professor of surgery at Johns Hopkins and a
18 surgeon in Baltimore. I'm speaking as president-
19 elect of the Wound Healing Society and speaking this
20 morning on behalf of Dr. Vince Falanga, the president
21 of the Wound Healing Society, who couldn't be here
22 unexpectedly.
23 As some other speakers have pointed out,
24 we also would like to point out that not only chronic
25 wounds but also other types of wounds would benefit

00106

1 from the treatment modalities that are under
2 discussion today and need to be considered in the
3 final resolution.
4 In order to help the committee, the Wound
5 Healing Society sent a survey to the membership.
6 Although it was short notice, we received 92
7 responses and I would like to share some of that data
8 with the committee. The first question we asked, is
9 there sufficient evidence for basic standard
10 treatment modalities, and again, as you can see,
11 there was an overwhelming sense among the members of
12 the Wound Healing Society that indeed there is.
13 The next question addressed, are
14 treatments tested in prospective randomized clinical
15 trials effective, and we split them out into growth
16 factor, bioengineered skins, and again, the
17 preponderance of answers were positive. And lastly,
18 we asked, are the randomized trials, are there
19 standard levels of evidence, and the majority of
20 people agreed with that question.
21 The next question we asked, are other
22 treatments effective? And we included things like
23 hyperbaric oxygen, hydrotherapy for debridement, and
24 again, you can see that for most of these, the
25 positive response was in the 50 to roughly 70 percent

00107

1 of the time. And lastly, we asked them if markers
2 other than complete closure should be used to
3 determine effectiveness, and again, the membership of
4 the Wound Healing Society agreed that reduction in
5 size, healing rates, graftability and quality of life
6 are important end points to be considered aside from
7 wound closure in evaluating treatment modalities.
8 Further, the question was internal
9 comparisons to other treatment modalities such as
10 established care, retrospective analysis of expert
11 opinion, what was their value in evaluating
12 therapies, and again, most of these, at least 50
13 percent met the test of validity in the opinion of
14 the Wound Healing Society membership. There are
15 individual comments which we have included in the
16 submitted forms, I will not reiterate them
17 individually, and I thank you for your attention.
18 MS. UNGER: Good morning. I am Pamela
19 Unger, a physical therapist and a certified wound
20 specialist through the American Academy of Wound
21 Management, and I presently serve as the president of
22 the clinical electrophysiology and wound management
23 section of the American Physical Therapy Association,
24 which is who I represent today. The American
25 Physical Therapy Association has about 60,000 members

00108

1 including physical therapists, assistants and PT
2 students. More importantly, I am a clinician that
3 operates a physical therapy-directed wound care
4 center that concentrates on the treatment and care of
5 chronic and acute wounds in the outpatient setting.
6 Now, I don't know need to discuss
7 prevalence, incidence or barriers to wound healing,
8 as most people have already reviewed those things
9 this morning. We do all recognize, though, that
10 usual care for wounds is provided across the spectrum
11 of care, and physical therapists are present in all
12 of these settings and are an integral member of this
13 multidisciplinary team. Physical therapists provide
14 and play a role in the assessment and treatment of
15 chronic and acute wounds.
16 We strongly believe that CMS should expand
17 chronic wound categories to include the post-surgical
18 traumatic-incurred wounds, particularly with respect
19 to the Medicare patient population, and those
20 complicating factors related to healing. As a member
21 of the multidisciplinary team, we do agree that
22 cleansing and debridement, dressing, particularly at
23 the conclusion of treatment to maintain, increase or
24 decrease wound moisture that is ideal for healing,
25 these patients are limited to interventions that are

00109

1 supported by a larger knowledge base, but we need to
2 utilize appropriate therapies. Certainly compression
3 and off-loading also belong and have been addressed
4 and will be further addressed by other colleagues.
5 We have data out there that tells us the
6 healing rates in the initial phase of intervention,
7 specific to venous ulcers, pressure ulcers and
8 diabetic ulcers. Therefore as clinicians, if we're
9 not reaching those expectations, I would ask the
10 question of CMS, why not institute things like
11 electrostimulation, negative pressure, vacuum-
12 assisted closure, as well as exercise, as part of
13 usual care? Exercise promotes better vascular
14 circulation and tissue health, muscle strength, as
15 well as cardiovascular function. This intervention
16 is crucial to all wound types mentioned and supports
17 the preservation function, the prevention of health
18 risks and increased quality of life.
19 In respect to the 30-day period, we
20 believe 30 days is far too long to wait for this
21 particular intervention. You have received a lot of
22 information today and one of the things that comes to
23 mind as a physical therapist who serves as part of
24 that multidisciplinary team is there is an awful lot
25 of interventions out there, and from my perspective,

00110

1 usual care may be different from other clinicians
2 that are out there, because I have a specialized
3 clinic and practice that offers my patients the most
4 optimal care for good outcomes. When the final
5 decision is made on usual care or the minimal
6 standard of care, we truly believe that there will be
7 a very large educational initiative that will have to
8 be done to not only clinicians, providers of care and
9 payers, but also to patients as to those wound care
10 interventions that are necessary. Thank you for your
11 time.

12 DR. ARMSTRONG: Mr. Chairman, ladies and
13 gentlemen, I am David Armstrong, from (inaudible) and
14 I represent the American Podiatric Medical
15 Association. I also have the privilege of being on
16 the national board of directors of the American
17 Diabetes Association, so I sort of have an
18 interorganizational thing going on in speaking to
19 you.

20 I think I'm preaching to the choir when I
21 mention that diabetes is an epidemic. There have
22 been a number of people that have already mentioned
23 this and were far more informed than I am. However,
24 we also appreciate that the most common use for our
25 patients with diabetes will be admitted to hospitals,

00111

1 not for DKA or MI or CIA or whatever letters you want
2 to string together, but for an infected hole in the
3 foot, and we see these in sort of a cursory
4 meta-analysis of data collected over the past several
5 years.
6 This has caught the attention of a number
7 of organizations, not the least of which is in the
8 United States, but the World Health Organization and
9 the International Diabetes Federation, which has
10 declared this year's Diabetes Month, Diabetes Day,
11 and even the year the Year of the Diabetic Foot, and
12 there are a number of regional and international
13 precedents that are focusing on this area, and I
14 think it's about time, since we all know this is a
15 significant health care concern.
16 There are a number of questions that you
17 posed to us, we will attempt to answer some of these
18 just very very briefly based on the information that
19 we have. First, in terms of usual care for chronic
20 wounds, there are really three basic questions that
21 the pragmatic physician has to ask when dealing with
22 the most common kind of injury he sees in diabetes,
23 what are we going to take off the wound, what are we
24 going to put on it, and how are we going to prevent
25 recurrence. We don't have time to discuss the latter

00112

1 two in our time together, but we can focus on number
2 one. And unfortunately, this receives the least
3 amount of attention in many clinical trials in this
4 space. There are a number of modalities for which
5 there are various levels of data to support. Most of
6 the data actually is quite poor in this area;
7 however, there are data that do suggest that two
8 specific modalities, namely the total contact cast
9 and the removable do seem to offload about as well as
10 other modalities. However, the total contact cast
11 seems to heal patients much better.
12 Now this is the preferred method for
13 off-loading the foot but it is exceedingly rarely
14 used by most clinicians. It is difficult to put on,
15 it's expensive and it takes a little bit of training.
16 The removable cast does not receive as much
17 attention; however, it does seem that the reason it
18 does not treat patients as well is because as you
19 heard earlier from several speakers, it seems that
20 patients are taking it off and not wearing it; hence,
21 the term removable. We have come up with a method of
22 wrapping the cast and making it less easily
23 removable. This is a very simple technique that
24 seems now in two parallel studies just published a
25 couple of weeks ago to be bearing some fruit in terms

00113

1 of data and clinical common sense.
2 The issue is, I think is an area of common
3 sense as we said, with much of the clinical trials
4 that are happening. Very often we think of what to
5 put on the wound before we think of what to take off
6 of it. I think what we have to do in academics or in
7 industry, and in just plain old clinical practice is
8 to marry what we see frequently in the diabetic foot
9 this equally effective if less easily removed
10 off-loading, having the story that the data are now
11 telling us.
12 And now we move forward. How do we assess
13 the healing of chronic wounds? I think we've already
14 addressed this question, and there are a number of
15 methods to do this, and I will not just reiterate
16 them in the interest of time.
17 I do not believe that recurrence is an
18 issue that we should be addressing in terms of wound
19 healing, I think it is extraordinarily important but
20 I do believe it's something that should come at
21 another session dealing with quality of care
22 afterwards, rather than quality of care during the
23 wound healing process, and that's a topic where
24 others may feel free to disagree on that.
25 These three, four and five questions, the

00114

1 answer to me is a resounding yes, that's the Cliff
2 Notes version of that.
3 Finally, though, the last two questions,
4 there are a myriad of gaps in current evidence and
5 treatment, but again, where gaps exist, we have to
6 deal with them and use common sense, and I think how
7 trials should be conducted in the future, I think
8 absolutely we have to have randomized trials. They
9 are impractical but they are not impractical in some
10 cases and they are extremely expensive in other
11 areas.
12 There are necessarily, and unfortunately,
13 the softer end points that you hear discussed, and
14 there may be a place for sort of a touchy feely term,
15 which is patient outcomes and experiences matter, and
16 I think there may be some area of discussion there.
17 I think we have to look at the strength of the
18 evidence, not only the level of evidence as we move
19 forward. And I think if we do that and we work
20 together, not only in government and academics, but
21 in industry and in clinical practice, if we do all
22 this, I think that ultimately we will heal a lot more
23 of these wounds and keep a few more legs on a few
24 more bodies. Thank you, Mr. Chairman.
25 DR. LAREDO: My name is James Laredo and I

00115

1 am here to testify on behalf of the American College
2 of Surgeons. I am a surgeon in the division of
3 vascular surgery at Georgetown University Hospital.
4 I do not have any conflicts of interest to disclose.
5 I'm going to address the evaluation of
6 patients with chronic wounds and the process measures
7 used to assess their healing. This is an assessment
8 for arterial and venous insufficiency. Venous
9 sufficiency is a dramatic driver of wound healing and
10 yet, we find that a substantial portion of patients
11 have not undergone these evaluations. The adoption
12 of a process measure will help assure that this
13 subject will be considered in all cases.
14 Documentation of an arterial pulse examination should
15 be present for all patients who have nonhealing
16 wounds. Likewise, all patients with chronic ulcers
17 in the lower calf should be assessed for the
18 possibility of chronic venous insufficiency. This
19 protocol is spelled out in the College's written
20 testimony.
21 In order to assure quality, these vascular
22 examinations should be performed in accredited
23 facilities and/or by accredited vascular
24 technologists. We believe that the data and
25 knowledge gap is sufficiently powered through all

00116

1 these randomized clinical trials to demonstrate that
2 sophisticated and expensive new nonsurgical
3 debridement methods addressing ulcers will result in
4 faster and/or better healing compared to the older
5 and less expensive treatments. Often studies are not
6 advanced randomized clinical trials but case studies
7 that show that one end point, a well healed wound.
8 The conclusions regarding these therapies
9 are more difficult to draw because these therapies
10 are provided to a variety of patients and providers.
11 Finally, many of these studies are sponsored by
12 manufacturers or suppliers of these products and are
13 therefore suspect to some degree, which emphasizes
14 the need for independently funded wound care studies.
15 We are aware that CMS will be issuing guidelines and
16 protocols for evaluating treatments in the near
17 future. Thank you.

18 DR. OVINGTON: Good morning. I am Lisa
19 Ovington. I am currently a medical scientist liaison
20 with Johnson & Johnson Wound Management and am
21 speaking on their behalf, as well as past president
22 of the American Academy of Wound Management, a
23 national organization which administers an
24 examination and certifies the fund of knowledge
25 regarding wound care for multidisciplinary test-

00117

1 takers.
2 I really want to speak to the diversity of
3 comorbidities and contributing conditions to the
4 failure to heal in many types of wound technologies
5 that have been alluded to by many of the speakers
6 this morning. Wound healing is actually a relatively
7 young science. While we know a lot about the wound
8 healing process, we are continually and still
9 learning about the various conditions and
10 comorbidities that basically derail the various
11 processes that result in what we call chronic wounds,
12 and regardless of whether these wounds have the
13 underlying etiology of being diabetic foot ulcer,
14 pressure ulcer or venous ulcer.
15 I wanted to share with you this morning a
16 tool for teaching that was developed by a panel of
17 clinicians and scientists sponsored by Johnson &
18 Johnson, and three of the scientists have addressed
19 you this morning at the podium. What the tool does
20 is take into account some broad categories of
21 conditions of comorbidities that may not have caused
22 a chronic wound but that will definitely impair its
23 healing process.
24 The graphic that's shown here is a series
25 of concentric hexagons delineated by color related to

00118

1 broad categories of factors. This is the tool
2 itself. I realize this is hard to read from where
3 you are so on the following slides I have kind of
4 broken out some of the factors on each frame. Here
5 you see systemic or patient factors, some of which
6 were alluded to by Dr. Warriner this morning, many of
7 which you may not be able to change, but which need
8 to be assessed and if possible addressed in patients
9 to optimize healing and really to optimize the
10 performance of basic wound healing modalities.
11 The next ring focuses on physical aspects
12 of the wound itself or the limb that contains the
13 wound, which have been shown to impact wound healing.
14 In the center of the ring we really go down another
15 level and start looking at macroscopic and
16 microscopic aspects of the wound, biochemical,
17 cellular and molecular factors that can impair the
18 wound from healing. These are some of the newer
19 conditions that have been discovered in the research
20 agreement that have an impact on the wound healing
21 process, not all of which can be addressed, but many
22 of which we can, all of this to emphasize that there
23 are many conditions that impair wound healing and
24 that cut across etiology and contribute to the
25 presentation that presents challenges both in

00119

1 clinical management as well as in the objective
2 evaluation of new modalities designed to expedite the
3 healing of patients with these wounds.
4 We think that this diversity of factors
5 really speaks to a number of issues. The diversity
6 of clinicians that may be necessary to optimally
7 manage a patient. The diversity of products and
8 technology that may have a critical role to play in
9 expediting healing in these patients. And the
10 challenges in the standard way randomized controlled
11 trials are done, as was alluded to, most clinicians
12 participating in randomized controlled trials are
13 forced to use a single modality from start to finish
14 of the healing process in a patient for 12 weeks, 20
15 weeks, 24 weeks, and this happens very rarely in
16 clinical practice. Clinicians are more and more
17 using a diversity of products and technology either
18 sequentially or simultaneously to expedite healing in
19 patients, and I think that this argues, again, for an
20 adjustment in the way we run our trials, and the
21 subsequent improvement as a result of these
22 modalities as described by Dr. Horn may be a very
23 valuable modality in trying to assess the relative
24 importance or relative usefulness of the various
25 products and technologies and the management of

00120

1 different types of patients. So, thank you.

2 MS. STOKES: My name is Maryangela Stokes,
3 and I am a representative of JUZO, a Julius Zorn
4 company which manufactures compression dressings,
5 compression stockings, and gloves, and I would like
6 to address the committee today regarding prevention
7 of recurring vascular ulcers by wearing graduated
8 compression stockings.

9 As we found out, the cost of treating
10 ulcers primarily in the Medicare population by
11 Medicare is phenomenal, especially considering that
12 up to one percent of all adults will develop a venous
13 leg ulcer at some time. The goal of compression
14 treatment is to control symptoms, promote healing of
15 ulcers, and to prevent recurrence of ulcers, and
16 restore normal ability to walk.

17 In the Cochrane Library, Issue 3, 2003, an
18 abstract review prepared and maintained by a
19 collaboration states, the majority of leg ulcers that
20 are venous in origin are caused by high pressure in
21 veins in the legs. Prevention and treatment of
22 venous ulcers requires reducing the pressure whether
23 by repairing the veins or by applying compression
24 bandages or stockings to reduce the pressure in the
25 leg. The vast majority of venous ulcers are healed

00121

1 using compression bandages.
2 Once healed, they often reoccur because a
3 patient didn't continue compliance with compression
4 bandages, stockings or socks in order to prevent
5 recurrence. Compression bandages are often applied
6 for ulcer prevention. Is there an optimum pressure
7 to prevent recurrence of pressure ulcers? A search
8 of 19 databases in June of 2000 reveals the following
9 randomized controlled trials: No trials compared
10 recurrence rates with and without compression,
11 unfortunately.
12 One trial, 300 patients, compared high or
13 type three compression therapy with moderate class
14 two compression therapy; an analysis found no
15 significant reduction in recurrence at five years
16 follow-up with those using high compression compared
17 with moderate compression hosiery. This analysis
18 would tend to underestimate the effectiveness of high
19 compression hosiery because a significant proportion
20 of people changed from high compression to medium
21 compression hosiery. One trial, 166 patients, found
22 no difference in recurrence between two types of
23 medium compression hosiery. Both trials reported
24 that not wearing compression hosiery was strongly
25 associated with ulcer recurrence and is

00122

1 circumstantial evidence that compression reduces
2 ulcer recurrence. No trials were found which
3 evaluated compression bandages for preventing ulcer
4 recurrence. The maxim that prevention is better than
5 the cure holds true for venous ulcers. We
6 respectfully request that you consider the addition
7 of class two and class three graduated compression
8 stockings to be allowed by Medicare for the
9 prevention of recurring vascular ulcers. Thank you.
10 MR. GATEWOOD: My name is Joseph Gatewood,
11 I'm here on behalf of AdvaMed, the Advanced Medical
12 Technology Association. AdvaMed appreciates the
13 opportunity to provide comments to the Medicare
14 Coverage Advisory Committee on the topic of usual
15 care for chronic wounds. We commend CMS and the
16 committee for holding these meetings to increase the
17 knowledge and understanding of the scientific and
18 clinical rationale behind the effective management of
19 chronic wounds. AdvaMed is a trade association
20 representing more than 1,100 innovators and
21 manufacturers of medical devices, diagnostic products
22 and medical information systems. Our members produce
23 more than 90 percent of the \$71 billion of health
24 care costs for wound care products in the United
25 States.

00123

1 Chronic wounds are a major concern of the
2 health care system, obviously we have heard a lot
3 about that today. There are an estimated five to
4 seven million complex or chronic wounds in the U.S.
5 with an annual cost to manage some of these wounds
6 over \$20 billion. Chronic wounds take weeks, months
7 and in some cases years to heal, and they occur
8 disproportionately in the Medicare population. After
9 a diagnosis, they must be provided the appropriate
10 intervention. Wound care begins with accurate
11 diagnosis, alleviation of causes for tissue damage,
12 and providing care interventions and treatment for
13 the wound care.

14 Usual wound care interventions involve
15 management of the wound and reducing tissue damage,
16 and are more detailed in the full text of my
17 comments. For recalcitrant and chronic wounds, usual
18 care may not result in healing and in these cases,
19 advanced wound care approaches may be needed.

20 Clinical efficacy is supported by data, and usual
21 wound care is supported by a combination of
22 randomized clinical trials, outcome studies and case
23 studies as cited in the full text of my comments.

24 Although AdvaMed believes that while usual
25 wound care has sufficient evidence of effectiveness,

00124

1 not all assets in what we consider usual wound care
2 are adequately covered by Medicare in all health care
3 settings. Further, since the healing process of
4 chronic wounds often occurs over a longer time frame
5 than the period of time a patient is in a particular
6 care setting or pain setting, advanced medically safe
7 technologies that produce cost effective benefits and
8 superior outcomes in the long run are often at a
9 disadvantage.

10 Providing access to usual wound care that
11 can effectively heal chronic wounds is essential,
12 since the Medicare population is more prone to
13 chronic wounds than the general population. Payment
14 policies that do not account for or provide access to
15 this effective modern technology may eliminate or
16 curtail access to effective treatment under certain
17 circumstances. Equal access to evidence-based
18 advanced wound care technologies across all care
19 settings is cost effective to the health care system
20 and would benefit these patients.

21 Wound care must be shared with CMS's
22 common goals of continuous improvement in health
23 outcomes and lower total costs of care through the
24 use of evidence-based practices. AdvaMed has been
25 involved in two initiatives to help meet these goals,

00125

1 including revisions to the surgical dressing policy
2 and creation of a wound severity index. I would like
3 to thank you for your time and attention to these
4 important issues, and I commend the remainder of my
5 written comments to the committee. Thank you.

6 DR. DAVIS: I would like to thank all of
7 the speakers from this morning for the excellent
8 information that they provided to us, and also for
9 sticking to the two-minute time frame, which has
10 gotten us back on track. We now have half an hour
11 scheduled for questions to presenters by the members
12 of the panel, which would include any of the
13 presenters scheduled, and of the public presenters.
14 Yes, please.

15 DR. BREM: This concerns the point of
16 off-loading. It sounds like it's a controversial
17 point that people need to be off-loaded. In our
18 clinic it would be impossible for somebody in New
19 York to come in and not be off-loaded immediately
20 with presentation of a diabetic foot ulcer. It's
21 reimbursed by Medicare, Medicaid, no question about
22 it. The work that we're doing in the field is how to
23 do it better, but is that not the case in other
24 states.

25 DR. DAVIS: Could you please identify

00126

1 yourself, just to help with the transcribing?

2 DR. LAVERY: My name is Larry Lavery,
3 representing the ADA. I think, I mean, I've spent my
4 career in tertiary wound centers and often the wounds
5 that I've seen that have been around for 20 or 30 or
6 50 weeks are not off-loaded, and I think if you ask
7 other people on the panel, all of their observations
8 will be similar. I mean, they are sitting around
9 talking, discussing those problems. And I think the
10 two things that I see commonly for wounds that fail
11 are people that aren't off-loaded and people who have
12 never had a vascular assessment.

13 DR. BREM: But CMS can't mandate people to
14 do their job correctly, but it is available. If a
15 physician or whoever else is treating the wound wants
16 to do their job, the question is, the implication is
17 that it's not covered.

18 DR. LAVERY: I think you --

19 DR. BREM: I mean, we can offload any way
20 we want for anybody we want any time we want. Is
21 that not the case anywhere else? I mean, every
22 Medicare and Medicaid patient -- I agree with you,
23 it's horrific and commonly, and no less than ten
24 times in a day on a clinic day we will see somebody
25 with it ready for amputation, was treated for years

00127

1 somewhere. Everybody agrees with the problem, but
2 the solution seems to already be in place by
3 following your work and publications, that we are
4 off-loading. So the direct question is, is there a
5 problem in that off-loading is not covered? Because
6 it's a simple solution and it is being practiced or
7 should be practiced.
8 DR. LAVERY: I think for a number of forms
9 of off-loading, it's not reimbursed. I don't think
10 removable casts are reimbursed for wounds, I think
11 they are reimbursed by CMS for fracture care, but not
12 for sprains or ulcer care. And I think for the
13 general community, total contact casting, to get
14 reimbursed in Texas, I can tell you the reimbursement
15 is about \$84 for a total contact cast, and the
16 materials are about 40, and the time commitment is
17 quite high. So we're probably one of the few centers
18 in central Texas that do full contact casting because
19 it's technically demanding.
20 And so, I mean, I don't think it's
21 controversial if you should offload a foot if it has
22 a wound on it, but I think it's not done in private
23 practice because physicians often unfortunately do
24 things they get paid for and don't do things that
25 they don't, and sometimes people don't do the right

00128

1 thing.
2 DR. BREM: Absolutely.
3 DR. ARMSTRONG: I'm David Armstrong from
4 Chicago. Most places, you're giving patients
5 Cadillac care, and I think here again, maybe once in
6 a while in your clinic you're off-loading every
7 single one of them, either with a removable or total
8 contact cast, with or without approval. The fact of
9 the matter is that around the country, indeed around
10 the world, contact cast, while it may be our favorite
11 method of off-loading for the foot, are not used very
12 frequently. In fact, I'm sure those data may be
13 available to you, and they are paid for. I don't
14 know how well they're paid for, God knows I'm not a
15 billing expert. But what I can tell you is that many
16 of the open methods that with some modification do
17 seem to work well if they are made less easily
18 removable, are not reimbursed well.
19 And we are here today talking about many
20 different forms of wound care, but the one that's
21 most talked about is off-loading and when it comes to
22 foot service, they tend to compromise where the
23 rubber meets the road in the office setting, because
24 this is so poorly reimbursed and people get enamored
25 with high technology, which is very effective, but

00129

1 frankly, only when married with common sense
2 off-loading and debridement as well.
3 DR. KRASNER: Diane Krasner. I think the
4 longer you're in chronic wound care the more you
5 respect McDonald's, because it is really hard to get
6 consistency across the continuum of care. I'm
7 reminded of a comment that a British colleague of
8 ours made when he came to visit the United States,
9 and he said that the problem with wound care is that
10 there are centers of excellence in a sea of
11 mediocrity, there are islands of excellence in a sea
12 of mediocrity. And so our challenge, every one of us
13 as we move forward in trying to figure out how we're
14 going to spread the knowledge and the evidence is to
15 make, to assure that there is a minimal standard of
16 usual care that's accorded to all patients in the
17 United States who have chronic wounds.
18 DR. DAVIS: Dr. McNeil.
19 DR. MCNEIL: I'm not sure who to address
20 this to, so whoever wants, please answer. I
21 understand from the remarks of most of you that you
22 addressed in some form or another the data associated
23 with the usual components of wound care, including
24 the off-loading. A number of you also addressed the
25 issue of other modalities that might be important,

00130

1 and two of them that were mentioned several times in
2 both vehicles included electrical stimulation and
3 negative pressure, and I don't think that I heard any
4 data supporting the use of either of those in your
5 presentations, written or oral. And I wonder, since
6 they seemed to generate some enthusiasm, if you could
7 address why they would be useful and what the
8 underlying data are.

9 MS. UNGER: This is Pam Unger. I think
10 the reason that there wasn't a lot of data given in
11 that aspect was that the Alliance group had
12 determined that that wasn't in most cases usual care.
13 I think some of the other reasons that there was not
14 data presented was that since we received the
15 national coverage decision in electrical stimulation,
16 the criteria placed on that was the patient had to
17 have the wound for 30 days and the patient had to
18 have received standard care for that 30 days
19 demonstrating non-healing, at which point you would
20 be able to intervene.

21 The point I would like to make in that
22 particular case, I have had patients that arrive in
23 my arena that had had their wound for way more than
24 30 days but they had not had standard care for 30
25 days. Yet, I know initially looking at that patient

00131

1 what they need at that point is electrical
2 stimulation, but I'm held to a criteria limiting me
3 to 30 days, because that's what the national coverage
4 decision states.
5 From the perspective of pressure therapy,
6 that being something that we do primarily in acute
7 care, but because of the mobility of our patients and
8 when our patients move to a health care system when
9 they are in an advanced phase. Many of our open
10 surgical wounds that are not closed become candidates
11 for pressure therapy, and that is done at home and
12 coming in in an outpatient arena. Again, we
13 intervene immediately but we don't have the data,
14 because we haven't had in the past any method from a
15 clinician's perspective for billing for the work
16 associated with that procedure.
17 We understand that there, again, are
18 policies that pay for the supplies, but the
19 clinicians themselves have not had any method or
20 process for reimbursement. So while in clinical
21 practice, which is one of those common sense type
22 things, we treat chronic wounds and we're doing as
23 Diane said, in the islands of excellence where we're
24 giving them excellent care, that should be part of
25 the care that you provide them with right away.

00132

1 Because of the conditions your patients present with,
2 the chronicity that's there, we end up having a
3 dilemma of not having enough data being presented at
4 this point in time.

5 DR. MCNEIL: I want to be sure I
6 understood what you said. We're going to be asked
7 later in the day to answer the question, are there
8 other modalities that provide health benefits beyond
9 usual care, so that's a question that we have to
10 answer.

11 MS. UNGER: I understand your question.

12 DR. MCNEIL: I haven't asked it yet.

13 MS. UNGER: I'm sorry.

14 DR. MCNEIL: So the question I'm asking
15 is, I know that there is a list of other modalities,
16 but I didn't quite understand from your response
17 where the data existed, whether these modalities
18 should be used if a patient truly has the true
19 armamentarium of whatever ingredients are used to
20 treat him in the initial phase of chronic care, or
21 whether you throw them in at the end because somehow
22 the initial treatment had been botched. So maybe you
23 could give me the data for that.

24 MS. UNGER: Well, I don't know that
25 anybody has actually prepared that data. I can only

00133

1 tell you from clinical experience my preference and
2 what I believe is clinically best for the patient's
3 outcome. If the patient presents with all the signs
4 and symptoms, and it's required or known from
5 clinical experience that the patient will benefit, I
6 think it should be part of usual care not to wait for
7 30 days when it comes to negative pressure therapy,
8 when it comes to electrical stimulation or when it
9 comes to exercise. Now we could go retrospectively
10 in my clinic and come up with data, we have about 11
11 years of patient data relating to electrical
12 stimulation, negative pressure and exercise, and
13 certainly could produce some information. Today I
14 can't present anything to you.

15 DR. MCNEIL: Okay.

16 DR. DAVIS: I wanted to just mention for
17 the record that Dr. Black has joined us after a
18 cancelled flight, so welcome, and would you introduce
19 yourself and tell us of any conflicts.

20 DR. BLACK: My name is Edgar Roy Black.
21 My clinical background is in primary care, internal
22 medicine. Currently I am the chief medical officer
23 for the Rochester Excellus Blue Cross Blue Shield.
24 And other than my contacts with various folks
25 pertaining to my previous role as medical director, I

00134

1 have no conflicts of interest.

2 DR. DAVIS: Thank you. Yes.

3 DR. BARBUL: Adrian Barbul. I want to go

4 back to Dr. McNeil's question because I think it

5 raises some important issues that were touched on

6 before. The (inaudible) dressing, an appropriate

7 paradigm, for example, that if one applies a standard

8 of wound closure to that particular treatment

9 modality, I am not aware of anything that would show

10 it to be superior to gauze.

11 However, as a clinician, I can tell you

12 that thousands and thousands of patients and their

13 families have had their lives made much more

14 comfortable using this modality and its ability to

15 control secretions and whole wound environment in a

16 manner that gauze does not accomplish. So it brings

17 this whole issue of depending on how you look at a

18 treatment modality rather than whether it necessarily

19 achieves the one standard that's applied right now,

20 which is wound closure, and that must be considered.

21 DR. DAVIS: Further questions?

22 DR. WARRINER: I'm Robert Warriner, from

23 Houston, Texas, and representing the Undersea and

24 Hyperbaric Medical Society. You had asked about the

25 issue of additional modalities and when we look at

00135

1 randomized trial data, those are carefully selected
2 patients whose comorbidities substantially are
3 limiting, whose wounds are typically well defined and
4 of known severity. In fact, that's how the trials
5 are designed, so that single factors can be assessed.
6 Before I came yesterday, I pulled some data from
7 three wound care clinics and I just want to give you
8 a picture of what the real world is in relation to
9 your question about 30 days time for what's usual
10 care and the time for intervention.
11 A typical wound care patient at an
12 ambulatory wound care clinic, mean age is 68, the
13 number of comorbidities that that patient has when
14 they present is 4.75 and runs anywhere from one to as
15 many as 18, things like congestive heart failure,
16 peripheral vascular disease, comorbidities affecting
17 etiology of the wound, diabetes, and about 80 percent
18 of those patients have a systemic inflammatory
19 disease found in fact.
20 So those patients that we typically see
21 that Medicare supports, are careful about providing
22 coverage policies and payment, are not the patients
23 typically addressed in the randomized clinical
24 trials. These patients in fact are sometimes barely
25 ambulatory, they are quite ill, they have complex

00136

1 medical problems, and to achieve successful outcomes
2 relative to wound healing and limb salvage, involves
3 a very aggressive management posture. Dr. Brem made
4 that point I think very clearly, we have to be
5 extraordinarily aggressive to assure best outcomes.
6 And when we look at a mandatory waiting
7 period for certain interventions in patients that by
8 all available evidence have a high probability of
9 continued wound healing failure, and in fact in the
10 diabetic foot ulcer world it's well defined that the
11 longer the ulcer is open, the more likely it will
12 progress to an osteomyelitis not originally present,
13 which is a major risk factor for amputation, and the
14 longer the wound is open, the more likely we are to
15 have a less favorable outcome. But we're locked into
16 a 30-day very arbitrary standard before the other
17 modalities that you alluded to could be considered.
18 I think that if we were to do one truly
19 important thing here today, other than to decide to
20 continue to aggressively pursue this whole arena, it
21 would be to look at eliminating the 30-day time base
22 requirement and look more at the issues of the
23 condition of the wound in the patient. There is some
24 published data that we could look at that would give
25 us guidance in that regard. I think that 30 days for

00137

1 a diabetic foot ulcer consists of standard therapy
2 only in a patient who has significant comorbidities
3 and a high probability of failure by objective
4 indications. But you shouldn't wait 30 days for a
5 patient who should receive the other interventions
6 that are not on the list of usual standard of care.
7 Thank you.

8 DR. PHURROUGH: Before you leave, can I
9 ask a follow-up question? Since you are proposing
10 this elimination of 30 days, could you sort of
11 outline -- you made a related comment that the
12 randomized trials don't include the standard patients
13 that we all, that you all treat and we all pay for,
14 and we agree with that. Could you outline what would
15 be an appropriate trial that would include all of
16 those patients and that would give us some data that
17 shows less than 30 days would in fact be beneficial?

18 DR. WARRINER: I'm not sure that an
19 appropriate trial to adequately address those
20 questions in fact can be developed, because we're
21 looking at multiple comorbidities. The number of
22 patients that would have to enroll in a trial like
23 that is astronomical. On the other hand, there are
24 several, not just the curative database that Dr.
25 Margolis has looked at, there are several large

00138

1 repositories of wound patient data that exist. I
2 have one, a number of other wound care providers have
3 them, that probably in total would include the number
4 of patients included in that curative database or
5 larger, and I think that careful analysis of that
6 data may be the most reasonable way to give us
7 direction.
8 And we have information about prognostic
9 factors that are associated with wound healing data.
10 Why take the patient who falls into that category,
11 venous leg ulcer, large size, recurrent, long
12 duration, without resolution, associated with
13 (inaudible), why should that patient be in some
14 30-day holding pattern receiving standard therapy
15 that's unlikely to produce an effective result, why
16 do that, why not move that patient forward?
17 I don't know how that randomized
18 prospective trial assessing that issue would be
19 developed, but a diabetic foot ulcer patient, deep
20 Wagner II with malperfusion and abnormal pulse exams,
21 abnormal arteriograms, some vascular intervention, is
22 not the Wagner II ulcer that we see in those clinical
23 trials that had to have normal perfusion, normal
24 transcutaneous CO-2 values, no indication of
25 significant vascular disease. So you're looking at a

00139

1 circumstance which our typical patients are not
2 single etiology kinds of patients who have limited
3 comorbidities. They are very complex patients at
4 high risk of failure; they are or we wouldn't in fact
5 be seeing them. I don't know if that really answers
6 your question, I think the study would be
7 extraordinarily difficult to do.
8 DR. DAVIS: Dr. Horn, did you want to jump
9 in here, and Dr. Burke as well?
10 DR. HORN: Well, from my experience, I
11 don't think these studies would be that hard to do.
12 There are thousands of patients and when you collect
13 data from what's going on in the usual practice and
14 care, if people are doing this variation in terms of
15 practice, or if they are not but you change the
16 payment system to allow them to, but again collect
17 data from the usual practice and care, you can
18 measure and then subsequently control for and adjust
19 for all or any of the factors that you and I and the
20 rest of the group here would say would possibly be
21 confounders in that, and gather the data to
22 ultimately convince yourself that it is the best.
23 DR. WARRINER: Although a lot of that data
24 collection would be retrospective initially, because
25 someone would have to define the relative impact of

00140

1 those other factors in order to design some
2 prospective look. And you had access to a defined,
3 fairly large patient population. Most of this wound
4 care is distributed amongst relatively small
5 environments, relatively small numbers of patients at
6 any one time. I think that in the ambulatory chronic
7 wound world it's much more difficult to do that. I
8 agree it should be done, I agree we should be looking
9 at that data, but I'm not sure how we can
10 prospectively look at that.

11 DR. HORN: It wouldn't be through a
12 prospective randomized double blind study, it would
13 be through looking at and measuring what is going on
14 in care processes that don't have all those other
15 screening --

16 DR. WARRINER: It would just be confirming
17 data.

18 DR. HORN: And then you would do an
19 analysis of those data and I think we could learn
20 some very critical things that ultimately would
21 really be able to show were causal to the wounds and
22 their associated care.

23 DR. DAVIS: Dr. Burke, and then we'll take
24 the next question.

25 DR. BURKE: Just two points. I mean, you

00141

1 have a payment bias in your data. I don't know how
2 you addressed the bias, who pays, and how you're
3 going to adjust for that.

4 DR. HORN: Well, they're not Medicare
5 patients.

6 DR. BURKE: If you're looking at wound
7 care in the Medicare patient population with the
8 coverage decision, then you've got a built-in bias
9 that I'm not sure you can adjust for.
10 And secondly, risk stratification, the
11 idea that we're going to treat, we're going to treat
12 people based upon some risk stratification system,
13 that it will mandate certain levels of treatment
14 based on certain severity of criteria, is that it?

15 DR. WARRINER: Well, I think that is
16 certainly an option. Bill, you can answer that.

17 DR. MARSTON: Bill Marston, Chicago. The
18 question, I think leads to a common theme that we try
19 to go to, hopefully fairly readily. As Bob said, I
20 have been involved with companies as well that have
21 multiple sites of care and data sets that I think Dr.
22 Margolis has looked through, and I would propose that
23 even within those organizations, even within those
24 centers, there is tremendous diversity in the level
25 of knowledge and care provided.

00142

1 However, the consistency is way greater
2 than that at the third tier, which is the
3 community-based practice. And so one of the things
4 that I would like to propose is the consideration for
5 not only gathering that grass-roots knowledge base,
6 needs, requirements, looking at databases like Bob
7 Warriner's, mine and others, but then going
8 prospectively. I mean, I do this in a long-term care
9 environment, and that is exactly the kind of stuff
10 we're doing in our facilities. We're doing this as
11 sort of a demonstration project prospectively at a
12 center of excellence that we can define.
13 Like Dr. Brem said, every patient is
14 off-loaded at Columbia. Well, that's where we need
15 to look at off-loading, and that's where we need to
16 take what we learn retrospectively from the mid-level
17 practice which, again, is the wound healing centers
18 across the country that are providing need-based
19 standard of care. And then if there are things such
20 as the 30-day moratorium, we can look at that in a
21 prospective fashion, add some retrospective concepts
22 in how to design it, but not in an RCT fashion, in a
23 real world algorithm-driven center of excellence.
24 And I would also propose that that needs
25 to be across the continuum of care. If we look at

00143

1 just the acute care hospital outpatient wound clinic
2 and do not include or incorporate the subacute study,
3 the home health agency, and make this into an entire
4 continuum, looking episodically at how much does it
5 cost you all to treat this person across from point A
6 to point B, then I'm not sure that we're really going
7 to be able to address it. Many of these modalities
8 work, as Pam Unger spoke to, in certain instances in
9 time, and we need to have the entire treatment time
10 in order to collect that, so I just throw that out.

11 DR. DAVIS: Dr. Black.

12 DR. BLACK: Just curious, a question that
13 I hope will help us somewhere in our discussion this
14 afternoon. I'm curious about what information we
15 have out there about the expected healing for these
16 common wounds, the diabetic foot wound, pressure
17 ulcers, leg ulcers, what do we know about when usual
18 care is given, what percentage heal in a certain
19 period of time? And I think Dr. Margolis, you began
20 to address that somewhat this morning with your data.
21 And then the second part to my question
22 would be, and when that expected healing doesn't
23 occur, does anybody understand that that was a
24 failure of usual care or whether it was a
25 misdiagnosis, and what were the barriers here? Do we

00144

1 have certain expectations and if those aren't met,
2 why, and what happens at that point?
3 DR. O'DONNELL: Let me just tee up that
4 question, because we were not asked to look at
5 efficacy, we were looking at frequency of various
6 modalities. And the very problem with the randomized
7 controlled trials, particularly in pressure ulcers
8 and diabetic patients as brought out by other
9 speakers, is it can be responsive for very short
10 periods of time with fairly low healing rates.
11 So to get that information, except from
12 some of the venous trials where patients were in a
13 trial for a longer period of time where the healing
14 rates of venous ulcers with compression was anywhere
15 from 60 to 70 percent in the Cochrane review,
16 et cetera. You can sort of get at it for venous, but
17 for randomized controlled trials in pressure and
18 diabetic ulcer, because the period was so short, you
19 can't really say a whole lot. Maybe Dr. Margolis
20 would have something to say.
21 DR. BLACK: And just, if I could do a
22 quick follow-up, I would think that this is also
23 where we are mostly just talking about databases. I
24 would think that most of the results, you could get a
25 good enough answer from some analysis of the

00145

1 databases that are available.

2 DR. DAVIS: Dr. Margolis?

3 DR. MARGOLIS: Can I be part of the
4 public?

5 DR. DAVIS: Fair enough.

6 DR. MARGOLIS: We have actually done a
7 meta-analysis on diabetic foot ulcers which is fairly
8 open, and based mainly on studies that were done
9 looking at thermograph and then at the control arm,
10 and done in a full meta-analysis fashion, and also a
11 meta-analysis for venous leg ulcers, again looking at
12 the control arm. The problem with any analysis like
13 that is, one, you need to adjust at the very least
14 for reasons why they just don't heal, which is
15 duration of the wound, size of the wound, and for
16 diabetic wounds, how deep it is using various grading
17 scales. A problem on the diabetes side is that the
18 really deeper wounds, anatomically deeper wounds are
19 often excluded from trials. So that's a problem with
20 those numbers.

21 And things have changed over time, so it's
22 really tough to know if a study that we did five
23 years ago really reflects what's going on today. I
24 can tell you from a recent cohort study that we just
25 finished looking at the rate of healing in 20,000

00146

1 patients, that there's a real change in the number of
2 diabetic foot ulcers that's healed today as compared
3 to healed ten years ago, but the primary emphasis was
4 that although they're healing somewhat better, we're
5 getting to them earlier in the course, so the healing
6 rate is also much, much better. But the vast
7 majority of that improvement is that we've gotten
8 better at educating people or educating physicians,
9 or doing whatever we could. So many of these are
10 difficult to exclude.
11 There's also multiple people who have
12 their own cohorts and databases, and you will see
13 great disparity, and almost always the randomized
14 clinical trial rates are the worst and the cohort
15 studies are the best, and it also has to do with
16 patient selection. Not every human being is going to
17 volunteer for a randomized controlled trial, and
18 although they may have fewer comorbidities, there may
19 be something else which prevents them from staying in
20 somebody's office and be willing to participate in a
21 clinical trial. Based on the venous side, there is
22 also discussion about getting to see about ten
23 patients for a randomized trial and only being able
24 to enroll one, and that's at least an indication of
25 selection bias.

00147

1 DR. DAVIS: Dr. Weiner, were you going to
2 chime in on this issue or were you going to ask
3 another question?

4 DR. WEINER: I'll wait.

5 DR. ENNIS: Bill Ennis, from the
6 University of North Carolina. In answer to your
7 question in relation to venous ulcers, the
8 International Leg Ulcer Advisory Panel had
9 recommended initial compression therapy for four
10 weeks and then reevaluating the patient. If there
11 wasn't satisfactory improvement, which we defined
12 rather arbitrarily as a decrease in size by
13 approximately 20 percent, that the patient should be
14 either reevaluated or referred to a specialist. This
15 was primarily done at the urging of the UK and
16 European members of the panel because they had
17 already defined nurse one clinics with relationships
18 to specialists. The members of the Canadian and the
19 U.S. groups felt that they will heal if the patients
20 get initially compressed well; it's because they
21 don't get compressed well, that's why they fail. So
22 the reassessment wasn't really what we should focus
23 on, as much as education of our primary care people.
24 SPEAKER: Hi. Paula (inaudible) from
25 Curative. In your packet you did get a complimentary

00148

1 issue with the Margolis study that he spoke about
2 dealing with traditional care. You also in your
3 packet show (inaudible) what's called a shadow
4 analysis where we took the intent to treat and then
5 followed up with a study there. And then finally I
6 put in your packet our outcomes report for 2004 and
7 it showed about 70,000 wounds, and we just passed our
8 millionth wound.
9 So the point is, to answer your question,
10 if we couldn't find what outcomes you were looking
11 for and we tracked it, we could go back into our
12 database and get that information, we just need to
13 understand what you're looking for. Did that answer
14 your question? Okay.
15 MR. NICHOLS: Good morning. My name is
16 Kevin Nichols, I'm the CEO of a medical device
17 company that actually came to visit CMS a couple
18 years ago with a 510(k) product that we actually
19 raised money to go out and conduct a 23-site
20 randomized trial on diabetic foot ulcers, 31
21 exclusion criteria. We maintained the protocol that
22 the FDA had required for 12 years. We did obtain
23 statistical significance. I met with Dr. Sean Tunis
24 a couple years ago. Quite an experience.
25 The reason I wanted to stand up here today

00149

1 was the fact that if you don't provide higher degrees
2 of reimbursement you will not get the new innovative
3 technologies. We've got a product that obtained the
4 P values that you were describing in an RCT, actually
5 I would say to Dr. O'Donnell's data, we exceeded
6 every one of your expectations. Physicians don't
7 want to adopt it as rapidly because the reimbursement
8 is so low, and frankly, the venture capitalists won't
9 continue to invest in this market if you guys don't
10 step up and do something about this. There is this
11 paucity of data because these studies are hard and
12 they are extremely expensive. Ours cost about
13 \$7 million. I encourage you guys to really think
14 about this. We've got technology at my company that
15 can save you billions of dollars over the next 20
16 years and I don't know how well it will fare in the
17 adoption process because of the reimbursement.
18 DR. DAVIS: Dr. Weiner.
19 DR. WEINER: Jumping back a few comments,
20 sorry for the interruption, but back to the public
21 health aspect. I'm always very interested in
22 population-oriented studies and studies that aren't
23 necessarily linked to the vagaries of various payment
24 systems, be it Medicare or Blue Cross or Medicaid.
25 Is there any group clinician in the room that,

00150

1 whether or not it's Kaiser Permanente or Group
2 Health, or VA or perhaps the military, that could
3 comment, or perhaps in another country, that could
4 comment on how they do things differently, not
5 worried about Medicare reimbursement, but just based
6 on the evidence and what the views are in a
7 payment-free system, if you had unlimited resources,
8 what would you do?
9 DR. LAVERY: Before, in a previous life I
10 was medical director for a diabetes disease
11 management group that now has a CMS demonstration
12 project or two in Texas and Tennessee. And when we
13 posited that program with private practice groups in
14 Texas, we were basically able to say, you know, we're
15 just going to do what's right for the patient,
16 similar to what we talked about with off-loading, if
17 the patient needed it, we were going to do that. And
18 the philosophy was that we were going to save money
19 by healing wounds faster and keep people out of the
20 hospital, no matter what the treatment costs.
21 So we have a database of about the first
22 1,700 people we evaluated, all those looking for
23 hospital stays, wound healing, applications, and
24 infections, that we were able to separate who pays us
25 and when and why. And I think probably that exists

00151

1 in other academic medical centers, maybe Columbia.
2 The group I'm in now, we probably spend in
3 orthopedics and in surgery \$100,000 for removable
4 cast boots that we don't get reimbursed for because
5 it's the right thing to do, and I think in those
6 centers that have that opportunity, you know, the
7 line is blurred between payment issues.
8 DR. ENNIS: Bill Ennis again, from
9 Chicago. I would like to address the question,
10 because this is basically paramount to what our team
11 has been doing for the past 15 years. I'm a member
12 of Advocate Health Care in Chicago, probably the
13 fourth largest not-for-profit system in the country.
14 It consists of eight hospitals, three of them are
15 academically affiliated in the community.
16 The program that I started eight years ago
17 at the facility was fairly overreaching on their
18 part. The way it works is that we are all employed
19 physicians in the Advocate network, everyone on the
20 wound care team is, whether it's nurses or
21 technicians, so we're not tethered to any modality,
22 any treatment protocol, anything at all. We are
23 charged with prevalence and incidence studies,
24 obtaining magnet certification for our hospitals and
25 nurses, reducing nosocomial wounds, reducing the cost

00152

1 to our hospitals for the inpatient side of wound
2 care.
3 Patients come through a center, an
4 outpatient hospital-based wound care center, and then
5 are brought into clinic, either the PT department or
6 the outpatient setting. If they need admission,
7 those admissions are run by the wound team as the
8 quarterback. Specialists that are in private
9 practice, vascular surgeons, plastic surgeons that
10 make their living doing flaps, skin grafts,
11 et cetera, get to participate and are on that team,
12 however, for that procedural event. As soon as that
13 event is over, that proceduralist has completed their
14 component of care. We have aligned with but do not
15 own a subacute care facility across the street from
16 the hospital, which is a 35-bed dedicated wound unit
17 that is staffed very differently than the nursing
18 issues that you've described, for obvious reasons the
19 flexibility of care is slightly higher. That
20 transition from the hospital to subacute back and
21 forth has two main boards where we follow these cases
22 across the street.
23 I've got seven years and about 1,200
24 admissions worth of contribution margin data, actual
25 costs, directs and variables for all those

00153

1 admissions. And we can track over time the healing
2 rates which we've already published, ranging around
3 75 percent for all comers, all patients. It's that
4 type of longitudinal study that we have also included
5 in our home health department to train them so that
6 there are specific nurses that only do that home care
7 for those patients. It's a virtual organization in
8 fact because we no longer own the home health agency,
9 but we find that because there is such a sub-cohort,
10 all eight hospitals use the same wound care products,
11 the hospital formulary is involved.
12 \$1.5 million was spent to purchase
13 self-powered air mattresses for every admission, so
14 that there is no question about what the support
15 surface is, everyone is on them. The only decision
16 to make from there is whether or not to move up to an
17 alternating air mattress.
18 So these things can be done, but I tell
19 you, although I know you say it's easy to do,
20 Dr. Horn, I think it's difficult to do when there are
21 economic disincentives and economic, just
22 malalignment. But when there's economic alignment of
23 the centers, I think this thing can be done, but I
24 think it has to be done in a socio and academic
25 environment such as Dr. Brem's or myself, where one

00154

1 team is in charge and I am on the line for excessive
2 admissions or costs. Someone has to be accountable.
3 So that's one of the things that is missing from the
4 modalities and treatment protocols.
5 DR. DAVIS: I have Dr. Goodman and
6 Dr. Margolis, and a couple of other people who
7 probably wanted to reply to the earlier question. Do
8 you want to chime in on this?
9 DR. GOODMAN: I was going to ask another
10 question.
11 DR. DAVIS: Okay, let's have the response
12 and then we'll move on.
13 DR. KRASNER: Diane Krasner, just a very
14 brief comment. I think instructive from the United
15 Kingdom is the work of Steve Thomas, who runs a group
16 called Testing Dressings Laboratories, he has done
17 that for over a decade, preparing dressings within
18 categories. Before dressings get on the national
19 formulary in the UK, they are tested in a consistent
20 way across a category, so a hydrocolloid is compared
21 to a hydrocolloid compared to a hydrocolloid. That
22 data is published, Dr. Thomas has a web site,
23 Worldwide Wounds, where that information is posted
24 worldwide so that other people can share in that. I
25 think that's a model for us as to the kind of work we

00155

1 promote or aspire to do, but again, getting that
2 consistency across our country is a difficult thing
3 to do.

4 DR. DAVIS: Okay, Dr. Margolis?

5 DR. MARGOLIS: I have some work with UK
6 databases and at least in terms of the rate of venous
7 leg ulcers, in terms of the publications, the wound
8 care centers do better than general practitioners.
9 They claim in the literature that their wound care
10 centers do much better than probably even the rates
11 that are reported here. Having said that, if you
12 look at some of the clinical trial data and look at
13 the wound care center-based data from the UK and
14 Europe, I don't really see it doing better than
15 centers in the U.S. So from what's published, it
16 appears that their system is doing better, but
17 whether or not that's true, I'm not sure, because you
18 don't necessarily see that in other literature. But
19 patients certainly do get care faster, you see more
20 uniform care, nurses tend to provide the care even to
21 the patient's house in fulfilling that care.

22 DR. DAVIS: Dr. Driver, did you want to
23 chime in on this?

24 DR. DRIVER: Yes. I work at a military
25 medical center where we treat all branches of the

00156

1 military, family members and retirees from all the
2 western states as well as some other countries. What
3 we've done is -- of course there is no incentive for
4 dollars but there is an incentive to reduce
5 amputations. What we have done is we designed a
6 multidisciplinary or interdisciplinary department
7 that is focused on limb preservation, and we set it
8 up with the notion that we will collect data as
9 outcomes, so we are trying to understand better what
10 is driving the cost of care, what's driving the care.
11 What we've done is we've taken, for
12 example, the University of Texas Health Science
13 Center Classification for Diabetic Foot Wound, broken
14 it down, and we have extracted the data one patient
15 at a time, looking at different levels of wounds.
16 For example, what does it cost to treat a wound that
17 is not infected versus infected, not just in dollars,
18 but in care, product, et cetera.
19 DR. DAVIS: Dr. Driver, while you're
20 there, if Dr. Goodman will allow me, I have one
21 question about what you presented. You had a comment
22 that treatments may be effective in traditional
23 clinical practice but then would not be found to be
24 effective in a randomized control trial. Can you
25 explain why you think that's the case?

00157

1 DR. DRIVER: Yes, sir. Often in RCT
2 trials, as you know, they are heavily organized and
3 monitored and structured to disallow many patients
4 that would normally be in your clinic, first of all.
5 Secondly, you might include investigators
6 that are not trained in wound healing or wound
7 preservation, so on and on it goes. Our clinics,
8 most people that treat wounds outside of the wound
9 care community do not have the resources that we
10 might have in a trial, so perhaps they might try a
11 magic goo, but they have no ability to offload, maybe
12 they don't have the experience, maybe they don't have
13 casting material, maybe they don't even have boots.

14 DR. DAVIS: Thank you. Dr. Goodman.

15 DR. GOODMAN: I heard a few comments about
16 the difficulty of doing RCTs in this area because the
17 patients are so complex. I don't understand that at
18 all and I want some clarification. The whole point
19 of randomization is that you balance off in the two
20 groups the different, the multiple different factors.
21 It sounds to me like some of the problems involve the
22 very strict eligibility criteria, so what I would
23 like to hear is why couldn't there be RCTs? And I
24 don't understand why they would have to be any larger
25 than any normal RCT would be, with very broad

00158

1 eligibility criteria in a normal practice setting
2 where you introduce only one or two practice
3 variations, for example, making certain technology
4 available at 15 days versus 30 days, that was the
5 only thing, everything else would be at the
6 discretion of the caregivers under some protocol
7 conditions. That's the first question. So, I would
8 like to hear why RCT as a methodology isn't
9 appropriate and why we couldn't do it as a practical
10 matter, that is, keep all the other aspects of care
11 unaffected or let it be modified according to the
12 course of admission and see if the introduction of a
13 particular technology or modality at some particular
14 point actually made a difference.
15 And the second very closely related
16 question is just informational, and maybe Dr.
17 Phurrough can answer, but what is the source of
18 funding for randomized controlled trials other than
19 industry? Is there any motivation for studying the
20 practices of usual care here? There's no national
21 institute of wound care at the NIH like there is for
22 various diseases. That would affect a lot, I would
23 think, the "structure" and incentives to design a
24 trial.
25 DR. BARBUL: I'll take the second question

00159

1 first. Adrian Barbul. First of all, the NIH for the
2 last 15 years has said that it encourages very much
3 human submissions. I've been funded by the NIH for
4 the last 25 years, not a single human study has been
5 funded and there have been human studies that have
6 been turned down. If you remove the human studies
7 and the same plan gets resubmitted, it gets funded.
8 DR. BREM: So you do a study in what,
9 mouse?
10 DR. BARBUL: You submit a study in rats or
11 mice, or whatever, correct. So I think that there is
12 a disconnect between the stated goal versus how it
13 actually gets funded and how the study sections view
14 it in my opinion, but that's very limited.
15 Going back to the randomized trials, I
16 think it all starts with (inaudible) of industry, and
17 if you're going to look at the effect of a single
18 product, we find that minimizing the noise in that
19 study, we try as much as possible to reduce it to one
20 variable, which is the treatment. The kind of
21 studies that you're suggesting, which are
22 population-based studies to see how people behave,
23 are not going to be sponsored by industry because it
24 doesn't bring them any information that as far as
25 they are concerned is worth having. And I think that

00160

1 there have been several both subliminal and sort of
2 forthright messages today that we do need a different
3 response mechanism or funding mechanism for these
4 studies in order to obtain data that's meaningful and
5 helpful at the policy level.
6 DR. ARMSTRONG: David Armstrong. Just to
7 emphasize what Professor Barbul just said, this field
8 is a fairly large public health issue now, but it's
9 treated by NIH and by other federal not-for-profit
10 entities as an unwanted foster stepchild. And I
11 think all of the data that you see about modality X
12 or Cytocon Y is funded entirely by industry. I think
13 your point is really brilliant when you get to the
14 core of the procedure. The sample sizes that you're
15 seeing here pale in comparison to what you might see
16 for a statin or something very large which is a known
17 public health issue, where issues need to be
18 answered.
19 I think what has to happen is, I
20 absolutely think we need to continue the innovation
21 we're seeing with industry but I think an infusion
22 from not-for-profits and from the government, which
23 may be happening, I'm maybe a little more sanguine
24 than Professor Barbul, is the way forward here
25 because there's no way we can get at these questions

00161

1 that you're asking, these pragmatic questions don't
2 fit in a zero gain setting like Medicare in terms of
3 funding until we up the ante.
4 DR. KRASNER: I'm Diane Krasner. There's
5 another issue related to who the people are that are
6 attracted to wound care and wound care research, and
7 it's not glamorous, it's not well respected by other
8 members of the health care community. You see a
9 select few here who have committed their life's work
10 to chronic wound care and understand this issue, but
11 we are a very small group of people, and we all know
12 each other because we've all been doing this for 20,
13 25 years. But you know, the support isn't there as
14 it is in cardiology, and that's a real problem.
15 I know of a first dressing study that is
16 currently underway where they decided to allow all
17 comers across 12 countries with their usual care,
18 comparing it against one dressing, and their intent
19 is to enroll about a thousand patients, and they are
20 about two-thirds of the way through. And there is
21 such variability in so-called standard care, I don't
22 think they are going to be able to do a statistical
23 analysis because they are going to have to triple
24 their number of enrollees, and the company that's
25 sponsoring this one study can't afford to do that.

00162

1 So you know, it's a great idea, but the variability
2 of standard practice across 10, 12 countries is so
3 great that it has just been impossible.
4 So you know, Dr. Tunis has written about
5 using cohorts plus MDMC sets to kind of create big
6 retrospective or even prospective studies, and I
7 think there is hope for that if the definitions of
8 wounds are such that you can get meaningful
9 information out of that database. I tried to do it
10 when I was a young doctoral student at the University
11 of Maryland, I came here and I tried to tease out the
12 incidence of skin breakdown in pressure ulcers, and I
13 couldn't do it, and I said I would just do something
14 else.
15 DR. DAVIS: Dr. Phurrough?
16 DR. PHURROUGH: Let me see if I can answer
17 Dr. Goodman's question. I appreciate you painting a
18 target on me, Steve.
19 (Laughter.)
20 DR. PHURROUGH: CMS is a payer, our job is
21 to pay for health care. Congress has not given us
22 broad resources or authority to pay for research.
23 They have given that 20 to \$30 billion a year to NIH
24 who as we have heard, likes to look at rats and mice.
25 (Laughter.)

00163

1 DR. PHURROUGH: There are options we have
2 to pay for clinical costs in trials. We have no
3 ability to pay for the administrative costs of
4 trials. In those instances in which we do have the
5 ability to pay for clinical costs, in many cases we
6 don't have the ability to pay for what it is that is
7 experimental within that particular trial. So if
8 it's around a specific technology service, we may
9 commonly pay for other costs within that trial but
10 not the administrative costs or that specific
11 investigational cost. So if you were doing a trial
12 on platelet growth factors, we may pay for all of the
13 routine care of the patient, but we would not pay for
14 the growth factor.
15 However, over the last few months we have
16 released a few of our coverage determinations where
17 we have said we don't think the evidence is
18 sufficient at this moment to say that it meets our
19 standard for providing broad coverage, but we will in
20 fact pay for the particular technology as long as it
21 is involved in some kind of data collection program.
22 We have done it in through the National Cancer
23 Registry, a couple PET decisions, and some specific
24 trials, in one case trials that were identified by
25 NCI. It's a new concept, it's a concept that may fit

00164

1 here, but it's a concept that we have to find those
2 parameters completely and in fact at some time in the
3 future, the near future hopefully, produce a draft
4 guidance document that explains how we may try to
5 move forward with this particular concept.
6 Having said that, I would like to add to
7 Dr. Goodman's comments that it does seem to me that
8 there are, compared to a whole list of other kinds of
9 trials, some simple means of in fact answering some
10 of these questions that don't require huge
11 bureaucracies that are sometimes required of trials.
12 I think when we say randomized controlled trial, we
13 sometimes have misconceptions of what in fact may be
14 complicated trials, because many of the randomized
15 trials that are used to determine the efficacy of
16 certain technologies are very complicated and do
17 require a lot of screening of patients.
18 In this particular case we are looking for
19 patients who aren't screened, the patients who walk
20 into your door on a regular basis and are just
21 committed to one group or another group, on a fairly
22 simple basis. That doesn't mean that they are cheap
23 trials, I'm not sure that exists, but I'm sure there
24 certainly are simpler ways to do some of that, and we
25 are interested in paying for the clinical costs

00165

1 involved in that and we are certainly interested in,
2 are there ways that the administrative costs can be
3 made as low as possible.
4 DR. DAVIS: Here's what I would like to do
5 with the agenda. We have four people who have
6 requested to speak during the item on the agenda
7 labeled open public comments, so if we adhere to our
8 two minutes per speaker that would be eight minutes,
9 and I want to do that before we break for lunch.
10 After lunch we're scheduled to have open
11 panel deliberations from one to 3:15. We may not
12 need that whole period of time, and what I'd like to
13 do is come back after lunch and allow us to continue
14 to ask questions of presenters. Even though as
15 planned, this would just be a closed discussion among
16 the panel. The last time we had an MCAC meeting we
17 extended the questions to the presenters to the
18 after-lunch portion of the meeting and I think that
19 will work fine. So, for those who can stay here
20 until the afternoon session, we will continue this
21 for a while longer after lunch, but I do want to take
22 the people who signed up for open public comments
23 before we break for lunch.
24 Now, if you have a quick comment?
25 DR. MARSTON: A very quick comment. Bill

00166

1 Marston, from the University of North Carolina. A
2 simple trial still requires submission to the IRB,
3 requires consent of every patient you put in that
4 trial, and that requires a huge amount of time, so
5 that's a real detriment to doing those kinds of
6 studies.

7 DR. DAVIS: Very quickly, please.

8 DR. WARRINER: I want to close the loop on
9 the 30-day period. I just polled a group of experts
10 in the audience because I challenge you to find a
11 scientific basis of 30 days of failed care as a
12 definition of wound chronicity. In fact, it's not
13 the Lazarus article, which was the first article that
14 fully described basic features of chronic wound
15 healing failure, it was actually a concept that
16 appeared in the late '80s, early '90s, in reference
17 to a recommended interval for referral of patients to
18 a tertiary wound care center. It had no basis in
19 science, it was pragmatic, and yet it is being
20 adopted as a standard definition, and I would
21 challenge you. You are appropriately challenging us
22 to develop evidence. My challenge to you is prove to
23 us that 30 days of failed standard therapy represents
24 an appropriate, clinically significant, beneficial
25 definition to your beneficiaries, our patients.

00167

1 Thank you.

2 DR. DAVIS: Thank you. Patty Smith is the
3 first one on the list, and I would ask those who are
4 presenting before lunch to introduce yourselves and
5 mention your affiliation, if any. Thank you.

6 MS. SMITH: My name is Patty Smith and I
7 am the current medical director for ATI. The
8 comments that I prepared actually have been addressed
9 quite frequently here with the presenters previously,
10 but I would like to emphasize one of the points.

11 There is a difference between good
12 prognostic wounds and poor prognostic wounds, I
13 understand (inaudible) and responds to the
14 therapeutic interventions that we provide to the
15 patients are going to be different in each patient
16 and across populations and groups.

17 When we look at this issue of local care,
18 I think that there is some confusion about local care
19 versus wound healing, and I've heard even today the
20 two terms used interchangeably when they actually are
21 not. Local care is the application of a therapeutic
22 modality at the wound site in order to get an outcome
23 in terms of what's going on with the wound.

24 Wound healing is that process of
25 nutritional support, off-loading, arterial assessment

00168

1 and improvement, the whole host of making an
2 appropriate diagnosis and following a projected wound
3 care healing outcome algorithm. And we have a
4 projection of what we want to get as an outcome that
5 may take a wound therapeutic intervention that may be
6 topical. (Inaudible) assess that one wound modality
7 that may be better for just (inaudible). However,
8 RCTs are designed to take the wound healing modality
9 from the very beginning all the way through 52 weeks
10 to the very end, across all the spectrums with
11 inappropriate therapeutic treatment modalities.
12 So consequently, looking at the cost
13 criterion or surrogate markers to look for
14 granulation of tissues, the quality of granulation
15 tissue, the quality of the wound condition, the
16 change in the inflammatory markers may have to
17 reiterate (inaudible) maximize the granulation,
18 maximize the epithelialization is what we have to
19 look for.
20 There was one question as a medical
21 director that you asked about negative pressure wound
22 therapy in terms of randomized trials. These trials
23 are ongoing, but they are very difficult since a
24 product may not go through the whole spectrum, but
25 the request for the RCT (inaudible) and the outcome

00169

1 at the end may not give you a statistically
2 significant difference. If you use the modality for
3 what it's designed to be used for and then take your
4 (inaudible) intention to treat, it may be a surgical
5 closure, it may be a graft, it may be bioengineered
6 tissue, it may be something else, but if you put it
7 in the trial and take it from beginning to end, you
8 may get the answers to many of these questions.

9 DR. DAVIS: Thank you. Kathleen Schaum, I
10 believe.

11 MS. SCHAUM: I'm Kathleen Schaum,
12 president of Kathleen Schaum and Associates, and I
13 thank you for the opportunity. I have the
14 opportunity to work with many of the people in this
15 room as far as providers and manufacturers who bring
16 the technology and the knowledge to the wound care
17 industry. And it's been amazing to me because this
18 morning I heard us talking about usual care and I
19 just really wanted to mention to you that there are
20 some things we pay the physicians for and there are
21 some things we expect and that we don't pay them for,
22 and this is a labor of love for most of these people.
23 They are very passionate about what they do and it's
24 not a glamorous job.
25 Just to mention to you, we do pay them at

00170

1 CMS for the initial evaluation of a wound care
2 patient. And then after that, unless they do some
3 very special thing like hyperbaric oxygen, or
4 debridement, or compression, or skin substitute, or
5 acute wound care management, which are all unique to
6 patients, we don't give them the opportunity to get
7 paid for the coordination of care. In fact, when
8 they do debridement, we expect them, in that amount
9 of dollars that they get, we expect them to pay for
10 all of these dressings that they have to use and they
11 have to acquire. We expect the same thing when they
12 do hyperbaric oxygen. When they do compression, as
13 you've heard mentioned today, if they are to do the
14 multilayer compression system, the amount of dollars
15 that they get paid to them for the work hardly will
16 pay them to buy the products.
17 So I think we have a false expectation in
18 what we ask them to do when they are coordinating
19 care and what we pay them for. For example, we do
20 not pay them to really do their ongoing coordination
21 of care in the way that they really need to do it,
22 and it's very very difficult for them to find a
23 place, a code, or any way to get themselves paid for
24 their work.
25 Dr. Ennis is in a very unique situation

00171

1 where he gets to get paid a salary and gets to do
2 what he wants, and that's the greatest thing ever and
3 I applaud that. However, most of our physicians
4 don't have that opportunity. I really feel that in
5 their practices, they do not have the opportunity to
6 buy most of the advanced products that we would like
7 to see them use, because we expect that to be bundled
8 into a very low level evaluation and management code,
9 or we suspect that to be bundled into a debridement
10 code, where they have to do the work, they have to
11 buy the products, et cetera.
12 So in my opinion, what I hear them
13 suffering from, and I see that every day in the work
14 I do with them, is that this usual care, we have no
15 way to identify that, and no way to pay them for that
16 work. Then when that patient moves to a hospital
17 outpatient department, if they're not being seen in a
18 physician's office, if you think about it, and in
19 these hospital outpatient wound care centers, there
20 are five levels of care supposedly being given, but
21 there are only three levels of care paid for. In
22 addition to that, those levels of care expect them,
23 again, to buy all of their products, these advanced
24 wound products that they need to use. They are
25 expected to buy those in the very small dollar amount

00172

1 that comes to them, in a \$50 or \$60 range, which is
2 very difficult for them to do.
3 In addition to that, there is a code
4 called acute wound care management that many
5 outpatient departments, we don't even pay for that in
6 these wound care departments because it does not
7 track to the APC group. So I look at this, I say oh,
8 wow, this is really an amazing thing, we expect them
9 to do a lot, but we don't have a way for them to
10 acquire their dollars.
11 When the patient does move to the
12 outpatient setting and they do go home, CMS has done
13 a great job of paying for their dressings once they
14 go home. We don't really necessarily need a national
15 coverage decision for that, DMERC has done a
16 wonderful job of doing a surgical dressing policy to
17 pay for the dressings for the patient at home, but
18 there is a problem when the patient comes into the
19 outpatient department or the patient comes into a
20 home health agency, or the patient comes into a
21 physician's office. There is no payment of those
22 products for them.
23 And -- okay.
24 DR. DAVIS: Thank, you, I appreciate it.
25 The next two and final two speakers before lunch are

00173

1 Diane Krasner and Dot Weir, who spoke before, but are
2 going to speak again wearing different hats, if I
3 understand correctly.
4 DR. KRASNER: Diane Krasner, and I'm
5 wearing my own hat right now. While beefing up the
6 evidence for practice for chronic wounds is critical,
7 I would like to address another issue that I believe
8 is equally important, and these comments are based
9 upon my experience for many years now as a clinician
10 here in Baltimore and in York, Pennsylvania.
11 It is essential that evidence and
12 knowledge be translated for all clinicians in
13 practice, physicians, nurses, physical therapists,
14 everybody. You can have the best outcomes reviews in
15 the world on compression therapy but if a patient in
16 Baltimore has a venous ulcer and is not offered
17 adequate compression therapy year after year after
18 year, like the paradigm case in one of my patients,
19 seven years in Baltimore, no compression offered,
20 there is a problem, and it happens all too often.
21 Within the last six months, I saw the
22 worst case of an untreated pressure ulcer in my
23 entire career. Admitted from a nursing home in York,
24 Pennsylvania to the York Hospital wound care center.
25 She was septic, delirious, and in excruciating pain,

00174

1 and unfortunately the patient was beyond the point
2 where we could help her and she died. Nobody at the
3 nursing home had a clue. That's a problem. So it's
4 not just about more or better evidence or knowledge,
5 it's about translating the knowledge and evidence
6 that we have into practice, so we can assure a
7 consistent level of quality for the usual care of
8 chronic wounds in this country. Thank you.
9 DR. DAVIS: Thank you.

10 MS. WEIR: My name is Dot Weir, and my
11 comments will be short because Kathleen Schaum
12 already addressed the dressing, but I wanted to go on
13 record and say that this committee should also look
14 at access. A national coverage decision, I never
15 actually thought of it coming this way because for
16 years now, since 1994, with the DMERC policy, it made
17 a big difference in the access that my patients have
18 to these products. I live in central Florida and
19 have a huge number of what we call active adults,
20 active older people who, because of their activity
21 and the fact that they're not home-bound do not
22 qualify for home care, although many of them could
23 use that help. And if they're not qualified for home
24 care or are not covered by Medicare because they're
25 lying on a nursing home bed, they would not otherwise

00175

1 have coverage for their dressings if we did not have
2 the DMERC policy to fall back on, so that's made a
3 huge difference in our patient population.
4 But by the same token, I think we need to
5 put in some sort of active support services. I
6 didn't get to that part of my presentation today, but
7 there is the NTRP, the ACP, the WOCN, we know the
8 clinical importance of addressing the external
9 factors of pressure, shear and friction. And so, in
10 order to prevent those kinds of external forces from
11 culminating in the formation of a pressure ulcer, we
12 have to look at active support services, and
13 certainly we have the evidence at this point in time
14 for use of these support services in the patients who
15 we treat. Thank you.

16 DR. DAVIS: Thank you. We'll break until
17 1:10, and the CMS cafeteria is off the lobby, and I
18 think they have the monopoly on food.
19 (Luncheon recess at 12:12 p.m.)

20 DR. DAVIS: Why don't we take a little bit
21 of time to continue allowing members of the panel to
22 pose questions to the presenters if they like. Any
23 other questions that people would like to raise?
24 Maybe I will ask one and wait for others to get
25 warmed up a little bit.

00176

1 We touched upon the issue of some
2 patients, I guess, not being offered some main-line
3 treatment, certain patients not being prescribed
4 off-loading therapies, for example. Now another
5 aspect of that is when patients are prescribed the
6 recommended treatment but then don't adhere, and
7 Dr. Brigido, did I pronounce that correctly, is he
8 still here? Well, I will propose this and others may
9 wish to comment on it.

10 He presented some data on one of his
11 slides, one paper published by Knowles, et al., 1996,
12 that indicated when given free of charge footwear,
13 only 20 percent of patients wore the shoes. And then
14 another paper by Armstrong, et al., 2003, a removable
15 off-loading device was only worn during 28 percent of
16 daily activities in patients with wounds. So there
17 we get into a compliance issue, and I wonder if
18 anyone might want to comment on why we apparently
19 have some compliance problems with a device like
20 this. Is it the discomfort of using the devices, is
21 it a health literacy issue and patients not
22 understanding what they need to be doing, is it a
23 copayment issue, what is going on here?

24 DR. ARMSTRONG: David Armstrong, from
25 Chicago. Obviously we have a little interest in this

00177

1 area, having worked in the area of compliance issues,
2 but we're specifically not focusing on the diabetic
3 foot. When you talk about this issue of compliance
4 with preventive modalities like shoes, you mentioned
5 the Knowles study and some work we did fairly
6 recently, about two years ago, with removable
7 off-loading devices.
8 Paul Brand, who is an orthopedist by trade
9 and ran the Carsville Leprosarium in Louisiana, a PHS
10 hospital, said about 25 years ago that pain is a gift
11 that no one wants, and it is absolutely true in
12 diabetic foot. These patients will wear a hole in
13 their foot just like you would wear a hole in a shoe.
14 And so the painful feedback that they normally have
15 is not there, and so these patients, while they look
16 and dress like us, are not acting like us. And so
17 this issue of compliance, it's probably not fair to
18 apply to them because they're not going to behave in
19 a certain way.
20 And if you put a big clunky device on
21 them, most of them -- I've worn one of these on a
22 dare from residents on various occasions and I can
23 tell you, I hate them. They are big, bulky, they
24 limit activities, sleep, whatnot, and to that end it
25 impacts your activities of daily living, much more

00178

1 than that hole in the bottom of your foot seems to do
2 that.
3 And so many of these patients will take
4 this device off, which is why a fairly simple
5 modification to a removable cast, which by the way
6 are really not utilized, are not paid for to my
7 knowledge by Medicare for this specific indication,
8 for wound healing, seems to, based on some very
9 recent data, and I think you see the reason for this,
10 just by increasing adherence to care, by wrapping it,
11 making it harder to remove seems to help these wounds
12 heal faster. That's probably why the total contact
13 cast works so well.
14 DR. DAVIS: I mean, the point you made
15 about not experiencing pain and so on, I suppose
16 that's comparable to treatment of hypertension.
17 DR. ARMSTRONG: Exactly.
18 DR. DAVIS: I would think that if you show
19 your patient, okay, here's what your ulcer looks like
20 now, if you don't comply with this recommended
21 treatment here's what it's going to look like in a
22 month or six months, and here's what it's going to
23 look like when you have lost half your leg, and the
24 cascade. Are the patients not getting that education
25 or are they getting it and it doesn't make a

00179

1 difference?

2 DR. ARMSTRONG: If I may, Mr. Chairman,
3 that is really a brilliant question and it does, how
4 we get to these patients is very, very important.
5 There is a very important work right now going on by
6 Vilekyte, V-I-L-E-K-Y-T-E, and coworkers, who are
7 looking now at educational paradigms and how they may
8 affect people with diabetes and neuropathy. It does
9 seem, by the way, and again, gathering these data are
10 crucial, but it does seem that frightening a patient
11 initially seems to have the most impact on them
12 initially. That's open to some debate in the
13 literature, but I think there are more and more
14 robust data addressing that specific question about
15 how do we have sensory substitutions, if you will, to
16 get past this issue of teaching these patients. But
17 again, even with that, there is this disconnect and
18 these patients, they don't consciously accept -- this
19 is very touchy feely in my view, but they don't
20 consciously accept, they kind of disconnect
21 themselves from their limb, and it's very difficult
22 to articulate, but you see that in the clinic on a
23 daily basis.

24 DR. DAVIS: Thank you. Dr Brem.

25 DR. BREM: I can tell you from studied

00180

1 personal experience the difference between compliance
2 in off-loading across the board. My patients did not
3 use to offload, and I was convinced it was because
4 they were not being compliant because that's exactly
5 what I was taught. It took me about six years to
6 learn how to talk to them properly, how to educate
7 them properly, mostly how to set up an entire room
8 with them where we could spend a couple of hours
9 explaining to them what it was, told them what could
10 happen to them. There's no question about it, if
11 they have an ulcer, you're absolutely correct, they
12 are not going to put the shoe on, they're not going
13 to put whatever you put on them 100 percent of the
14 time.

15 But it's really, you know, 93 percent of
16 the time people with diabetes, what about the
17 hemoglobin, Alc, hypertension control? I mean, we
18 have to accept that as a field and move on. They are
19 not complying often because, at least in my practice,
20 because we didn't spend the time nor were we educated
21 on how to do it properly. Once we did that, I made
22 that service available and I have, you know, I don't
23 speak the language, so we have interpreters. There
24 are many, many reasons, but the failure was virtually
25 exclusively mine. As a program director, I'm

00181

1 embarrassed by that, but that's probably the biggest
2 variable.

3 DR. DAVIS: I mean, it's interesting to
4 note that Medicare pays for diabetes education, and
5 even though it's promulgated by an act of Congress,
6 if I remember correctly, to deal with these same
7 issues, across nutrition and presumably foot care and
8 other things. Yes?

9 DR. MACDONALD: John MacDonald, University
10 of Miami. I think when we talk about the general
11 patient population that we all treat, we shouldn't
12 just talk about the diabetics. Pediatricians take
13 care of babies, obstetricians take care of pregnant
14 women, but we take care of noncompliant patients
15 across the board. I think venous patients, once when
16 I was in medical school, or actually, I think it was
17 in medical school, they said that the size of a
18 patient's venous ulcer is inversely proportional to
19 his insurance or his grades in high school, that
20 these patients are patients that just don't take care
21 of themselves. And it covers everything we do, not
22 just for diabetics, for lymphedema patients, for
23 venous ulcer patients, for these patients with
24 chronic wounds. Most patients with a chronic wound
25 get off their feet, raise their feet, try to elevate

00182

1 and help, but most of our patients are noncompliant,
2 and this colors everything we do with our research as
3 well. So it's not just the diabetics, it's all our
4 patients.

5 DR. DAVIS: Dr. Phurrough.

6 DR. PHURROUGH: So if what we're hearing
7 is in fact the case, the patients are noncompliant,
8 it takes two hours to educate them to get them to be
9 compliant, we have good data about total contact
10 cast, we have less than good data about removable,
11 why ever use a removable rather than total contact?

12 DR. BREM: We don't do any -- totally
13 impractical. Total contact cast is the -- the
14 removable is the only practical way. It's the -- it
15 works. The data is there. You have off-loading, but
16 the practical presentation, it's just too cumbersome
17 for the patient to have total contact casting except
18 a few select centers. We did it, there are some
19 downsides to it. It is also a matter of what's
20 useful. The work on removable cast walkers looks
21 very, very well, the patients all heal on that, you
22 know, providing the other standard cares are provided
23 as well.

24 Total contact casting, although I've
25 published that it was the gold standard, it's not

00183

1 practical, there is a better alternative. It's hands
2 across the board in everybody's hands, unequivocally
3 removes the pressure, it's practical. And it may not
4 have some of the problems that total casting may or
5 may not have were it to be done in a big ulcer, in
6 other words, depressing an area that's infected, that
7 may or may not have bacteria. So you have a
8 practical boot too that patients will wear as we get
9 better with education in other areas on to it. If we
10 take Dr. Armstrong's work and others, and tape it or
11 not tape it, whatever we do, we can definitely make
12 it better. But practically speaking, there will
13 never be a time when we do total contact casting,
14 it's not necessarily great for patients, and most of
15 the patients are disabled enough that they wouldn't
16 be candidates for it.
17 There was brilliance behind it in that it
18 provided a great idea that if you do proper
19 off-loading, that alone will contribute to healing.
20 That was a significant contribution, but like all
21 things in medicine, something better came along that
22 improved on that concept. Does that answer your
23 question?
24 DR. PHURROUGH: Obviously, people flipped
25 through slides very quickly today, but the slides

00184

1 that were up there all showed that total contact
2 casting had better results.
3 DR. BREM: Not than removable walkers,
4 that was never shown versus the other, that has never
5 been shown in a study.
6 DR. DAVIS: Let's go to Dr. Margolis and
7 then Armstrong.
8 DR. MARGOLIS: Dr. Armstrong was up first.
9 DR. DAVIS: Go ahead.
10 DR. ARMSTRONG: Let me just state,
11 Dr. Brem, there have been studies. There was a
12 randomized trial that showed exactly what you're
13 saying, that a total contact cast, those patients
14 improved significantly more in 12 weeks in this case,
15 than a removable cast walker. Subsequent studies
16 showed that (inaudible) suggested that in a lab, both
17 of those take pressure off the bottom of the foot.
18 Subsequent studies then showed that people with that
19 removable device removed the device a lot.
20 So how do you convert that device, how do
21 you make that removable device which is very easy to
22 apply, can be used anywhere in the United States, or
23 abroad for that matter, it does not take special
24 training, it is not potentially as dangerous as a
25 contact cast, and is much less expensive, and make it

00185

1 work? Perhaps by making it less easy to remove it.
2 Some very recent data that you may have
3 seen, and again, we breezed through these data
4 because we didn't have enough time, was that it
5 appears that making the removable device less easily
6 removable seems to improve prevalence of wound
7 healing in these contexts. That's the story and it
8 seems to be telling a very consistent story in that
9 process. While I love the contact class, this is my
10 favorite method. I would love to see everyone using
11 it. It's only used in three to five percent of
12 diabetic foot centers in the United States, the ones
13 that are focusing on that, so you have 95 percent of
14 those centers that have to do something else, and I
15 think you have a removable cast walker which is used
16 a whole lot, it's not paid for to my knowledge, but
17 perhaps just modifying that ever so slightly by
18 wrapping it may improve wound healing and may be the
19 first step when combined perhaps with some of the
20 more advanced wound healing as well, might lead to
21 higher levels of healing.
22 DR. MARGOLIS: Just to add something to
23 what Dr. Armstrong just mentioned. Contact casting
24 has really been available for almost 40 years. It
25 was really first described in the '60s. The fact

00186

1 that it may, quote, be the best therapy, may well be
2 true, but the fact that it has been so unaccepted by
3 so many people means that there is probably some
4 problem, either that physicians don't feel
5 comfortable applying it or the patients don't feel
6 comfortable receiving it, except in the centers where
7 the physicians can make a compelling argument to use
8 it, so there is a problem with that therapy and
9 people don't seem to want to use it, both from the
10 clinician side and the patient side.
11 In terms of the adherence issues, you need
12 to realize there is also adherence issues with
13 pressure ulcer patients, and it's an across-the-board
14 thing. And some of the people (inaudible) you're
15 asking these people to do something that they may not
16 really want to do. And a contact cast is this huge
17 cast that they are now walking around with, and while
18 they realize that they may get amputated, they're
19 still walking around with their foot any way they
20 want. And they have been told that if it gets worse
21 it might be amputated, but it's very difficult for
22 those people.
23 The compression bandage for venous leg
24 ulcer, the bandage is changed once a week. It smells
25 after a few days. And again, while it's a great

00187

1 therapy, it's not a therapy that people regularly
2 want, and there is often resistance. You often have
3 this wound that the patient hasn't looked at for
4 years, it's just oozing and seeping, and all of a
5 sudden you're telling him he has to look at it,
6 change the bandage twice a day and wash it. So there
7 are huge changes that they are going through, and
8 while we can say that they are not compliant, we can
9 say whatever we want about the physicians not
10 offering the therapy, but there really are some huge
11 adherence things and they need to be controlled to
12 make some of these patients better.

13 SPEAKER: (Inaudible) issue of education
14 at our particular center (inaudible) significant
15 educational program that's being supported, and we
16 still have a 30 percent rate of utilization of the
17 product when given to the patients free. And so even
18 though there's been hours and hours educating the
19 patients, there is a significant cultural component,
20 the pain receptor changes occur. The patients don't
21 recognize the wound on their foot and it's part of
22 their disease process to ignore it, because then they
23 don't have to focus on the change in their life, how
24 they're going to die younger than everyone else, so
25 it's something that they place aside, regardless of

00188

1 the education. And then to have the information
2 given to them by providers, change it every day, look
3 at it every day, and by the time token, leave it
4 closed and don't touch it. And so they feel it's
5 easier to ignore it and then go back to their life
6 style that they had previously. So it's not a
7 compliance issue, it's an issue of socialization with
8 the treatment process.

9 DR. KRASNER: Diane Krasner. I would
10 challenge any of you who would want to wear a
11 compression bandage for a week to try it. I lasted
12 about 12 hours before I had to take it off, I
13 couldn't stand it. My leg was twice as big as my
14 other one.

15 And just indulge me for a second, but I'll
16 pick on Elizabeth here because I know her best of all
17 the people on the panel. And imagine if Elizabeth
18 had a diabetic foot wound and she were in a removable
19 device and she had to come here today to be on this
20 panel. Would she have worn that for all of you to
21 see under her skirt? Probably not. Like many of our
22 patients, she would have taken it off for the day,
23 for the wedding, for the special anniversary party,
24 and potentially in the course of that day, done
25 months and months and months worth of damage to all

00189

1 the healing that we had tried to accomplish.
2 And that happens all the time because
3 people need to go on living, so it's always this
4 balance and a real challenge. Now we're trying to
5 get away from the word noncompliance and talking
6 about adherence and things like that. But our real
7 challenge as providers who want to be sensitive to
8 our patients' needs is to find that balance of
9 effectiveness that they will use, and it's sometimes
10 going for the less effective modality because we know
11 they will wear ten millimeters of compression even
12 though they probably should have 30. Or they will
13 put hydrocolloid on because they can buy it and still
14 buy the food, because we know they need nutrition to
15 heal, and so we compromise the dressings so that they
16 can buy food. So it's always a balance like that,
17 and those of us that are in chronic wound care arena
18 make it work in the real world, but that's the
19 reason, that's why it's so hard to do those studies,
20 and we keep going back to this issue of organizing
21 and individualizing care.
22 DR. AYELLO: I would like to respond to
23 Diane, but I think in the 15 years I actually worked
24 in a diabetic outpatient service, and I have to say
25 that are some gender issues here, speaking for the

00190

1 female patients, if you don't mind, Pam, me jumping
2 in in front of you. That's a very real consideration
3 in terms of how to motivate people and I think that
4 Dr. Brem mentioned a component of communication and
5 really truly listening to what people who have the
6 wound or are at risk for the wounds are saying to us.
7 And one of the problems, at least for females and for
8 younger people is their personal appearance, and it
9 amazes me how people will sacrifice what some of us
10 may think truly is a sacrifice, but I can remember
11 very clearly a number of patients that said to me, I
12 will wear my blank-blank shoe until they chop off my
13 foot because that is really important to me, being
14 able to go out there and live a life and not
15 everybody saying there goes the diabetic because I
16 have on a cast or have on an orthotic shoe or
17 something that for women is much more visible, it's
18 unattractive to the majority of people out there.
19 MS. UNGER: As Dr. Brem mentioned, we do a
20 lot of diabetic foot ulcerations in my clinic and our
21 gold standard is total contact cast. 50 percent of
22 our patients are not candidates for total contact
23 cast, even though we consider that to be the best
24 intervention. And what we like to approach with
25 diabetic foot ulcers are the two best interventions,

00191

1 because we can't always put a total contact cast on.
2 It may be directly related to wound drainage so it's
3 inappropriate to do it. It may be related to what we
4 know the patient's compliance is going to be. Any of
5 our patients who leave with total contact cast are
6 brought back in three weeks to have the fear put into
7 them of what might happen if not for the total
8 contact cast. And other times, it's directly related
9 to the patient's safety in ambulation and as a
10 physical therapist, that becomes a very succinct
11 reason as to why to choose off-loading with the use
12 of boots or removable walkers versus a total contact
13 cast. And although we may give that patient the
14 device, the device may not have the desired effect,
15 it may alter their balance. So there's lots of
16 reasons why that, even though the literature tells
17 us, and we know we get our best results and we can
18 use that, not all patients, at least 50 percent of
19 our patients are not candidates for total contact
20 cast.

21 MS. SILVIA: Good afternoon. My name is
22 Cindy Silvia, and I wear a couple different hats, but
23 I'm going to speak from the personal hat at this
24 moment. I'm a certified WOCN nurse, wound ostomy and
25 continence nurse, have been for close to 30 years,

00192

1 and I am completing some research, my dissertation in
2 the clinical experience of the WOCN nurse in wound
3 care. I think one of the themes that is emerging
4 from the research that I'm doing seems to be a thread
5 that's running through what everyone is talking about
6 here today in terms of compliance. The theme, or one
7 of the themes that is emerging is the fact that the
8 nurses that I interviewed found that they had
9 successful outcomes when they had developed
10 relationships with their patients. And I think it's
11 very easy to look at patients in terms of whether or
12 not they are complying when you're seeing them at
13 brief intervals, but I think that good things can
14 come when relationships are built and patients become
15 invested with the caregiver and together can partner
16 with them, and I think that goes across all different
17 interventions that we're seeing, both for prevention
18 and for treatment, and I think it was brought out
19 with Dr. Ennis this morning when he talked about his
20 care-giving across continuums, and I think that goes
21 back to relationships.

22 DR. DAVIS: Can you answer a question for
23 me, if you could stay at the microphone? When you
24 talk about relationships between providers and
25 patients, it made me think about the case management

00193

1 or care management that we talk about people with
2 chronic illnesses like asthma, diabetes, congestive
3 heart failure and depression, and this approach of
4 course being the rave in managed care and in many
5 health care systems. Is the care management approach
6 part and parcel of wound care now or not?

7 MS. SILVIA: It does tend to be,
8 especially when the specialty nursing organization is
9 involved, when one of their caregivers, be they in an
10 acute care setting, home care setting, we tend to be
11 resources within settings, perhaps just one to each
12 setting, but we have a very, very strong network and
13 we utilize each other across networks. And I think
14 that's the important thing, not only to develop
15 relationships one on one with patients. It is a
16 matter of building relationships one on one, and it
17 does take that one success to build on another, but I
18 think then we have to go horizontally outwards and
19 work together with those of us who do similar care-
20 giving but in different care settings, and I think
21 part of that is care management.

22 DR. DAVIS: Thank you.

23 DR. LAVERY: Larry Lavery. A few
24 comments. The removable cast studies, two randomized
25 clinical trials have just been published a month ago

00194

1 or two months ago. Those weren't listed on your
2 references that were listed on the Internet, mostly
3 because they're new, and I think it's an evolving
4 area with more research being done.
5 That being said, I want to say that if you
6 look at the plethora of removable casts on the
7 market, there are obviously products that are not
8 very good at reducing pressure on the sole of the
9 foot, and there were actually some gait lab studies
10 that we conducted when I was at the University of
11 Texas. There is a clear difference, and the cam
12 walker, which is kind of the Kleenex of removable
13 casts, is probably the least effective at doing that.
14 There are a couple specialty removable casts designed
15 to treat diabetic foot ulcers, they aren't fracture
16 boots like most of the products that are clinically
17 available, so in the decision to use these products,
18 there are clearly products made for people with
19 wounds and other products that probably aren't.
20 DR. BREM: Dr. Lavery, could you while
21 you're up there, could you and Dr. Driver both
22 comment? Clearly off-loading is necessary and you're
23 bringing out optimal ways that everybody needs to
24 learn. One issue that wasn't touched upon that's
25 highly glamorous and extremely important is fungal

00195

1 nail. Could you in an ideal world, to repeat your
2 question, could you tell us exactly how to treat
3 fungal nail so we don't get into these horrible nails
4 and then, you know, we're doing these crazy things.
5 Could you tell us how early on in a perfect world we
6 should treat fungal nail in every diabetic patient?
7 DR. LAVERY: I don't think there is. I
8 think a lot of money is spent on a problem without
9 looking at a recurrence rate.
10 DR. BREM: Not even one solution, not like
11 cutting or --
12 DR. LAVERY: Well, I think a lot of people
13 have to have their nails cut and most patients, you
14 know, haven't seen their feet in years. So I mean, I
15 think people come in with nails that are literally an
16 inch thick and going around to the sole of their
17 foot, obviously they have to be debrided or cut by
18 someone, or at the end stages they become ulcerous.
19 For the most part they probably don't, though.
20 Vickie may have some comments.
21 DR. DRIVER: Before I address that I would
22 like to talk about total contact cast. From a
23 military perspective we have a very large department
24 that if they wanted to, could do nothing but put on
25 total contact casts, and two times a week we have

00196

1 maybe 30 put on each day that I write the
2 prescription for. But these don't come without high
3 risks in these patients. There are several
4 contraindications for the total contact cast, which
5 would be many of the risk factors mentioned by
6 speakers today, neuropathy of course is a risk
7 factor, infection, on and on and on, obesity, edema.
8 These people have trouble with balance, all those
9 issues, but let's talk sometimes about costs, okay?
10 Now in a military hospital, we don't worry about that
11 as much but in the real world you're probably talking
12 about 80 bucks, okay? Do that times 12 weeks, 20
13 weeks, how long does it take you to buy a boot? A
14 boot is maybe a hundred bucks. So if you really just
15 look at cost, now that we know that a boot is as
16 effective as a total contact cast, it just doesn't
17 make sense to continue to place total contact casts
18 in most patients.
19 Plus, they're reusable. Many of these
20 patients, as you know, develop a new ulceration as
21 soon as they heal one. They have their boot at home,
22 they pull it out of their closet, they put it back
23 on, they put something around it to make it
24 irremovable.
25 DR. PHURROUGH: The problem is we're

00197

1 getting away from evidence, just to speak as a payer
2 again, it's a bit disconcerting to recognize that
3 these removable boots are going to be sitting on the
4 wheelchair next to the oxygen tank next to the
5 nebulizer in the garage. You know? We could solve
6 the problems if we hold a garage sale.
7 DR. DRIVER: That's a good point. Most of
8 these patients also have walkers and wheelchairs, you
9 know. These people have their boxes of pills. I
10 mean, these patients, as we know, get one ulcer to
11 heal and then get another one. So if we can find
12 answers like irremovable casts that they can have
13 parked in their closet, that's a great resource for
14 them to have.
15 SPEAKER: I think what we haven't heard a
16 lot about here is depression. Approximately 30
17 percent of these patients have depression; when they
18 lose their job that number goes up to approximately
19 60 percent. And so if we're discussing where they're
20 storing all the equipment that they are supposed to
21 be using, I think we also need to as part of the
22 total component look at nutrition and assess their
23 depression, and that may actually get their walker
24 out of the garage and into the patient's hands or
25 onto their foot.

00198

1 DR. DAVIS: Thank you very much. If
2 people on the panel have more questions of the
3 speakers, we can certainly entertain those.
4 Otherwise, we can have some open discussion among the
5 panel members and then move to the questions and try
6 to narrow our discussion. If people don't have any
7 open discussion that they would like to engage in
8 now, then we can move to questions and start focusing
9 on our comments. Yes, please.

10 DR. GREENOUGH: I have, out of all the
11 information, I have heard mentioned only once by the
12 next to last speaker, it's one thing to educate
13 someone at a sanitized clinic and another to build
14 continuity within the home, it's quite a different
15 situation. How much of the wound care education is
16 going on to follow-up, are people told that if you
17 follow up with patients with wounds at home and home
18 situations, it often gives you a lot more information
19 than seeing someone from the clinic? No matter how
20 intensive and how much time you spend with them at
21 the clinic, you spend five minutes at home and you
22 find out what the problem is and why they don't know.
23 How much of this goes on at home?

24 DR. DAVIS: Now, are you referring to
25 visits to the home or telephone calls to the home?

00199

1 DR. GREENOUGH: No, I'm talking about
2 physically going to the home to see what the
3 situation is and doing the education where it's
4 needed, is that done?

5 DR. KRASNER: Dr. Greenough, the only
6 study I know of is one that Martha Hill and her team
7 did at the Hopkins School of Nursing. They looked
8 here in Baltimore at VNA case load. 50 percent of
9 the patients that the VNA was seeing, as is typical
10 across the country, were wound patients. So you see
11 this huge movement among home care agencies to hire
12 on wound ostomy continence nurses because it's such a
13 big part of their case load. So you know, they go
14 through the system, they end up at home and then they
15 go back into long-term care or they get infected, and
16 then sometimes they are being seen once a week at the
17 wound center, so they're all in and out across the
18 continuum. But certainly that information gets
19 translated from the home care nurse to the wound
20 center nurse, for example.

21 DR. GREENOUGH: It sounds like a highly
22 fragmented system, and I heard the word, not
23 continuity, but establishment of a relationship
24 between the educator and the patient in this case,
25 and I think this is one of the things that I see many

00200

1 things dropped between each segment of the system
2 when there's a change of the level of care,
3 et cetera, et cetera, and I've heard very little
4 about continuity, except one person did mention
5 developing relationships in order to get some of the
6 therapy implemented, that would be good for them.
7 And certainly having someone at least physically know
8 the patient in the home situation seems to me is
9 critical. Has anyone studied the educational impact
10 of the site of education or the continuity of someone
11 who actually knows the patient, is there any data on
12 that?

13 DR. KRASNER: I don't know the answer to
14 that one.

15 DR. DAVIS: Dr. Phurrough.

16 DR. PHURROUGH: For the panel, most of you
17 are advisors or wound care experts or working in some
18 special clinic and have groups of folks around you
19 who are experts in doing what it is that you do. And
20 we've heard that you see a lot of folks who are
21 referred to you and have not taken advantage of the
22 care that was offered to them or were not offered
23 good care. So the question is, how likely is it that
24 a patient presenting with one of these kinds of
25 wounds to a practitioner, a non-wound practitioner is

00201

1 going to heal without the services that are offered
2 at some special clinic? Can you train a physician or
3 a provider well enough in a private practice,
4 non-wound practice that they're going to get patients
5 to heal?

6 DR. BREM: I think that question comes up
7 every day. It comes up with many of our residency
8 programs from general practice to internal medicine
9 to others, and the answer is yes, you can. When the
10 home care doctor wants to learn wound care, they can
11 learn it, they can know when they have gone beyond
12 their bounds and they can refer. The reality is, the
13 reason there is all this conflicting evidence in
14 wound healing is the wound is programmed to heal; it
15 gives a lot of confidence to people because there's a
16 lot of them if not the vast majority who believe the
17 wound is going to heal no matter what you do,
18 providing very simple basics, which most people do.
19 So people can be trained. I think if
20 people are trained, though, at their earliest level
21 if they're not getting better in a very short time,
22 within four days or five days or six days, they will
23 know. But I know from our own experience, we do
24 train people, they start to refer all the diabetic
25 foot ulcers, the venous ulcers and pressure ulcers

00202

1 early on when they realize they're not going to get
2 better. Their traumatic wounds and the others, they
3 learn simple wound healing principles and are able to
4 do just terrific with the home care nurse or a short
5 term in the hospital. Depending on the wound, they
6 can be trained, but for the most part, part of the
7 training is when to refer properly.
8 DR. MARGOLIS: I think you also need to
9 realize that there are three different types of
10 wounds that we're talking about, we're not talking
11 about one disease. So some of the pressure ulcers
12 are going to be in the hospital or nursing homes or
13 some acute care or long-term care facility. Venous
14 leg ulcers and diabetic neuropathic foot ulcers may
15 be out in the community, and you truly can train
16 people to do it, but there's lots of obstacles. They
17 have to have an office that's set up that has the
18 bandages. Right off the bat, these aren't bandages
19 that people have around their offices, so they have
20 to have those bandages in their office. They have to
21 have somebody trained to put the bandages on. You
22 need to see the patients back with some frequency.
23 And there is often, at least when patients
24 get referred to me, and this is not based on any
25 study, but they may feel they are not getting

00203

1 properly reimbursed. It now takes half an hour or 25
2 minutes to see a patient, when they normally see one
3 in five or ten minutes, and they don't necessarily
4 understand how to put it at the proper level, or
5 they're not getting reimbursed for their bandages,
6 assuming they even have the bandages in their office.
7 So they get referred to a wound care
8 center, which has really proliferated over the past
9 few years. The question was asked earlier, is there
10 teams, and the teams are often in the wound care
11 centers. So as Dr. Brem just said, often what the
12 primary care doc does is refer somewhere else.
13 DR. AYELLO: I would like to speak from a
14 nursing perspective, and I probably should disclose
15 that I'm the current chair of the WOCN accreditation
16 committee, which accredits all the specialty programs
17 in the United States for nurses who want to
18 specialize in wound ostomy and continence care
19 nursing.
20 There is some data that I presented with
21 my co-investigator, Dr. Karen Zulkowski at the
22 University of Montana, it has yet to be published,
23 but we have presented it at several wound care
24 arenas, which addresses I think some of the education
25 issues that we're seeing in nursing. One of the

00204

1 things that we were interested in was particularly
2 who is really doing a lot of the wound care in the
3 United States. So we looked at the average staffing
4 in long-term care and in the home care setting to see
5 what knowledge they did have, and this was related to
6 pressure ulcers.
7 And we were surprised at some of the
8 findings that we found in terms of, we used a
9 standardized tool which measured knowledge of
10 pressure ulcers, which has been used in a lot of
11 studies and been reported in the literature, so this
12 tool has been well used. And what we found when
13 looking at the average practitioners out there, data
14 collected in the New York Tri-State area and then
15 Montana, to see if there was a difference between
16 rural and urban nurses, and we found that there were
17 significant gaps for practicing nurses and their
18 knowledge of wound care. That's one piece of the
19 study.
20 Another study which I did with a clinician
21 from the New York area was to look at what nurses in
22 their general nursing education get, and if we think
23 about, again, in hospital or across settings, who's
24 doing a lot of the wound care, because specialists in
25 wound care don't exist in every city. We looked at

00205

1 the textbooks. We heard earlier about the physician
2 textbooks that were referred to in a presentation we
3 had this morning, from Tufts. The nursing textbooks,
4 we looked at them, and depending on which textbook is
5 selected, somebody could be exposed to as little as
6 45 lines of text about pressure ulcers if that
7 faculty member picks that particular textbook.
8 Obviously, I think most of us would agree that this
9 is insufficient information given the complexity of
10 care for patients with pressure ulcers. And that is
11 published, that study is published in the WOCN
12 journal, I think it was 2003 that that was published.
13 There is more data. We just did a study
14 on the advancements of wound care Nursing, 2005,
15 about what practitioners that deal with wounds feel
16 about certain practice issues. One of the questions
17 included in that survey had to do with whether people
18 felt they were adequately educated to take care of
19 wounds. This data hasn't been published yet but I
20 can tell you that they were just approving it last
21 week. And again, people did not feel that they
22 initially got the appropriate education in their
23 initial whatever it was, A.D., baccalaureate,
24 wherever they came from, to do wound care, yet this
25 was a huge part of their practice on a daily basis.

00206

1 So we have a disconnect between what people are being
2 taught and what people are asked to be done, at least
3 in the realm of nursing, but that's the data that I
4 have.

5 DR. DAVIS: Thank you. Other general
6 comments before we move on to the questions?

7 DR. BREM: I think it's a terrific
8 opportunity to say, I think what everybody's strong
9 consensus is that if we were to combine in a way, and
10 it might be an idealistic way, just to start with
11 what the goal would be, if we could test your
12 expertise, which I think is enormous. I personally
13 learned from Dr. Vlada, who mentored me before he ran
14 CMS, the enormous respect for this institution, and
15 that there is a passion for taking care of patients
16 and outcomes. And that was what CMS represented, was
17 that they could do one thing, they didn't want to
18 treat people with complications that were too far
19 gone and they didn't want necessarily physicians
20 fighting with each other about what is the best
21 treatment but if people could come together to
22 provide the best treatment modalities, that that
23 would save costs and improve outcomes. And the
24 mission of this institute as far as I was taught was
25 ideally to try to make sure that people had the

00207

1 proper services available.
2 I think if you take a look, let's say
3 we're paying 25 to \$30 billion for wound care, I
4 appeal to you, assuming that we're all in agreement,
5 that if we were to treat every patient early and we
6 were to combine inpatient, nursing home, outpatient,
7 home care nursing and clinic APC system together, and
8 we were to be unified, that on the whole, we could
9 knock off 6, 7 or \$8 billion from that. But more
10 importantly, we might be able to eliminate most
11 Stage IV pressure ulcers, dramatically reduce
12 amputation rate.
13 Since you're providing the money for us in
14 this situation and we are extremely well reimbursed
15 when they come to the hospital setting with multiple
16 problems, which is a very daily occurrence, and it's
17 a horrible thing when you have a patient coming in
18 when pressure ulcers are occurring, since we have not
19 all, but many of the technologies, how can we, and
20 you as a provider, how can we come together as a
21 field and perhaps be accountable for our actions and
22 get reimbursed on what solution we might be
23 reimbursed for on outcomes. Is there any other ideas
24 that you have that we can come together so that each
25 patient gets treated now? And then we can maybe in

00208

1 future years discuss among ourselves how to do it
2 faster and better, but now I think we have so many
3 solutions that are out there and it is so fragmented,
4 and you are generously, I think, paying for a lot of
5 these things.

6 DR. PHURROUGH: What we're beginning today
7 is, as I alluded to earlier, is sort of step one in a
8 multistep process. We certainly are not going to
9 resolve all the issues today, in fact, we're not
10 going to resolve any payment issues today. The goal
11 is to, as you've alluded to, there are these myriad
12 of treatments out there, many that have good
13 evidence, some that have less than good evidence.
14 Perhaps the gaps that we have already assessed are
15 less of what works and what doesn't work, versus when
16 does it work best. So the goal today is to say
17 whether one of our beneficiaries either is at risk or
18 presents early, or presents at any time with a wound
19 problem, what should be done to it, what are the
20 standard things that should be offered to providers
21 that would allow them to best treat this disease
22 process? And the recommendations, we're looking for
23 broad recommendations.
24 We are not necessarily looking to say, in
25 spite of what many in the field think, how could we

00209

1 not pay for e-stim so that we can pay for this other
2 stuff first, or how could we not pay for hyperbaric
3 oxygen. The goal is, what should be paid for, what
4 are those things that work, in what order do they
5 work, so that patients are getting what they need
6 first, and then whatever time period they need them,
7 they get the other things.
8 And sort of the next step to take is to
9 create at least what we think will be some guidance
10 to the field, saying here's what we expect when we're
11 paying somebody to take care of wounds. And we'll
12 probably put that out as a draft to say we've had
13 this meeting, here's what the world of wound care
14 said and here's our condensation of that, what do you
15 think of it. And then we move from that, here is
16 what should be done to the question of how do we do
17 it.
18 And there has been a mix of that discussed
19 today and we do need to get to the how, but I think
20 the what do we need to do is what we need to go over
21 today, what are those treatments that need to be
22 offered, when do they need to be offered.
23 You've mentioned the 30 days and to be
24 honest, I have no idea where we came up with 30 days,
25 it has been on the books a while, before I got here,

00210

1 so I really don't know. Maybe that's not perfect.
2 So those are the types of questions we would like to
3 ask today, what should we be doing, what should we
4 allow you to do.
5 And my last question, the question I just
6 asked the panel I think is another question that I
7 think perhaps ought to be added today, and that is
8 who should be doing it. But I think there is a real,
9 we're asking that question more and more about
10 decisions we make every day here in the Agency.
11 We just announced last week a decision on
12 the coverage of carotid stent as an alternative to
13 endarterectomy in certain patients. And we're not
14 letting everybody do that; just because you think
15 you're good at putting stents in, we're not okay.
16 You have to demonstrate to us through certain
17 mechanisms that we outline that you're in fact
18 competent to do it.
19 Now, is that something we're going to do
20 here too? It's a little bit more difficult in that
21 people with ulcers are showing up at every
22 physician's office and not just at certain places, so
23 that is more difficult, but it's a general question
24 of how do we ensure that not only are patients
25 getting what they need, but the people that are

00211

1 treating them are in fact competent to do what we're
2 paying them to do.
3 So again, the goal today is not to answer
4 all the questions that you need more money to get
5 done what you want to do, those are questions that
6 need to be asked, but I think the questions today are
7 what needs to be done, what order should it be done,
8 and finally, if we are going to be an evidence-based
9 organization, how do we go about filling these gaps.
10 If 30 days isn't the right answer, how do we
11 determine what is the right answer? And we're not
12 going to accept the answer that it's just too tough
13 to do those, that research. That's not an acceptable
14 answer. We have used that answer for decades. We
15 give hormone therapy to women and they die from heart
16 disease, or we do bone marrow transplants for breast
17 cancer and women die, so that's not an acceptable
18 answer to say you can't do the research. I don't
19 know the answer of how to do it, that's why we're
20 asking the question. So those are the questions
21 today, what should we do, how do we get better at
22 doing it.
23 DR. DAVIS: Dr. Brem raised the idea that
24 outcomes could be taken into account in regards to
25 payment. Put another way, I guess you could ask the

00212

1 question, is wound care ready for a pay for
2 performance model, and of course CMS is administering
3 several pay for performance demonstrations. And it
4 seems to me that at least two requirements for a pay
5 for performance approach are a strong evidence base
6 and a consensus around what recommended treatment is,
7 and I'm not the expert in this area, but those of you
8 who are could probably take a stab at answering that
9 question. Anyway, Dr. McNeil was next.

10 DR. MCNEIL: I actually found part of the
11 discussion this early afternoon, late morning
12 confusing, because the conversation was blending both
13 reimbursement and treatment, and therefore it was
14 very hard for me to find out what treatments were
15 limited. Because for example, this cast was
16 reimbursed at \$80, as I understood it, and the
17 supplies were 60, and it cost some hours and labor.
18 And at the same time, that was on the one hand, and
19 on the other hand the patient didn't like it so it
20 didn't get done, so I was getting very confused
21 trying to assess the effectiveness of the various
22 approaches and given the interpretation of the data.
23 So is it possible, Ron and Steve, just to
24 go forward now, and I don't even know if we could do
25 this given the questions that we have, but to answer

00213

1 the first question, just putting money totally, just
2 not on the table, not up for discussion, and just say
3 what are the data and do they support the
4 effectiveness of the various basic therapies? Then
5 when we get to the last question which says, what
6 trials would support the development that there is
7 sufficient evidence, it may very well be there that
8 money has to come in in some way, because if there is
9 some model that says what kinds of treatment is a
10 function of reimbursement, then it would have to be a
11 very good randomization technique to allow for that.
12 So I'm just wondering if we could just get rid of the
13 money discussion now for a moment and talk about just
14 the data.

15 DR. DAVIS: Well, looking at the agenda
16 and at the clock, I do think, and at the mood of the
17 members of the panel, I do think it's just about time
18 to move forward and start looking at the questions,
19 but if you do have a burning statement or question
20 that you wanted to pose, go ahead.

21 MS. MORRIS: Thank you. My name is Susan
22 Morris, I'm the vice president of reimbursement
23 policy and compliance for KCI. I am not a clinician,
24 I look at health policies, but one other gap that I
25 would like you to consider is the long-term facility

00214

1 and the patient under Medicare who is not in a
2 Medicare Part A skilled bed. When you talk about the
3 prevalence of pressure ulcers, they are higher in
4 long-term care facilities than anyplace else. We
5 heard from Dr. Horn this morning that patients
6 treated with support services have faster healing
7 rates.

8 The reason those services are not used in
9 long-term care facilities outside the Part A stay is
10 that capped rental equipment covered under Part B,
11 which is normally available to patients in the home
12 is not available to patients whose home happens to be
13 a long-term care facility. And there are other parts
14 of wound care that are not available to those
15 patients, and that's 90 percent of the patients who
16 actually reside in long-term care. They pay the
17 Part B premium, they get other Part B benefits, but
18 they don't get these wound care benefits simply
19 because of the definition of home. You can't change
20 it today but I thought you might want to add that to
21 your list of gaps.

22 DR. DAVIS: Thank you. Yes, please.

23 DR. BURKE: I just want to get a focus on
24 what, the question seemed a little simplistic, at
25 least my take, you get into the who, what, when,

00215

1 where, why, who should be treated, what should the
2 treatment be, when should they get treatment, where
3 should the treatment be provided, and why should we
4 do the treatment at all. Those seem to be pretty
5 complex questions and it seems to be a pretty
6 heterogeneous patient population, with heterogeneous
7 treatments. And so taking this like global, you
8 know, all these diseases in one fell swoop seems to
9 me to offer or simplify a complex situation.

10 DR. DAVIS: Dr. Goodman.

11 DR. GOODMAN: This is just to underscore
12 that. The very first question, usual care includes,
13 and it has all these medical procedures, but we've
14 heard about the importance of counseling, integrated
15 team management. It's very, very difficult to
16 imagine that you could actually talk about any of
17 these in isolation from those other things and they
18 are not mentioned. So you could with any of these
19 modalities put into the wrong hands or with the wrong
20 care situation, they simply don't work. So it's a
21 very difficult thing to talk about, the medical
22 procedure in isolation, or care setting, I think
23 that's one of the messages that we got there.

24 DR. DAVIS: Since a few of you have jumped
25 in on Question 1, why don't we confine our discussion

00216

1 to Question 1 for now and continue to the discussion.
2 DR. MCBRYDE: I don't know if this was on
3 a slide, but the 30-day bit, it looks to me like when
4 you hear all this, and I've been doing this for 30
5 years, that the personality of a wound that's 30 days
6 old now is a lot different than it was just five
7 years ago, for numerous reasons. One is the
8 different treatments, one is the fact that before 30
9 days, many carriers are covering growth factors,
10 e-stim and so forth. So there's a lot of information
11 there that has made the situation from before your
12 time, Steve, this way. So I don't see how we can
13 just ignore the 30 days and figure out if that's the
14 right thing or not, but we certainly ought to revisit
15 that and make sure that we still feel comfortable,
16 and so there are a lot of issues that make the,
17 personality might be a bad word for it, but that
18 would make the wound at 30 days today in 2005 a whole
19 lot different than it was in 1995.
20 DR. BLACK: And again, I think the
21 comments were made earlier by David that we have to
22 be careful about lumping these types of wounds
23 together, and I think that by trying to consider my
24 response to question one, I think we really need to
25 look at it. My suggestion is we try to really look

00217

1 at it as individual types of wounds, because I think
2 they're all different. And while we talk about usual
3 care, I think based on the evidence just using
4 compression as one, I think most people would say
5 that compression is clearly necessary for venous, I'm
6 not sure that there's any evidence that we're talking
7 about diabetic or pressure wounds. So I think we
8 really need to talk about this in terms of which
9 represent usual care for specific types of wounds,
10 and I actually wonder whether we ought to talk about
11 the specific wound types and sort of, can we omit the
12 term chronic? Can we just talk about what's the
13 evidence, what works for treatment of diabetic wounds
14 or venous leg or pressure ulcers?

15 DR. DAVIS: I see Dr. Margolis nodding his
16 head, so we ought to stratify the question according
17 to the type of wounds we're discussing. Would people
18 feel more comfortable doing that? I see a lot of
19 nodding heads. Steve, any objection?

20 DR. PHURROUGH: No objection.

21 DR. DAVIS: Well, shall we begin with
22 diabetic ulcers? Would anybody like to comment on
23 question one in regards to diabetic ulcers?

24 DR. MARGOLIS: But again, even here,
25 you're talking about diabetic neuropathic foot

00218

1 ulcers, you're not talking about anybody with
2 diabetes who has a leg ulcer, for example an ischemic
3 ulcer. So you need to say diabetic neuropathic foot
4 ulcer, and what was discussed was off-loading with
5 total contact casts or removable walkers or other
6 things along that leg, which is different than
7 ischemic ulcers and diabetes, and I think there was
8 some confusion in some studies.

9 DR. DAVIS: Any objection to that, just
10 focusing on diabetic neuropathic foot ulcers?
11 Comments? Steve.

12 DR. GOODMAN: One comment here. On
13 debridement, and this would apply not just to
14 diabetic neuropathic ulcers, but one of the
15 questions, I don't think, is who should be doing the
16 debridement. I know working with pressure sores,
17 you're not going to get a surgeon to come over to a
18 long-term care facility to treat a pressure sore.
19 You would certainly expect a physician's assistant or
20 nurse practitioner to be able to do that once they
21 have been supervised. So one of the things that we
22 don't have evidence, I think we all agree that
23 debridement is an essential part of treatment of any
24 wound, but what we don't know is who should be doing
25 the debriding, does it have to be someone who has had

00219

1 nine years of classical surgical education post med
2 school or could it be a wound nurse or someone who a
3 wound nurse has taught. I would like more
4 information on that and also, I consider debridement
5 as crucial.

6 DR. DAVIS: That does sort of get into
7 Question 5 about generalizability to providers in
8 community practice, I suppose. But yes, Harold?

9 DR. BREM: I do think taking the focused
10 answer, is there sufficient evidence to assess the
11 health benefit of these modalities? I think the
12 answer is for debridement, yes, regardless of the
13 provider right now, to remove all the hyperkeratosis
14 up to the living edge, skin edge; cleansing, yes;
15 dressing is sort of nebulous, but yes; compression,
16 not applicable; antibiotics, certain antibiotics,
17 aggressive medicine for certainly some infections,
18 you would have to make the decision based on
19 organism; and off-loading, yes, and I think the
20 point, the removable walker, which type of
21 off-loading clearly needs to be addressed, but
22 everybody would agree to off-loading's health
23 benefit, and yes.

24 DR. DAVIS: Other modalities?

25 DR. BREM: Yes, I think there are other

00220

1 modalities for usual care. It depends on what the
2 other is, and of course -- in other words, I'm
3 assuming these are the controversial areas, pre-FDA
4 approved drugs that are utilized and the question
5 becomes for ones that haven't gone RCT, does
6 (inaudible) have a role, does hyperbaric have a role,
7 and then the answer is yes, they have a role, and the
8 question is how to find the best studies and when
9 they should be ideally implemented. Clearly somebody
10 with osteomyelitis with partial ischemia is going to
11 have different care than a Wagner Type II. But to me
12 it's very cut and dry, there's nothing nebulous about
13 this, if you provide the standardized care early on,
14 then you will get to that, and that's a critical
15 issue here. So if you just get these things done
16 that we do have evidence for, we would be in a cost
17 savings and decreased amputations.
18 DR. PHURROUGH: We've had a lot of
19 conversations today about nutrition, team care, those
20 kinds of issues as part of the issue of care, which
21 are not listed in the first part of Question 1, and I
22 guess the question is as we go through each of these
23 three wound types, does the evidence demonstrate that
24 being part of your usual care, as a health benefit
25 for the beneficiaries.

00221

1 DR. BREM: They are the mandatory minimum
2 requirements and I think it's a terrific question,
3 because the point is if we were to have to discuss
4 those things, we would be saying those are the
5 standards of care for all fields of medicine, the
6 team approach, all cost analysis, all medical
7 problems are lack of communications, team approach, I
8 think that's where medicine has gone. And that's
9 probably the only area where a particular new
10 national policy has to be regional, but for the rest
11 of this, it would be a national policy. But to
12 answer your question, nutrition and team approach has
13 overwhelmingly been shown to be beneficial to the
14 patient. Now, do you want to say nutrition
15 accelerates healing in an ulcer, well, Dr. Barbul is
16 probably one of the foremost to discuss other things
17 in local wounds here, but I think practically, we all
18 think our patients should be well nourished, and it
19 comes up every day, and my answer is that those are
20 the minimum standards.

21 DR. DAVIS: Dr. Burke.

22 DR. BURKE: A point of comparison. Is
23 this all chronic wounds with any degree of is
24 severity, Wagner I, II and III, is it the same
25 chronic wound care for all severity of wounds? We

00222

1 didn't differentiate and I gathered you were saying
2 this one is evidence for chronic wounds of all types.
3 DR. BREM: That's right. In the goal of
4 being supportive to finish through the question, I
5 think there are many common things that we all
6 participate, either run or participate in, just on
7 each different type. I mean, I have been in all-day
8 conferences on osteomyelitis, and each of these
9 issues are brought up.
10 DR. BURKE: So the qualifier is?
11 DR. BREM: It does depend on the stage, it
12 depends on the level of ischemia, it depends on the
13 comorbidities, it depends on their hemoglobin A1c, it
14 depends on the nursing care available, it depends on
15 debridement. But at the end of the day, we can come
16 away with a national policy for minimum standards
17 that would decrease amputation rates.
18 DR. AYELLO: To add to that database, the
19 select two studies that were published on off-loading
20 which I referenced in my presentation, which were
21 (inaudible) using off-loading, the level of diabetic
22 ulcer that was in there was a Level I and Level II
23 using Wagner grades, and it was simply looking at
24 those two particular kinds of ulcers, so those
25 databases showed that off-loading contributed to

00223

1 healing for those two specific grades.

2 DR. BLACK: And I wonder if we need to say
3 something about diabetic control. We talked about
4 nutrition, but I guess my clinical sense is if a
5 diabetic has A1c above 12, or an A1c of 15, the
6 likelihood of getting healing is probably
7 significantly less. So, should we assume another
8 part of usual care is something of that category or
9 acceptable, this control of diabetes and blood sugar?

10 DR. BREM: Absolutely, you have to
11 optimize all of those things as part of minimum care.

12 DR. MARGOLIS: I'm just -- I don't know
13 what I'm doing. You know, there is really no good
14 study that shows that blood level controls of A1c
15 that somebody needs with diabetes has an effect. In
16 the randomized trials that exist, people have been
17 included with A1c ranging from 6 to 15 on admission
18 to the trials. An analysis was done a while ago and
19 the admitting A1c was not predictive of whether or
20 not the wound would heal. It doesn't mean that
21 controls aren't good, but not all levels of evidence
22 address them, so that's clearly an area that we need
23 to explore, because the modern wisdom would be that
24 with better control, they should do better. Having
25 said that, I don't know if somebody out in the real

00224

1 world there has better choices on this.

2 DR. KRASNER: I don't think there is
3 evidence, but empirically we see all the time that
4 there is no correlation between the level of control
5 and the relentlessness of their wounds.

6 DR. MARGOLIS: But that's not what any of
7 us wants to believe. In studying the use of
8 dermatograph for the diabetic foot ulcer setting, we
9 have looked at that question, and the initial
10 hemoglobin A1c did not relate to healing, given the
11 control group and the dermatograph group. If you look
12 at the change of hemoglobin A1c over 12 weeks, if it
13 improved and there was no difference in the control
14 group versus an increase in the dermatograph, then in
15 the dermatograph group where you're applying an active
16 matrix to the wound itself, if the hemoglobin A1c
17 went down, the healing rate was up. So that's the
18 only data that I'm aware of.

19 DR. PHURROUGH: Perhaps I could propose a
20 somewhat modification of the question and maybe
21 resolve answering all the medical questions. I'm
22 sorry I brought that up. Is there sufficient
23 evidence to assess the health benefits of the
24 following modalities as usual care for chronic wounds
25 in patients whose medical conditions are being

00225

1 optimally managed? So then we're getting nutrition,
2 taking care of all the other problems that we aren't
3 going to delineate; does that help? So then we're
4 back to debridement, cleansing, dressing, so and so.
5 And then sort of that category of treatment having
6 taken care of the medical problem, are there other
7 modalities that ought to be added to that list other
8 than the ones that Dr. Brem mentioned, I guess.
9 DR. MARGOLIS: And again, you're still
10 talking about usual care, so usual care, for the
11 other modalities, are people calling -- I guess the
12 question is, needs to be addressed, addressing usual
13 care, does usual care include thermograph, cell-based
14 therapy, growth factors, hyperbaric oxygen, VAC,
15 everything else, or is that sort of the next level?
16 DR. PHURROUGH: We put that into the next
17 level of care in our thinking. We are certainly
18 willing to have our thinking challenged, we're used
19 to it and we would expect no less.
20 (Laughter.)
21 SPEAKER: I'm Will (inaudible), president
22 of the (inaudible) foundation. I think the questions
23 are all cogent and relevant to CMS and among the
24 experts here, I think what we're getting to at least
25 in terms of that last point is the horse is already

00226

1 out of the barn. In the past decade, particularly
2 since biological therapies have become available
3 widely in the community, the opportunity to try to
4 isolate those away from standard of care has to some
5 degree been lost. In practice, real life practice, a
6 clinician will tell you that they will use advanced
7 modalities like (inaudible) therapy, or VAC to assist
8 in closure or tissue adherence with great success. I
9 just want to call the panel's attention to the fact
10 that we are in a paradigm shift period of time in not
11 only wound care, but also oncology, cardiology and a
12 number of other medical specialties where suddenly
13 there are instructive technologies that challenge the
14 way you practiced before.
15 And so, right now is an awkward moment in
16 time to struggle with what is usual, and I think that
17 is one of the critical natures of the discussion and
18 the presentations, what was usual may no longer be
19 usual, and what we're doing today really doesn't have
20 firm guidelines. So somewhere along the line the
21 questions need to be framed to address what, you
22 know, to better identify our current situation.
23 DR. MARGOLIS: But the question is still
24 standard of usual care, and at least from my
25 knowledge of what's being done in wound care, the

00227

1 most common adjuvant therapies is currently either
2 growth factor cell-based therapies, and that market
3 share is around 12 percent. So if 12 percent of
4 neuropathic foot ulcers are receiving this and this
5 is the most common of these therapies, are you trying
6 to tell me that's usual care?
7 SPEAKER: I think that needs to be mapped
8 out in terms of, target penetration needs to be
9 mapped out against outcome information in a
10 particular population of the therapy. So I think,
11 you know, one of the frames was that this meeting was
12 supposed to try to separate advanced modalities from
13 the grouping of interventions that were previously
14 mentioned. So again, I think what I'm hearing in the
15 discussion is a little bit of mission creep away from
16 what is intended to be a pretty clear set of
17 questions regarding debridement, compression,
18 antibiotics, and I think somewhere along the line we
19 need to be refocused on those particular areas.
20 SPEAKER: The question came up earlier
21 about randomized trials. We're having difficulty in
22 randomized trials because of the advanced modalities
23 or the adjuvant care therapies where we place the
24 patient and randomize them, and then if they're not
25 responding, the providers are taking them out of the

00228

1 study and placing them into the therapy that works,
2 so there's a dropout rate that's significant that
3 compromises our ability to even do these randomized
4 trials.

5 DR. O'DONNELL: It's nice to hear about
6 all this modern treatment, but there's still that 51
7 percent of the diabetic group that gets saline wet
8 and dry dressings, for instance. So I mean, you
9 would like to see that move on to hydrocolloid or
10 some form of semioclusive dressing. I think the
11 growth factor story is really still yet to be written
12 as far as evidence-based medicine, if we do take that
13 as a gold standard.

14 DR. DAVIS: Are people ready to move on to
15 venous?

16 DR. BLACK: Well, I don't mean to prolong
17 this discussion, but I think there were a lot of good
18 comments earlier about, the idea about dressings, and
19 that as wounds heal, they don't necessarily need to
20 stay the same. And I wonder whether other folks on
21 the panel think we need to capture that idea, that
22 is, what's the best dressing on day one, is it the
23 best dressing on day 28 or day 58, or whatever it is.
24 Again, I heard that a number of times, I think we
25 heard about the changing nature of wounds, the

00229

1 various phases, and what is usual care but also at
2 the same time trying to change these things. So I
3 think that was some sort of additional footnote to
4 the comments related to dressings as usual care.
5 DR. GOODMAN: Maybe I'm wrong, but what I
6 heard was that it was the requirements of the RCTs
7 that forced the use of particular dressings and in
8 fact the usual care as provided in these centers or
9 the community does not use that, so it was the
10 artificiality of the RCT situations where they may
11 have been industry funding, they may have looked at
12 only a particular dressing, that made those
13 particular RCTs not so relevant to actual practice.
14 So I don't think we need to say that you change
15 dressings, I think that's already done, but I would
16 appeal to other people in the audience that were
17 telling us that, and we've got lots of them.
18 DR. DAVIS: Do any members of the panel
19 have any problem with what they see on the screen for
20 diabetic neuropathic foot ulcers? If not, why don't
21 we move on to venous ulcers and also answer
22 Question 1. Would anybody like to jump in on that?
23 Dr. Margolis.
24 DR. MARGOLIS: I think you could probably
25 put Y's in probably all the same places and then

00230

1 off-loading becomes N/A, or just put Y's everywhere.
2 You're going to have debridement that may be more
3 surgically oriented, and certainly wound cleansing,
4 you're going to have wound dressings that are
5 specialized, you're going to have compression and so
6 forth.

7 DR. GREENOUGH: Just a word about
8 antibiotics, I think it's important to recognize that
9 many of the organisms that grow out of wounds we
10 don't really, we don't know much about, and some of
11 them are symbiotic, some of them are supplemental,
12 and some of them are basic pathogens. The wounds
13 that are basically pathogenic (inaudible) so I think,
14 I don't know what people do, but cultures are only
15 useful in looking for things that are invasive, and
16 (inaudible) I'd like to hear some comment on that.

17 DR. DAVIS: Dr. McNeil.

18 DR. MCNEIL: I'm wondering if we're
19 getting into too much detail at this point. Under
20 dressings for the first one and under antibiotics, we
21 have a blue asterisk to say they obviously require
22 further elucidation in terms of cultures, whatever.
23 Otherwise, we are never going to be able to fill out
24 this table. We have to assume that with antibiotics,
25 obviously people have done the appropriate workup.

00231

1 DR. DAVIS: And the comments are obviously
2 being recorded and will be transcribed. So what I'm
3 hearing is all cases are going to change as part of
4 good medical care for other medical problems, and
5 antibiotics for diabetic foot ulcers, as you have
6 shown for ulcers that are infected, antibiotics can
7 be used if the surrounding tissue is infected, but
8 not necessarily for the wound itself.
9 So off-loading becomes N/A for venous.
10 Any other changes while we fix the computer? Let's
11 move on. Any other changes, Dr. Margolis or anybody
12 besides the off-loading one for venous? Yes.
13 DR. KRASNER: Just some comments that came
14 up in our discussions in the Alliance. Usually when
15 we talk about off-loading in our specialty areas,
16 we're referring to footwear to offload the diabetic
17 foot. So we're not clear where pressure reduction,
18 pressure relief for a pressure ulcer comes in. For
19 us it would be a different category, we call it
20 pressure relief reduction. So there would be an N/A
21 in that off-loading, but somewhere you have to
22 capture pressure reduction relief.
23 The other issue that was difficult for us
24 was antibiotics. Again, it goes to antibiotics for
25 infection control, but it misses, then, the whole

00232

1 issue of bio control for crucial compensation, which
2 is a big issue in our arena, and you haven't captured
3 that. So those were two issues for us as we looked
4 at that table that jump out at me, so off-loading
5 could be slash pressure relief, and then it would be
6 yes for pressure ulcer.

7 DR. DAVIS: Thank you. Go ahead.

8 SPEAKER: My other hat is I work as the
9 educational services administrator for Gaymar
10 Industries, and what Diane just brought up exactly
11 was something that had been irking me since I read
12 these questions, and the fact that off-loading is
13 very, very specific to a particular type of wound,
14 and in the greater scope of things when we look
15 specifically at pressure ulcers, we're looking at
16 what we're now calling pressure redistribution.
17 And if you look to the literature, there
18 is a significant amount of work going on now within
19 the National Pressure Ulcer Advisory Panel, the
20 corporate advisory group that works with them looking
21 at this support service initiative, and one of the
22 three committees is looking at terminology in
23 pressure redistribution. So maybe in the greater
24 scheme of things, maybe particular to the diabetic
25 community, off-loading is the correct term, but

00233

1 pressure redistribution would probably be and even
2 might be a better term that could be all-inclusive
3 and then broken down into subheadings.

4 DR. DAVIS: Thank you. Any final comments
5 on this grid that appears on the screen? If not,
6 shall we move on to Question 2? Yes, ma'am.

7 SPEAKER: Wait, before we move, are we
8 going to keep compression for pressure ulcers?

9 DR. DAVIS: The grid was filled in for
10 pressure, and I think Dr. Margolis might have
11 commented on that sort of globally. Do people want
12 to comment now on pressure ulcers?

13 DR. BREM: Two things about pressure
14 ulcers. Number one is that we need to go back to
15 that screen, or not. A couple of things. Dr. Horn
16 pointed out in terms of cleansing, the data she
17 presented, some funding is thoughtful, but things
18 like hydroperoxide may be harmful to a pressure
19 ulcer, there are certain specific qualifiers that
20 need to be set for cleansing. Of course you need a
21 clean wound but the specific cleansing, a topical
22 antimicrobial might be very different than a toxic
23 H2O2.

24 Secondly, antibiotics are often not used
25 in pressure ulcers, particularly if you have a Stage

00234

1 IV pressure ulcer, you've done adequate debridement,
2 you're never going to get rid of bacteria, but only
3 going to promote resistance, so antibiotics, you need
4 to use caution there, and I would change, if I had to
5 choose between yes or no, I would insist on a no
6 because the most common way pressure ulcers are
7 treated in a hospital with antibiotics, it often
8 brings them back in even a more effective state.
9 DR. AYELLO: And I would change the
10 compression to N/A for pressure ulcers.
11 DR. DAVIS: Thank you. Did you want to
12 comment on that?
13 MR. SANTORO: Yes. Joe Santoro, Health
14 Point. It occurs to me as I look at that grid under
15 the pressure ulcers that it is possible where the
16 dressing category is listed that there are certain
17 pressure ulcers that present that may not require
18 dressing, and I'm not sure if that's been
19 acknowledged here, but when you have a Stage I or II
20 pressure ulcer, reduction of pressure is the
21 appropriate treatment and no dressing is required,
22 and so, I suppose there should be some awareness of
23 that variability there.
24 DR. DAVIS: Thank you. Yes.
25 DR. HORN: Although I didn't present this,

00235

1 we did look at (inaudible) and it turns out even
2 there, moist dressings are far better than no
3 dressings. We did have patients on many types of
4 dressings, and moist dressings did better.
5 DR. DAVIS: Dr. Ennis, did you want to add
6 something?
7 DR. ENNIS: Well, I just wanted to agree
8 with Dr. Brem on the antibiotics with pressure sores,
9 if you use antibiotics thinking it will do the job,
10 you've got to debride, drain the pus, and I will say
11 if you have done a good job debriding, you should
12 never have to use systemic antibiotics for pressure
13 sores, but this is I think an important point.
14 DR. DAVIS: Yes, please. Dr. Armstrong.
15 DR. ARMSTRONG: Not to prolong this, and I
16 do apologize, but to speak to the issue of
17 antibiotics, surely you are not suggesting that a
18 patient that comes into you who is septic with a
19 pressure sore doesn't need antibiotics after a
20 medical debridement. And just to soften that, I will
21 tell you, I'm fully with you that antibiotics use is
22 attenuated, but I think that to throw the baby out
23 with the bath water seems a little, at this stage,
24 and you know, foregoing clinician's judgment --
25 DR. BREM: The point is, in the absence

00236

1 of, if you were just to use antibiotics --

2 DR. ARMSTRONG: Oh, with that statement,
3 I'm not sure that that's being made clear in the
4 transcription.

5 DR. BREM: You are correct, it's a very
6 important point. If a patient is septic, that sepsis
7 should be treated, but as an independent variable for
8 pressure ulcer with no other factors, it should
9 probably not.

10 DR. PHURROUGH: Remember, we changed the
11 question to medical problems as being managed, and
12 the systemic problem would be managed as we were
13 addressing the non-systemic problems.

14 DR. DAVIS: Further comments on pressure
15 ulcers? Now, let's proceed to Question Number 2. I
16 don't know if we have to stratify this one by type of
17 ulcer, do we? Talking about outcome measures,
18 process measures, unless somebody tells me we have to
19 consider these measures separately by type of ulcers,
20 why don't we just have a general discussion about
21 measures to be used.

22 Question A, are these appropriate main or
23 intermediate outcome measures to be considered when
24 assessing the benefits of usual care of chronic
25 wounds? Are there any measures on this list that

00237

1 shouldn't be used, or are there any that are not on
2 this list that should be used?

3 DR. BURKE: Some are pretty presumptuous
4 that it's pretty evident what that actually means.

5 DR. DAVIS: Are there any validated
6 constructs for that measure?

7 DR. GREENOUGH: How about return to
8 baseline activity?

9 DR. BURKE: I mean, if they are in an
10 extended care facility and they've got bed sores,
11 that's one thing, and what is (inaudible) pressure
12 sore in an extended care facility.

13 DR. DAVIS: Yes, Steve.

14 DR. GOODMAN: I think first of all, that
15 speaks to maybe the subtle differences between the
16 clinical situations of the people who tend to have
17 the different kinds of wounds. So it might be
18 completely, less ambiguous than the neuropathic as
19 opposed to pressure. The question is a little bit
20 ambiguous, and we heard the presentations related to
21 this, commonly used to assess healing. The ambiguity
22 comes in the clinical assessment where someone has
23 made the decision in mid-course about how well are
24 things going and do I need to change my decision, and
25 we're using it in a very technical sense which is how

00238

1 do we sort of evaluate this, what is the appropriate
2 end point for a research study to figure out whether
3 one modality works better than another, and that's
4 the way I'm reading this. It's clear that clinicians
5 view this in a different way, and maybe we want to
6 just clarify this because there are many things that
7 are looked at as an ulcer heals, to figure out what
8 the next course of therapy should be. And that goes
9 under assess healing, although it is clearly by
10 definition before the ulcer is healed. So, I just
11 want to make that distinction very, very clear.
12 DR. DAVIS: Well, I asked about validated
13 constructs and we are talking about quality of life
14 basically, and we hear about SF-36 and what is it,
15 SF-12 or 15, so I guess I'm wondering whether
16 something like that is appropriate or is there
17 something that corresponds to that that has been used
18 for research purposes. Dr. Margolis.
19 DR. MARGOLIS: They haven't been used a
20 whole lot. There's been attempts to use some of
21 those measures and I think from my recollection and
22 from my recent lecture, for venous leg ulcers there
23 is often depression, so a depression heading seems to
24 work better than some of the others, but there is
25 certainly nothing wrong with having that there. The

00239

1 other things you might want to add would be this
2 whole concept of wound bed preparation or is the
3 wound bed ready for another modality, which, you
4 know, certainly could be helpful. If you can improve
5 it so the graft is closed using grafting tape, you
6 could be moving on to other adjuvant therapy that
7 would work better, so people certainly argue that as
8 an important outcome as well.

9 DR. AYELLO: And I don't know that we need
10 to stratify this by wound type, but within pressure
11 ulcers, there are scales that have been used to
12 measure healing outcomes, and by looking at changes
13 over time, and it's one way that you could quantify
14 the healing of pressure ulcers, the size of the
15 wound, amount of scab.

16 DR. WEINER: A quick comment. Sure we
17 should try to address patient preferences, the SF-36
18 isn't that good, but I'm hearing all types of places
19 where the patient preference would be a factor, but I
20 doubt there is any formalized generalized scale.
21 The question that I have is clearly the
22 partial healing is very important to the degree of
23 health care, and I know in dermatology they are also
24 using new technologies, digital things, and I assume
25 they're used in wound studies as well. But to me,

00240

1 that seems like a critical area, if not the most
2 critical applied point to measure, but I will defer
3 to others.

4 DR. BURKE: I think the first one is
5 clear. The second, or third one, if you couldn't
6 find an ulcer in the same spot, or in another spot,
7 that would be an excellent outcome as well. Partial
8 healing rate would depend on the scale that you use.
9 Elimination of infections is very difficult.
10 Amputation, sure, but you could do that. Reduction
11 of pain, that's okay, and resumption of normal
12 activity as well.

13 DR. DAVIS: And Dr. Brem.

14 DR. BREM: Again, I ask you this question
15 using the power of CMS, but I think in no other area
16 of medicine would you be able to increase outcomes,
17 decrease amputations, help patients if you insisted
18 on digital photography. It is no longer, you know,
19 the EMR of some sort or another, it becomes a
20 standard part of practice. And I know five years ago
21 when I was part of a local MCAC, that was an issue
22 because that would be too hard to implement.
23 Nowadays, if you insist on a picture, you would find
24 that people would go pretty darned quickly to the
25 right treatment, or if we see some type of electronic

00241

1 record. I would appeal that it is possible now in
2 2005 to insist on a digital photograph as part of the
3 care. If reimbursement were an extra three to five,
4 or seven, whatever it was, you would find much more
5 rapid healing, people getting to it.

6 DR. DAVIS: Is that usual care at wound
7 centers?

8 DR. BREM: It certainly is in many wound
9 centers and it would be almost impossible not to be
10 in a state of the art center.

11 DR. O'DONNELL: Just from the
12 evidence-based area, assessment of wound size using
13 photographic digital imaging was used in 25 percent
14 of the diabetic RCT trials, 60 percent of the
15 pressure, and 35 percent of the venous, so it's not
16 that high.

17 DR. DAVIS: How many of those that did not
18 use it were older studies?

19 DR. O'DONNELL: Well, these are all since
20 1997.

21 DR. BREM: I participated in several, and
22 those started basically in, were designed, the trials
23 in the mid-90s when it just wasn't available. Our
24 camera in 1998 cost \$18,000, so your timing is
25 exactly correct. But now it has become, it has just

00242

1 become really -- I'm just like a Final Four referee,
2 I'm just calling fouls.

3 DR. DAVIS: I'm just wondering if you
4 looked at RCTs from 2001 to the present, would they
5 be excluding --

6 DR. BREM: The answer is, they are still
7 copying what was on imagery and tracing, some people
8 still use tracing, but the answer is, it is time to
9 change.

10 DR. AYELLO: I have two points. First of
11 all, when talking about the Bush scale, there
12 actually is one study done in Brazil and published
13 that looked at using the Bush scale, although it was
14 designed for pressure ulcers, whether it would
15 indicate healing in venous ulcers. That was
16 published and is out there.
17 The other thing, having spent a great deal
18 of time in long-term care, I'm sitting here thinking
19 about how we would, if photography was something that
20 we wanted to use in all settings, I don't see how it
21 would be easily applied in a long-term care setting
22 or in a home care setting, where, I mean, just from a
23 practical point of view, there really are some
24 problems. It might be ideal in a wound setting, I
25 know, Dr. Brem, you're ready to jump right in, but I

00243

1 think we need to realize where some of these wounds
2 are being treated. Some of these long-term care
3 facilities can't even get a VCR, let alone any other
4 kind of complicated equipment such as a camera.

5 DR. DAVIS: Dr. Black, did you want to
6 jump in on this issue?

7 DR. BLACK: No, different.

8 DR. DAVIS: Then can you wait for Dr. Brem
9 and Dr. Greenough?

10 DR. GREENOUGH: I think this is a
11 technology issue. In many ways there are going to be
12 more technologic measures of healing rates and
13 partial healing rates, and the camera is not an
14 adequate tool, there are new tools coming along that
15 will look at the results, so I think to include this
16 on this list would complicate things enormously. I
17 think you want to leave it simple, there are ways to
18 measure healing rate, there are different
19 technologies to do it, the simplest may be what
20 you're using, it's not the best, but why complicate
21 this list by putting technology into it?

22 DR. DAVIS: Dr. Brem, do you want --

23 DR. BREM: Very straightforward. It's
24 strongly argued at long-term facilities that I've
25 gone into, if you don't have a picture,

00244

1 Medicare-Medicaid will continue to pay an exorbitant
2 fee, thousand or tens of thousands dollars in fees
3 for treatment of that patient. If you have a
4 picture, you have documentation for why it's not
5 being treated. There is resistance, it is change,
6 change is hard. It's a simple cost effective tool.
7 You're paying the bill. It may be hard for them to
8 get a VCR, but pressure ulcers is something that they
9 are, that is a major issue for every nursing home.
10 There are still 15,000 long-term care facilities that
11 are treating pressure ulcers, that's where they are.
12 There are a lot of people, they have a lot of
13 finances coming in, so to add a couple hundred
14 dollars on for a camera is not much since this will
15 decrease costs and help so many patients.
16 DR. DAVIS: Did you want to comment on
17 this issue?
18 SPEAKER: Yes. I think to suggest one
19 concept that may actually tie together this line of
20 thought is of course, clinics have both images and
21 digital photography, but of course these are static
22 images of a snapshot in time. What we're looking at
23 is the integral change from time to time and I think
24 where our group is headed because of that, I would
25 suggest that we might look beyond that at velocity of

00245

1 change. That's totally different from just a pure
2 rate change in the surface area over a given time,
3 and that could be accomplished both by digital
4 photography or by acetate skin tracing, and that goes
5 to something that has the meaning that we're looking
6 at, not just a snapshot.

7 DR. DAVIS: Let me just make a point here,
8 again, keeping my eyes on the clock, and there's a
9 number of questions we have to go through. This time
10 is reserved for discussion among the committee
11 members, so let me suggest to members of the audience
12 that if there is some huge important point that we
13 are missing up here, then go ahead and approach the
14 microphone, but otherwise if you could restrain
15 yourself, I would appreciate it very much.

16 DR. KRASNER: A quick comment, that
17 whether it be a picture or a RIC score or a number,
18 unless it's linked to an intervention, it's just one
19 more piece of unimportant data on a chart, so it's
20 got to be linked to some intervention.

21 SPEAKER: I just think there's confusion
22 about what is complete healing and what is partial
23 wound healing.

24 DR. GOODMAN: I wanted to ask about the
25 recurrence. I mean, it was mentioned, it seems quite

00246

1 possible that that is measuring issues that occur
2 after healing. It's not obvious to me that is what
3 we want to use as a way to assess healing of a
4 chronic wound unless we want to say the quality of
5 healing or quality combined with the care afterwards,
6 that does seem to be a different issue, and I just
7 would like to hear other comments on that.
8 DR. DAVIS: Dr. Margolis.
9 DR. MARGOLIS: I would agree. One of the
10 concerns at the FDA in the early wound care trials
11 was the recurrence argument that it wasn't good
12 enough because of multiple wound breakdowns even
13 after therapy. This is different than a wound that
14 has healed, or a new wound on the foot or a new wound
15 on the leg, which has to do with the same underlying
16 disease but has nothing to do with the original
17 wound. So maybe the unifying thing for partial
18 healing rate is that some change of the wound over
19 time might be a reasonable outcome. How we define
20 that, either through use of an acetate tracing or
21 digital photography, or area loss, whatever it is,
22 the concept is that you want to see a change over
23 time. That may be appropriate, to change that phrase
24 to encompass everyone's concerns.
25 DR. DAVIS: I see some nodding heads. Any

00247

1 other comments?

2 DR. GOODMAN: Again, elimination of
3 infection, is that really outcomes or is that to
4 assess the healing? I mean, that would seem to be a
5 means to that end.

6 DR. BURKE: That's a surrogate outcome, it
7 isn't a true outcome.

8 DR. GOODMAN: Yeah. I mean if you, if
9 somebody wasn't feeling great, or any of the other
10 outcomes, and had a different infection rate, would
11 we view it with any difference? I see how healing
12 velocities might affect outcomes, but ultimately, the
13 only outcome we're really interested in is complete
14 healing, so that's a surrogate outcome, and some of
15 the others are as well. But elimination of
16 infection, it's not obvious to me that that is really
17 the same sort of surrogate.

18 DR. GREENOUGH: I don't think we have a
19 good definition. If you're really serious about
20 elimination of infection, you've got to have a biopsy
21 to see what has colonized in this category and how
22 many of these colonizations are infection. You can't
23 without some other marker in the wound, so this
24 becomes terribly difficult to have in there as a
25 marker, unless you're going to go for biopsy or show

00248

1 cellulitis to, et cetera, very difficult.

2 DR. DAVIS: So we've heard a number of
3 concerns about elimination of infection, but nobody
4 asking to drop keeping that measure there. I think
5 in the interest of time we should probably move on,
6 unless there is objection.

7 DR. BLACK: Related to other potential
8 measures, just a couple of clarifications. And
9 again, whether this is getting too proscriptive in
10 terms of what we really want, we heard comments that
11 perhaps devices don't work because people don't use
12 them. So it is important, and again, it doesn't
13 relate to wound healing per se, but the understanding
14 is if something doesn't work, is it because people
15 don't use it. Similarly, is the nature or are the
16 nature of resources that go into a particular device
17 an important part of all the significance for that
18 issue? For example, a pressure wound dressing that
19 needs to be changed every six hours by a specialized
20 person versus something that a patient can change one
21 time a week.

22 And then the final comment is, I think I'm
23 hearing that time to wound, the time to complete
24 healing is what we're viewing as a primary measure,
25 is that correct? Then, is that easily translatable

00249

1 for time to look at other studies, percent healing,
2 percent healed by X amount of time, whether it's
3 percent healed by 12 weeks, percent healed by 26
4 weeks, percent healed by six months, are those two
5 interchangeable or is that another variable that we
6 need to consider?

7 DR. MARGOLIS: Again, the data are
8 recorded by cutoff date, it may be 12 or 24 weeks
9 depending on wound type, but the other is to the rate
10 of healing, there are other type of analyses as well,
11 so you want to be technically classifying the outcome
12 so you know what the conclusion really is at the end
13 of the study.

14 DR. DAVIS: Okay.

15 DR. BLACK: Comments about the adherence,
16 the idea about the shoes should be captured in our
17 report and, you know, we'll just figure it out.

18 DR. GOODMAN: I think the issue goes to
19 whether or not -- I don't know, I mean, that is a
20 process measure. It's a little bit like extending
21 the means to an end as part of understanding whether
22 something works or doesn't work, but in the end
23 accepting whether it works. These are the end points
24 that, you know, may or may not be something that's
25 measured. I mean, that's the kind of thing that

00250

1 actually came from some of these trials, very
2 extensive, and we try to capture that. So that
3 involves a trade-off in the research to understand
4 exactly why or why not particular modalities are
5 working. I don't think that is what this is trying
6 to capture, even though I do think it's an important
7 thing for our understanding, but that's another
8 issue.

9 DR. DAVIS: I agree and see a lot of
10 nodding heads.

11 DR. PHURROUGH: I'm not sure what's on the
12 list and what's not on the list. Could we summarize
13 what should be on the list and what should be taken
14 off? If you could just say yes or no to each one,
15 which ones do we want and which ones do we not want?

16 DR. DAVIS: All right. Well, why don't we
17 first ask which ones people think ought to come off
18 the list, and elimination of infection was one that
19 people seemed to agree should come off the list.
20 Recurrence, there was concern about recurrence, but
21 does anybody want to argue to keep recurrence on
22 there?

23 MR. QUEENAN: What would be the
24 consequence of saying it should not be on the list?
25 The reason I'm asking that is that I think that it's

00251

1 clear that there are some there that would be more
2 relevant and some that would be potentially
3 informative but not decisive as it were, but if the
4 consequence of saying it should not be on the list is
5 that you would sort of lock down these studies and
6 intentionally not include or collect that
7 information, I'm not sure where that would take us.
8 DR. DAVIS: My sense is, and Dr. Phurrough
9 can comment on this, is that CMS will come up with
10 some list based upon the action of the committee, and
11 those measures will be given more weight when the
12 staff looks at the research that's out there, and
13 perhaps there might be some guidance in the field as
14 well.

15 DR. PHURROUGH: If people wanted to take a
16 step and we're not paying for it now, these are the
17 kind of outcomes that their evidence needs to
18 address. These are not clinical outcomes of how you
19 take care of patients, these are the outcomes that we
20 want to see in the evidence that's going to be given
21 to us to make a decision about whether they are or
22 are not beneficial.

23 DR. MARGOLIS: The reason this question
24 was brought up was when we were talking about a
25 prevention strategy, and I thought we were talking

00252

1 about that.

2 DR. DAVIS: No, I just brought up that
3 that was a measure about which concern was expressed.

4 DR. BURKE: (Inaudible) I think that
5 would, to be measured and quantified properly, so I
6 think one of the issues is can you measure it, can
7 you quantify it sufficiently to lead to good
8 outcomes. So when I say it's difficult, I'm saying
9 it would be hard to quantify it, to quantify that
10 measure such that it would be good evidence.

11 DR. DAVIS: Does anybody want to argue for
12 keeping recurrence on the list? There were several
13 people arguing against it, so we will give that a no.
14 We have taken off elimination of infection. There
15 was some concern about definition of resumption of
16 normal activity, I don't know if we decided one way
17 or another on that.

18 DR. GOODMAN: Well, I think that is just
19 one of the number of quality of life measures. I
20 think what we should say there is quality of life
21 measures are relevant, I don't think we should
22 specify one particular one. We in fact have had many
23 patients return to their normal activity the next day
24 wearing the same shoes and that's one of the
25 problems, so I think that maybe what we want to open

00253

1 the door to there is quality of life is an issue, and
2 that there should be an appropriate measure, an
3 appropriate validated measure. Resumption of normal
4 activity is just one very narrow definition and one
5 dimension of that, so I would think that what we
6 should say is relevant and validated quality of life
7 measures.

8 MS. KUEBLER: And we could include pain in
9 the quality of life, so maybe patient-perceived
10 quality of life would identify both.

11 DR. DAVIS: Any objection to that? So
12 appropriate validated quality of life measures,
13 something to that effect.

14 DR. PHURROUGH: For the people on the
15 computer, below amputation, the other two are
16 replaced by validated quality of life measures.

17 DR. GOODMAN: And relevant. You can have
18 lots of quality of life measures that don't measure
19 anything that's affected by this.

20 DR. DAVIS: So relevant validated quality
21 of life measures. Dr. Margolis was suggesting adding
22 something.

23 DR. MARGOLIS: Actually, I think there are
24 two items, one is the percent of patients whose
25 wounds have healed, and the second one, which is the

00254

1 first one up there, is the time to complete healing.
2 So, I think you were suggesting that there were two
3 separate contexts that are frequently measured and
4 reported separately.

5 DR. DAVIS: Could the blue screen be
6 brought back? Okay. Could you, Barbara, or --

7 DR. MARGOLIS: Percent healed at study
8 end, or whatever magic. But there is one other that
9 I think probably needs to be added based on some of
10 the comments made earlier today, that there are some
11 agents that are important in cleansing and are used
12 in preparing the wound bed, preparing it either for a
13 graft or making the wound bed a better milieu for
14 healing. So you need some sort of outcome that would
15 be representative of wound bed prepared or wound bed
16 debrided, or whatever catch phrase you might want.

17 DR. PHURROUGH: How do you measure that?

18 DR. MARGOLIS: That's a good question.

19 Well, people talked about the benefits of an outcome
20 and then you have to validate that outcome by
21 actually grafting it, but if you're going to do that,
22 preparing the bed is important, i.e., at some point
23 along the line debridement is an important outcome,
24 but again, I don't know how to measure that.

25 DR. PHURROUGH: What do you want?

00255

1 DR. BURKE: That's for a specific
2 treatment, that is not just usual care. Clearly with
3 a specific treatment you might have specific
4 conditions that need to be --
5 (Inaudible colloquy.)
6 DR. MARGOLIS: There's people that would
7 argue that there is some consensus where you can
8 perhaps look at it and tell if it's properly
9 prepared.
10 DR. GOODMAN: Wouldn't that come under C,
11 if it comes in at all?
12 DR. BURKE: Yes.
13 DR. DAVIS: So, is it the sense of the
14 committee that we would encourage the development of
15 validated measures for a wound bed being prepared?
16 Okay.
17 DR. PHURROUGH: For the people on the
18 computer, add percent healed, delete reduction of
19 pain and resumption of normal activity. Is that
20 okay? Amputation was yes.
21 SPEAKER: If I may?
22 DR. DAVIS: Just one moment. So we're
23 adding percentage healed to the list of items, and
24 then for item C --
25 DR. BURKE: (Inaudible.) So percent

00256

1 healed is in addition to the partial healing rate.
2 DR. GOODMAN: Healing rate is healing
3 rate, so it's talking about velocity and yeah, isn't
4 it -- how quickly.
5 DR. MARGOLIS: Healing velocity is
6 specifically looking at multiple points along a
7 curve, but what people are often looking at is just
8 in terms of partial healing or healing rate is some
9 scale change after four weeks or six weeks, and they
10 want to identify them.
11 DR. GOODMAN: So you're saying that
12 partial healing rate is those who produce a surface
13 area change by 50 percent or --
14 (Inaudible colloquy.)
15 DR. DAVIS: Percent healed.
16 DR. BURKE: What's that?
17 DR. MARGOLIS: The most commonly used
18 parameter in the study.
19 DR. BURKE: (Inaudible.)
20 DR. GOODMAN: No, no, no, because you
21 could have 60 percent healed in both groups and one
22 heals quicker than the other, or vice versa.
23 DR. BURKE: Okay.
24 DR. GOODMAN: They are both relevant.
25 DR. DAVIS: So we're on the computer and

00257

1 we're going to delete the words healing velocity.
2 All right, while we're waiting for that to be
3 projected, you may proceed, please.

4 MS. JAMES: Sharon James, I'm an analyst
5 on the staff of the Medicare Payment Advisory
6 Commission. I was just wondering if anybody had
7 considered and ruled out on the list of outcomes the
8 avoidance of adverse outcomes, rehospitalization or
9 AUCD for a wound complication.
10 (Inaudible colloquy.)

11 DR. DAVIS: Comments?

12 DR. BREM: I think the question was as
13 part of the treatment of wounds in coming to the
14 emergency room with a riproaring infection, and a
15 secondary wound is an outcome, I think is what the
16 question is, and I think it's a terrific idea.

17 DR. GOODMAN: But do we want to measure
18 adverse events as a process measure or do we want to
19 put in explicitly a complication rate?

20 DR. DAVIS: That's also getting into
21 utilization, I suppose, along with length of hospital
22 stay and all sorts of other things.

23 DR. GOODMAN: Maybe that would be part of
24 complications, to add adverse events if that was
25 going to be added to a specific case or not. I mean,

00258

1 what's relevant is the adverse event, not whether the
2 exact health care system dealt with it.

3 DR. DAVIS: Well, these utilization
4 measures could reflect either lack of response or
5 medical care, that would seem to be complex.

6 DR. GOODMAN: That's why adverse events,
7 I'm not sure --

8 DR. DAVIS: Well, I don't think we're
9 going to solve that one right now, but I think it's
10 worth getting patient safety, if that's what your
11 intent was, to get patient safety onto the agenda
12 today, I think that's a good point. Yes.

13 DR. HORN: Speaking to both emergency
14 department as well as hospitalization, one of the
15 things in one of the papers that we studied that
16 looked at multiple studies, we did examine the use of
17 the factors of various surfaces, and not only
18 associated with larger healing, but there were
19 assessments that also showed significant decreases in
20 hospitalization and emergency department use. And I
21 think from our other discussion about whole continuum
22 that we want to be thinking about, adding things like
23 that here could be beneficial, if we showed specific
24 interventions beyond the healing rates, had some of
25 these other surrogate results.

00259

1 DR. DAVIS: It seems like we're getting
2 into Question B. We don't have to add these things
3 to the bullets, but there is a question that says,
4 are there other outcomes that should be considered?
5 And without necessarily being definitive about it, if
6 it's the sense of the committee, they do recognize
7 that utilization of patient safety measures should be
8 considered, people agree with that?
9 DR. MCBRYDE: Without getting into
10 specifics.
11 DR. DAVE: Right. I see a lot of nodding
12 of heads. Yes, Jonathan, did you want to add
13 something?
14 DR. WEINER: I do think that these other
15 measures are appropriate, and I hope we will have a
16 chance to talk a little bit more about demonstration
17 or care management in our population, and it's
18 completely appropriate, as is recurrence, and I think
19 more specific technology is not for this, but perhaps
20 we can pick it up later.
21 DR. DAVIS: So we've talked about looking
22 at utilization of patient safety under item 2.B, and
23 then under 2.C, we've also discussed developing
24 validated measures for the wound bed. Let me just
25 see if anybody else would like to bring up other

00260

1 thoughts before we move to item number three. If
2 not, let's just move forward.
3 Item number three, based on evidence
4 reviewed, how likely is it that the treatments
5 discussed in Question 1 will positively affect the
6 outcomes discussed in Question 2.
7 DR. GOODMAN: It seems to be reasking
8 Question 1. I mean, that's what we already
9 considered, right?
10 DR. DAVIS: There is a motion to move on.
11 If we have time, we could come back to three as is,
12 but let's jump ahead. Question 4, based on the
13 evidence reviewed, do the treatments reviewed in
14 Question 1, singly or in combination, produce
15 clinically significant net health benefits in the
16 treatments of chronic wounds? A lot of yeses. Any
17 disagreements?
18 Question Number five -- speaking of
19 velocity, we're picking up the pace. Number 5, based
20 on the evidence reviewed, how likely is it that usual
21 care used to treat chronic wounds can be generalized
22 to, A, the Medicare population 65 and older, B,
23 providers, facilities and physicians in community
24 practice? We've already had some discussion about
25 that. Comments? Let's start with A.

00261

1 DR. MCNEIL: Well, a lot of the studies
2 had older patients in them so it would seem to me
3 that would help give an affirmative answer to A.
4 DR. DAVIS: A lot of yeses. Any nos? It
5 looks like none. Let's go to B, generalizability to
6 providers in community practice. A lot of yes, no,
7 maybe.
8 DR. BURKE: (Inaudible.)
9 DR. DAVIS: Other comments? Dr. Horn?
10 DR. HORN: (Inaudible.)
11 DR. BURKE: (Inaudible) offices in the
12 community and we are, when would care as it's
13 happening, so in certain situations we do have good
14 evidence, but in many situations I didn't hear good
15 evidence.
16 DR. DAVIS: I'm not clear I guess in this
17 case about, for example, which physicians are we
18 talking about, is it dermatologists, is it surgeons,
19 is it family doctors?
20 DR. GOODMAN: We also heard a lot of
21 evidence that compliance is very, very low, that it
22 occurs in almost all settings, and I presume that's
23 even maybe worse in community practice, so that was
24 partially relevant to the question.
25 DR. DAVIS: Dr. Margolis.

00262

1 DR. MARGOLIS: Certainly most of the
2 larger cohort studies and the RCTs were conducted in
3 specialty centers, the RCT center either started out
4 as a specialty center or became a specialty because
5 of the training that was required to enroll in the
6 RCT. Many of the large cohorts and many of the large
7 databases that were mentioned, they were specifically
8 from large wound care centers. So I guess most of
9 what we talked about was from larger centers. I
10 guess part of the question is the question that, can
11 people in the community be trained to do these things
12 and because I think they're all relatively simple, I
13 would assume that they could be, but most of what we
14 reviewed isn't from the community.

15 DR. DAVIS: You know, I think the question
16 is, trying to read between the lines here as well as
17 reading prior Medicare coverage decisions, there have
18 been limitations towards centers of excellence, so I
19 think that might be a question.

20 DR. PHURROUGH: Well, this relates to the
21 question I brought up earlier. The question I
22 brought up earlier is, all the evidence we have is
23 around, in the RCTs, is in specialized treatment
24 facilities. Dr. Horn mentioned the facilities she
25 has looked at, but can you take that evidence and

00263

1 make an assumption and generalize that evidence to
2 other practitioners, or is this kind of care such
3 that you need to see the evidence itself before you
4 know the community practitioners can provide this
5 care. That's the question, do you think that this
6 kind of care, the kind of care provided in these
7 trials is such that anyone could provide that care in
8 their practice or do you need evidence before you
9 think that that could happen.

10 DR. DAVIS: I'm not sure I caught them,
11 Dr. Brem was second, there was something in the
12 middle, Dr. Weiner. Go ahead, Dr. Weiner.

13 DR. WEINER: I think this is about, can we
14 take the scientific evidence from the university
15 centers and apply it, and I think the answer is
16 mixed. And you raised, David, the issue of general
17 community practice/centers of excellence, and I think
18 that too is mixed. I'm concerned that when this is
19 put out on a population basis that we cannot apply
20 it, so I would vote no. And to assume that without
21 centers of excellence or some sensitivity to the
22 health care, it will work in a rural location, I'm
23 not sure, and I'm a little concerned. But I think
24 that will take special focus, special consideration,
25 it's not really scientific, that's really more a

00264

1 policy, and I'm seeing some nods.

2 DR. DAVIS: Dr. Brem? Oh, sorry.

3 MS. KUEBLER: Anecdotally in rural

4 Michigan, I can tell you that home care nurses and
5 primary care community-based physicians are using
6 (inaudible) or hydrogen peroxide on a routine basis.
7 So it may sound great to educate them, but we're
8 having problems with other areas, like they diagnose
9 COPD for asthma, so I think having specialists and
10 having referral bases is important for those
11 communities.

12 DR. DAVIS: Dr. Brem, did you also want to
13 speak to this?

14 DR. BREM: Again, I defer to you, but if
15 we could focus on the outcome just like we do with a
16 melanoma or hypertension, we know what the
17 expectations are, then that answer will answer
18 itself. If we focus on a healing wound and we say
19 this patient has diabetic foot ulcer, or this patient
20 has pressure ulcer, I believe the data, as do most of
21 my colleagues, believe that if we have a diabetic
22 foot ulcer anywhere in the foot for a person who has
23 diabetes, it doesn't have to progress to
24 osteomyelitis, we would expect not to have a digit or
25 limb amputation. We've done over 100,000 limb

00265

1 amputations. Most of my colleagues agree that if we
2 get to Stage I, II, III pressure ulcers, we can keep
3 them from getting to Stage IV. So if we could just
4 educate that one concept, the cost of both human life
5 and dollars would be significantly diminished, and
6 that's what our education program needs to be. Then
7 if it turns out that there's a terrific set of nurses
8 in Wisconsin that could do that, that's great, we
9 provide the resources, but we focus on cost savings
10 and good health outcomes.

11 DR. DAVIS: Yes.

12 DR. GOODMAN: Again, I think the phrasing
13 of the question makes its answer difficult. It says
14 based on this evidence, how likely is it that this
15 could be used, you know, generalized to providers in
16 the community? This is phrased as, do we have
17 sufficient evidence to be confident that this
18 treatment if applied to either current providers,
19 that would answer the question, or you know, with
20 certain training could be applied effectively, which
21 is a different question. But in either case, we
22 don't actually have that evidence at the moment.
23 So saying on the basis of the evidence,
24 how likely is it, is a very different question than
25 do you have sufficient evidence to conclude blank. I

00266

1 think it's very, very clear that we don't have the
2 evidence. The issue of how likely it is, given that
3 we have very, very little evidence is we don't have
4 enough evidence. It's a little bit moot, and that's
5 frank speculation and opinion.

6 DR. DAVIS: Right. And I think the idea
7 is we have some experts around the table and we have
8 some knowledge about the complexity of the treatment,
9 and that is what we're asked to judge on.

10 DR. GOODMAN: Right, and I guess usually
11 these questions are on the strength of the evidence,
12 not on the basis of asking someone, what's your
13 opinion? That's a very, very different question
14 that's no longer asking us what the strength of the
15 evidence is, and that's why it's a difficult
16 question.

17 DR. MCNEIL: I think this is a very
18 difficult question, asking us to conclude that the
19 centers in these RCTs that it would apply across the
20 communities. I think on this one we could talk all
21 we want and the bottom line is that we don't have the
22 evidence and therefore, I don't see how we could
23 answer it yes.

24 DR. DAVIS: Well, we could split this into
25 whether the evidence exists, and then have a separate

00267

1 question on how likely do you think this
2 generalizability would be. So the first instance is
3 tied to the evidence and the second is how do we
4 feel, so how do we want to do that? Let's just
5 clarify, we'll start with the, what I think is the
6 easy question first, and that is, what is the
7 evidence, how would we phrase this?
8 (Dr. Phurrough and Dr. Davis conferred off
9 record.)
10 DR. DAVIS: Steve feels he can sense where
11 the committee is at without voting.
12 DR. PHURROUGH: We are comfortable.
13 DR. BURKE: We'll happily move on.
14 DR. DAVIS: Okay. Any further comments on
15 Question 5? If not, Question 6, what are the
16 knowledge gaps in current evidence pertaining to the
17 usual care of chronic wounds? Yes, Dr. Greenough.
18 DR. GREENOUGH: I've been holding back on
19 this all day, but I think the gold standard of any
20 new technology, including the high cost bed, would be
21 against adequate nursing time at the bedside. From
22 Dr. Horn's presentation, that's a very important
23 variable, and if you take the lower cost bed, the
24 gold standard study would have been increased by an
25 equivalent amount of money for nursing time at the

00268

1 bedside in comparison to the new bed. That's a study
2 that has never been done, but I think it's very
3 important to have a non-technologic intervention
4 front and center involving nursing as compared to any
5 other gizmo that might be said to improve wound care.
6 That came out loud and clear, but I would be
7 interested in hearing Dr. Horn comment further on the
8 nursing ratio to the results in wound care. I think
9 this is something we need to look at. I remember not
10 seeing a pressure sore going through my entire five
11 years of post-graduate training and so forth,
12 although there were some, but there has been a
13 radical change in what we're seeing. I note that
14 something like 70 to 80 percent of the pressure
15 ulcers is coming out of acute care instead of
16 long-term, so I think we need to have a fresh look at
17 the importance of bedside nursing in this area
18 because we are in an area of epidemic depression.
19 DR. DAVIS: Thank you for that. Barbara.
20 DR. MCNEIL: Well, couldn't we just wrap
21 up this question by saying that the knowledge gaps
22 include the training of appropriate staff, the
23 educational level of the staff, and the role of all
24 the new modalities that were listed in 1.B as gaps in
25 our knowledge base?

00269

1 DR. GOODMAN: Does that include education
2 of the patients?

3 DR. MCNEIL: I'm sorry, I left out
4 education of the patients, I'm sorry, Steve. So just
5 lumping these things in a general way as opposed to
6 trying to parse things out and talk about each one.

7 DR. DAVIS: Yes, Dr. Brem.

8 DR. BREM: I think the deal, when I was
9 trying to learn the field years ago, I heard that
10 there would never be a randomized trial, one thing
11 will never make a difference, and that turned out to
12 be totally not true. Consistently in medicine,
13 people want to do the proper trials. I heard you say
14 today we have to, we can, and I want to emphasize
15 there are huge gaps in knowledge and we absolutely
16 must insist that we fill those gaps with good
17 clinical science. They do it in the rest of medicine
18 and there is no reason in the world why they can't do
19 it in wound healing. That's been said over and over
20 again and it has always been disproven.
21 The areas where we have large gaps in
22 knowledge are in almost every single wound healing
23 product. There are a lot of things that we don't
24 know. However, we do need to get those answers and
25 we can design the proper trials to do that.

00270

1 DR. DAVIS: We have had some discussion
2 throughout relating to gaps in knowledge, such as
3 generalizability to providers in community practice,
4 quality of life measures, we talked about that, so if
5 somebody went back to the transcript, there would
6 probably be a lot of other issues that would touch on
7 Question Number 6. Yes.

8 SPEAKER: The one thing about lumping this
9 back together with the adjunctive modalities is there
10 were a few things that had level A evidence,
11 electrical stimulation (inaudible) some support
12 services, so those are some of the few areas that we
13 actually do have a number of RCTs, and we're putting
14 them in the area of knowledge gaps versus some of the
15 other areas where we truly do have obvious knowledge
16 gaps or lack of evidence, so I just wanted to bring
17 that up with you.

18 DR. DAVIS: Thank you. Other comments on
19 gaps in the knowledge? Yeah, Dr. Horn, or others at
20 the table?

21 DR. HORN: I was waiting to make these
22 comments until we got to number seven, because I
23 think the two actually go together. With regard to
24 what kinds of studies you can do to fill gaps, as Dr.
25 (inaudible) just said, I think there is a problem

00271

1 when we try to look at just one thing at a time, that
2 is never going to really translate into practice. We
3 need to look at the combination of RN staffing, other
4 kinds of staffing, various kinds of interventions
5 done in various combinations, various specific types
6 of ulcers and patients to really see what's
7 happening. And I really think your question is an
8 excellent one, I've always kind of wondered if once
9 we figured out from these data what are the optimal
10 things to do for particular types of patients, do we
11 need as much RN staffing, or was it the fact that we
12 didn't have things standardized. It could have been
13 that a more educated person like an RN spending more
14 time with the resident that's able to see problems
15 come up more quickly, and consequently preventing
16 them from getting worse, seeing the skin getting bad
17 and preventing it from an ulcer, and helping in terms
18 of the healing process, seeing other complications
19 occurring, preventing the hospitalization as a result
20 of those interventions, and I do think we can design
21 studies to look at these things.

22 DR. DAVIS: So, we're bringing Question 7
23 onto the agenda so we can talk about 6 and 7
24 together. Thank you for that comment. Other
25 comments?

00272

1 DR. BREM: I think the question is often,
2 the first area is to focus perhaps on treatment, and
3 I think there are practically clinically such a
4 massive fear of punitive action in reporting an
5 ulcer, that it won't progress to horrific states, but
6 had it been reported earlier, it wouldn't have
7 progressed, so that's a problem in preventing
8 progression.
9 So what type of studies could be done is
10 Question 7. So if we were to take out the individual
11 wounds, diabetic ulcers, venous ulcers, pressure
12 ulcers, sickle cell ulcer, and non-healing surgical
13 wounds, and we used optimal standard care and
14 practices, would (inaudible) HIV and others,
15 medications, anticoagulants and others, or would you
16 use an antibiotic prior to treatment. All of these
17 are addressed in standard clinical practice and so we
18 have the methodology to do that currently.
19 If we do it, I would predict that you
20 would find that our amputation rate would
21 dramatically decrease in the elderly, that the
22 incidence of pressure ulcers would dramatically
23 decrease, and our next series of meetings perhaps
24 would be now, how could we do it better. But we
25 might be able to take off, save tens of thousands of

00273

1 lives and improve the quality on several millions of
2 lives, and certainly save millions of dollars.

3 DR. DAVIS: Steve.

4 DR. GOODMAN: I just want to point out, if
5 we are doing RCTs, one answer to what's important to
6 be considered, the answer could be none, and that's
7 an important answer to have on the table because
8 that's what enables the design of simple large and
9 practical RCTs. Now putting in all these variables,
10 it can be very valuable to understanding the why of
11 what you found, perhaps even designing the next
12 study, but it may make some of these studies
13 unwieldy. And if we're hearing that funding is a
14 major problem and that Medicare will support most of
15 the care costs but not any of the administrative costs,
16 the more feasible trials might be ones where a well
17 designed modification of some aspect of intervention
18 is done, and very few of these things are measured,
19 and they are the ones that generate the
20 administrative costs.

21 The other thing I want to point out is
22 that advances in understanding are never made by a
23 gigantic leap but by a large number of study pieces
24 of care, and this is how it has occurred in every
25 other specialty. So every one of these, it is

00274

1 absolutely true that many of these trials only modify
2 one piece of the complex care network and may or may
3 not show large differences, but the whole
4 constellation of them, I mean, what's important is
5 getting many, many more done, looking at many of
6 these elements, and those pieces will fit together as
7 part of a large puzzle.
8 So there is no one problem that is going
9 to settle things, but the trials can be designed with
10 various intensity of care, because I think even if
11 you decide for every one of those, they can give you
12 more intensity or less intensity, but those
13 studies can also be designed. But the important
14 thing is to get these studies started, that's the
15 problem, and not necessarily view them as not being
16 done for a variety of reasons.
17 DR. DAVIS: Dr. Horn.
18 DR. HORN: The gentleman is no longer
19 here, but in terms of funding of studies that are not
20 randomized trials, NIH to my knowledge, no matter how
21 many proposals they get, they are not looking in that
22 direction, they only want randomized trials. So, to
23 be able to do something that to me would allow us to
24 address all of the doubters, because what happens if
25 you do a small study, you don't minimize the

00275

1 patients, you allow a larger group of patients to
2 come in and you see what happens in terms of the
3 outcomes, and then you get people who are doubters
4 who will say well, I see that you have something
5 slightly better here, but my patients are different
6 and I'm not sure I needed to do that (inaudible)
7 haven't measured all of those other confounders.
8 Usually the conversation ends and nobody changes.
9 What we have found has been very
10 successful in getting people to change in the actual
11 practice of care is when we have these comprehensive
12 data studies of patient treatments and the outcomes,
13 and although the doubters can say I don't believe it,
14 I think it's something else, and then we can always
15 come back to that subset that has had a confluence of
16 patient signs and symptoms and we can see whether the
17 (inaudible) things like that. It really makes a
18 difference, no matter what kind of study you're
19 looking at.
20 So I would advocate in these circumstances
21 putting together large comprehensive databases that
22 will allow us to be able to address these things that
23 we're talking about today, and determine for very
24 specific types of patients, what treatment
25 combinations are associated with best outcomes. It's

00276

1 getting more to this sort of personalized care but I
2 think we're at the point now that we can do this and
3 we can do this because we've got better information,
4 we've got better computers that allow us to put
5 together these kinds of analyses that we couldn't do
6 in the old days when we were doing randomized trials
7 and when they first came into existence, because we
8 didn't have computers and the level of sophistication
9 that we have today.

10 DR. DAVIS: Dr. Burke.

11 DR. BURKE: While I think Dr. Horn and Dr.
12 Goodman both make excellent points about how to do
13 studies, and I think both have their pros and cons.
14 I think Dr. Goodman's proposal to have randomized
15 trials is very nice and as he points out, you don't
16 have to control for covariates or it isn't
17 randomized. On the other hand, if you have a
18 heterogeneity of patients and heterogeneity of
19 treatments, that implies you're going to have to do a
20 lot of randomized controlled trials, so that presents
21 a problem.

22 Dr. Horn's approach, if I could
23 characterize her approach, and I'm a fan of her
24 approach, you know, doing large prospective
25 randomized populations, I mean representative

00277

1 populations to a large prospective representative
2 population, what that means is the representative is
3 really going to capture what you need to know, which
4 is whether the intervention will work. You're also
5 going to capture the heterogeneity of patients, the
6 heterogeneity of treatments, but CMS would have to
7 get rid of the payment bias.

8 DR. PHURROUGH: You're talking money so
9 I'm going to leave.

10 (Laughter.)

11 DR. BURKE: The bias is the treatment that
12 the patient gets, and then of course you have to make
13 sure there is no unmeasured covariates, so in other
14 words, there is no covariates dictating what
15 treatment a patient gets that she doesn't measure.
16 So that, I mean, that is a nice approach that could
17 also work.

18 DR. DAVIS: A time check, a few people on
19 the panel need to leave around four, so we'll try to
20 finish by around four. So we have about ten more
21 minutes if people are willing to wrap things up.

22 Dr. Margolis.

23 DR. MARGOLIS: I can certainly talk faster
24 if you want me to. I think we just need to reiterate
25 what level of evidence is such that it's going to be

00278

1 accepted by CMS. Generally this would tend to be
2 randomized clinical trials. I think the large simple
3 randomized trials that Dr. Goodman talks about are
4 also very adequate and nice. You get a cluster of
5 randomized trials and they answer some of the
6 questions.

7 And Number 6, if they were willing to
8 accept cohort studies, it certainly would make my
9 life that much easier. So you know, we have case
10 studies and everything else, they're all included in
11 the level of evidence.

12 DR. DAVIS: This is a semi-digression. I
13 guess in a couple of other areas in which I have
14 worked, people have analyzed how many research
15 dollars have gone into a particular field, especially
16 from government where we can easily get the
17 information. Would anybody be able to answer the
18 question, how much has the federal government spent
19 on research having to do with wound care and how have
20 those research dollars been allocated? Has anybody
21 collected that information?

22 DR. BREM: There are 17 institutions at
23 the NIH to do research in wound care at some level.
24 It might be, if you add everything together, it would
25 be up to \$10 million a year, up to, it's about 90

00279

1 percent purely basic.

2 DR. DAVIS: But we're talking about a lot
3 of research needs and it would seem to be helpful to
4 collect that information to make the point that the
5 federal government can ramp up its support of this
6 area of research.

7 DR. BREM: Who would be the audience for
8 that? I would certainly be willing to do the
9 preparation.

10 DR. DAVIS: Well, I have seen a lot of
11 papers that are published on what the federal
12 government is spending on research in areas A, B and
13 C, in some areas of biomedical research. So I think
14 that somebody ought to do this work, publish it in
15 the appropriate journal and it would then become part
16 of the advocacy agenda, I would think. And if it
17 turns out you get the total dollar figure, but let's
18 say hypothetically it's impressive but 85 percent of
19 it is going to basic animal research and hardly
20 anything to randomized controlled trials, there's an
21 important message there. Yes, Dr. Weiner.

22 DR. WEINER: Two ideas. It is true that
23 CMS doesn't fund randomized trials, I think it's a
24 great idea, but they do have papers, an MO project
25 that they can waive current payment structure, say

00280

1 poof, as long as you're collecting data and it's new
2 information, we will pay for it, there is a waiver.
3 They also have what is called quality improvement
4 programs funded by PRO, CYO, a project where they
5 have a lot of expertise going into offices and
6 collecting data. They also have, as several people
7 mentioned, initiatives and pay for performance, and
8 the HR, (inaudible) records, and all of those would
9 lend themselves to some real world practical trials.
10 And since a lot of variability is already in place
11 out there, those are things to consider, again,
12 outside of this forum.

13 DR. DAVIS: I mean, Dr. Brem, if the
14 research investment at NIH for wound care is as low
15 as you surmise that it is, there is nothing to keep
16 this committee from making a recommendation to CMS
17 that it encourage NIH to ratchet up its investment in
18 this area. I recall many MCAC meetings ago we made a
19 recommendation that CMS communicate that point to
20 NIH, and although we're not dealing with a specific
21 question, there is general agreement here, and we can
22 indicate a consensus that if this is the amount, we
23 can indicate that there is consensus here that CMS
24 communicate to NIH to ramp up its investment in
25 research having to do with wound care.

00281

1 DR. BREM: That would be a tremendous
2 accomplishment. I think that with the wound care
3 discipline, hearing that from a different branch of
4 government would help them a great deal.
5 Steve, I have a question to ask you.
6 Would it be possible to possibly look at some
7 statistics that would help all of us keep a focus on
8 the early treatment of these patients? For example,
9 could you match reporting on length of stay of
10 pressure ulcers, forget where they came from, just
11 707.0, the code for pressure ulcer, and how that
12 increases, let's say, common diagnoses for the
13 elderly population, let's say for pneumonia and
14 urinary tract infections? When we've looked it up,
15 we found it's significantly up, and I don't think
16 that's available on a national basis. Do we have a
17 way of watching that?
18 DR. PHURROUGH: We paid some folks lots of
19 money to look at our database and unfortunately,
20 searching for chronic wounds is extremely poor and
21 commonly is not listed as the reason for admission,
22 either to the nursing home or to another facility, so
23 we don't have access to it, it's a lower level
24 diagnosis, the reason for admission is sepsis or they
25 have cardiovascular disease, so that's the first

00282

1 diagnosis and not wounds. So determining our patient
2 population that is receiving wound care from our
3 database is extremely difficult if not impossible.
4 DR. BREM: If you took pneumonia or UTI
5 with or without 707.0 pressure ulcer, length of stay,
6 that (inaudible) the early treatment, wouldn't it?
7 DR. HORN: We did a study several years
8 similar to the one you're describing in a hospital
9 setting, we actually took groups of DRGs for those
10 without pressure ulcers and those who had pressure
11 ulcers within the same DRG clusters. We also
12 controlled for the admission severity of the
13 patient, so we had these structured. And on average,
14 it cost \$4,200 more per case at that time, which was
15 back in the early 1990s, to treat the patient who
16 developed a pressure ulcer in the hospital compared
17 to one who didn't with the same diagnosis and the
18 same DRG.
19 SPEAKER: Could I add one thing? As we
20 looked at data, one of the difficulties we
21 encountered was not only the lack of standardized
22 usual care practices, but the lack of standardization
23 in the documentation process itself. We find that
24 people don't describe wounds the same way. Even in
25 one facility you might get very poor interrelated

00283

1 documentation tools (inaudible) common nomenclature
2 and common practice affect how we are able to compare
3 the effectiveness of technology in a large sample
4 population that may have a broader base, but the
5 documentation is going to be a problem.
6 DR. DAVIS: Which speaks to the issue of
7 research needs and evaluative measures.
8 I just want to return and finish with the
9 point that I brought up a few moments ago, and that
10 is urging CMS to encourage NIH to increase its
11 funding for research pertaining to wound care. Is
12 there agreement that the committee would like to do
13 that, by showing a nodding of the heads? Is there
14 any disagreement with that? Let the record show that
15 the committee supports that recommendation.
16 So, I've got a few minutes before four,
17 time to wrap up. Yes, please.
18 SPEAKER: One other comment. When you're
19 talking about the incidence of wounds, what Curative
20 has done over the past seven years is we have
21 purchased every acute care hospital data, and we got
22 a group of physicians' and nurses' claims data, and
23 were able to identify to your point, the pressure
24 ulcers as well as venous and diabetes ulcers in every
25 acute care hospital for the last seven years. That

00284

1 is something that we can give you, if you really need
2 that data, but we were able to take your data and do
3 that, so it's also in the packet.

4 DR. GREENOUGH: And the letter to NIH
5 should say not only randomized controlled trial,
6 since it can't be blinded anyway, so that simply
7 destroys its status.

8 DR. AYELLO: And when we look at it, we've
9 heard about inconsistencies in documentation, but I
10 also think we need to clearly define minimal
11 documentation, and I would urge you to look at the
12 newly revised 314 rule in an effort to define
13 minimally documentation for pressure ulcers, and then
14 to look at the commonalities for different kinds of
15 wounds.

16 DR. DAVIS: Final comments on Questions 6
17 and 7? If not, I think we're ready to close.
18 I just want to thank all the presenters
19 for the great information they presented to us, all
20 the panel members for their outstanding
21 contributions, CMS staff who helped plan the meeting,
22 and I'm going to pass it over to Dr. Phurrough to
23 make final comments.

24 DR. PHURROUGH: Before we adjourn, just a
25 couple comments. Again, thank you very much to the

00285

1 panel. I know this is always a challenge to get
2 through all the stuff that we send you, to show up
3 and be ready to make your comments. I think this was
4 a very helpful meeting. As I mentioned earlier the
5 next step is that we will review the information, we
6 will publish the minutes and the transcript of this
7 particular meeting for the public to review, and then
8 in the near future we will publicize what our next
9 steps are, how we plan to use this meeting to advance
10 with the care of wounds in our patient population.
11 One last issue before we leave.
12 Membership in the MCAC is by nomination approved by
13 the Secretary and it's for a two-year membership with
14 the option of renewing for a second two years, but a
15 maximum of four years, and then you have to take a
16 year break. Until a couple of years ago, we had
17 roving chairmen of each of our MCAC panels and a
18 couple years ago we got smart and decided that
19 perhaps the more intelligent thing to do is have some
20 consistency in the chairmanship.
21 Ron Davis has been our chairman for the
22 last several meetings and has done a superb job, and
23 this is his last meeting before his four years are up
24 and he has to rotate off. I want to publicly thank
25 Ron for his superb work as chairman of our last

00286

1 several meetings. They have gone extremely well, I
2 think have been examples of how the public can assist
3 federal agencies in providing advice and
4 recommendations. So Ron, thank you very much from
5 all of us.

6 (Applause.)

7 DR. PHURROUGH: And with that, then, thank
8 you for your input and we look forward to future
9 discussions.

10 (Whereupon, the committee adjourned at
11 4:05 p.m.)

12
13
14
15
16
17
18
19
20
21
22
23
24
25