

00001

1

2

3

4

5

6

7

8

9

10

11 CENTERS FOR MEDICARE AND MEDICAID SERVICES

12 Medicare Coverage Advisory Committee

13

14

15

16

17

18

19 August 30, 2006

20

21 Centers for Medicare and Medicaid Services

22 7500 Security Boulevard

23 Baltimore, Maryland

24

25

00002

1 Panelists

2

3 Chairperson

4 Alan M. Garber, M.D., Ph.D.

5

6 Vice Chairperson

7 Alexander H. Krist, M.D.

8

9 Voting Members

10 Edgar Black, M.D.

11 Douglas D. Bradham, Dr.P.H.j

12 Margaret A. Piper, Ph.D., M.P.H.

13 James E. Puklin, M.D.

14 Jonathan P. Weiner, Ph.D.

15 A. Mark Fendrick, M.D.

16

17 HCFA Liaison

18 Steve Phurrough, M.D., M.P.A.

19

20 Consumer Representative

21 Charles J. Queenan, III

22

23 Industry Representative

24 Donald W. Rucker, M.D.

25

00003

1 Panelists (Continued)

2

3 Guest Panel Experts  
 4 Rodney A. Hayward, M.D.  
 5 Mark E. Molich, M.D.  
 6 Gayle E. Reiber, Ph.D., M.P.H.  
 7  
 8 Executive Secretary  
 9 Michelle Atkinson  
 10  
 11  
 12  
 13  
 14  
 15  
 16  
 17  
 18  
 19  
 20  
 21  
 22  
 23  
 24  
 25

00004

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks	
5	Michelle Atkinson/Steve Phurrough/ Alan Garber	6
6		
7		
8	Introduction of Panel	11
9		
10	CMC Presentation of Voting Questions and Clinical Background	
11		
12	Sandra Jones	14
13	Elizabeth Koller, M.D.	17
14		
15	DMERC Presentation	
16	Arthur Lurvey, M.D.	35
17		
18	Presentation of the Technology Assessment	
19	Ethan Balk, M.D.	44
20		
21	Additional Presentations	
22	Andrew J. Karter, Ph.D.	53
23	Alisha Wade, M.B.B.S., D.Phil.	67
24	Mayer B. Davidson, M.D.	78
25	Denise Simons-Morton, M.D., Ph.D.	95

00005

1	Additional Presentations (Continued)	
2	William C. Duckworth, M.D.	108
3	Irl B. Hirsch, M.D.	117
4	Aaron J. Kowalski, Ph.D.	131
5		

6	Scheduled Public Comments	
7	Kevin Peterson, M.D.	141
8	Howard Wolpert, M.D.	146
9	Richard Bergenstal, M.D.	150
10	Nathaniel Clark, M.D.	156
11	Richard Hellman, M.D.	161
12	Paula Yutzy	166
13	John Mastrotatoro, Ph.D.	171
14	Steven Edelman, M.D.	177
15	Bruce W. Bode, M.D.	183
16		
17	Lunch	189
18		
19	Open Panel Deliberations	189
20		
21	Discussion and Voting	207
22		
23	Additional Discussion	248
24		
25	Adjournment	274

00006

1 PANEL PROCEEDINGS  
2           (The meeting was called to order at  
3 8:05 a.m., Thursday, May 18, 2006.)  
4 MS. ATKINSON: Good morning and  
5 welcome, committee chairperson, members and  
6 guests. I am Michelle Atkinson, executive  
7 secretary for the Medicare Coverage Advisory  
8 Committee. The committee is here today to discuss  
9 the evidence, hear presentations and public  
10 comment, and recommendations regarding glycemic  
11 control and the use of glucose monitors by which  
12 sensors automatically monitor glucose levels and  
13 body fluids, and whether and how the frequency of  
14 outpatient glucose monitoring is related to  
15 glycemic control and clinical outcomes in various  
16 Medicare populations.  
17 The following announcement addresses  
18 conflict of interests associated with this meeting  
19 and is made part of the public record. The  
20 conflict of interest statute prohibits special  
21 government employees from participating in matters  
22 that could affect their or their employer's  
23 financial interest. Each member will be asked to  
24 disclose any financial conflicts of interest  
25 during their introduction. We ask in the interest

00007

1 of fairness that all persons making statements or  
2 presentations also disclose any current or  
3 previous financial involvement in any company that  
4 manufactures. This includes direct financial  
5 investments, including fees and significant  
6 institutional support. If you haven't already  
7 received a disclosure statement, they are  
8 available on the table outside of this room.

9 We ask that all presenters please  
10 adhere to their time limits. We have numerous  
11 presentations to hear today and a very tight  
12 agenda, and therefore cannot allow extra time.  
13 There is a timer at the podium that you should  
14 follow. The light will begin flashing when there  
15 are two minutes remaining and then turn red when  
16 your time is up. Please note that there is a  
17 chair for the next speaker, and please proceed to  
18 that chair when it is your turn.  
19 For the record, the voting members  
20 present for today's meeting are Alex Krist, Edgar  
21 Black, Douglas Bradham, Margaret Piper, James  
22 Puklin, Jonathan Weiner, and Mark Fendrick. A  
23 quorum is present and no one has been recused  
24 because of conflicts of interest. The entire  
25 panel, including nonvoting members, will

00008

1 participate in the voting today. The voting  
2 scores will be available on our web site following  
3 the meeting; two averages will be calculated, one  
4 for the voting members and one for the entire  
5 panel.  
6 I ask that all panel members speak  
7 directly into the mikes, and you may have to move  
8 the mikes since we have to share. And lastly,  
9 please remember to discard your trash in the trash  
10 cans located outside the rooms. I would now like  
11 to turn the meeting over to Dr. Steve Phurrough.  
12 DR. PHURROUGH: Good morning. I'm  
13 Steve Phurrough, I'm director of the Coverage and  
14 Analysis Group here. It is our group that is  
15 responsible for making decisions about the kinds  
16 of things that Medicare is going to pay for, and  
17 we are the sponsors of this particular advisory  
18 committee. I want to have a special welcome to  
19 those of you who have not been part of our  
20 advisory committees before. These are we think an  
21 extremely helpful forum to provide information to  
22 the public about the kinds of things that we are  
23 interested in.  
24 Today's topic is certainly an  
25 appropriate, pertinent and timely topic. We are

00009

1 grateful to the Juvenile Diabetes Research  
2 Foundation for their assistance and suggestions  
3 for some of the discussions today, and we are  
4 looking forward to hearing your input.  
5 I would like to thank the panel for  
6 their willingness to participate. Again, this is  
7 an extremely challenging meeting and they have  
8 spent significant amounts of time putting together  
9 their thoughts as well as reviewing significant  
10 amounts of material that we have provided for  
11 them.

12 There are two sort of broad goals  
13 today. One is to look at some of the newer  
14 technologies for type 1 diabetes, and two, to  
15 discuss the issues of application of principles  
16 attributed to type 1 to the older type 2 diabetic,  
17 which obviously in our Medicare population is the  
18 much larger population. The questions are  
19 designed to get at those two particular issues.  
20 The questions have been tinkered with over the  
21 last couple of weeks because we have had  
22 conversations with the panel to make those  
23 questions somewhat more direct, and somewhat  
24 simpler to provide a definitive answer to, so  
25 hopefully you have been able to see those as those

00010

1 have progressed over the last couple of weeks. We  
2 thank you for your attendance and we look forward  
3 to a good discussion. Dr. Garber.  
4 DR. GARBBER: Good morning, everyone. I  
5 would like to welcome everyone here and echo  
6 Steve's thanks to everybody for participating in  
7 today's meeting.  
8 I will be very brief, but the main  
9 thing I want to say is that indeed, our agendas  
10 are also always packed and very tight, and this  
11 one, that's more true of this meeting than most  
12 others. It's going to be a real challenge to get  
13 through the agenda. It's a very important and  
14 interesting set of questions and we're going to  
15 have to keep things moving along. We always do  
16 strictly limit speakers' times to the amount of  
17 time they have been allotted. Today that is  
18 particularly true and we will cut you off in mid  
19 sentence, unfortunately, if the light turns red,  
20 if you use up your time. And I apologize in  
21 advance for that, but it is the only way that we  
22 can make sure that everyone gets their chance to  
23 speak, so purely in the interest of fairness, we  
24 will be doing that. Please don't take it  
25 personally, but you might want to keep track of

00011

1 the time when you're up here to speak.  
2 There will be opportunities for  
3 committee members to question presenters during  
4 allotted times on the schedule. You will note  
5 that there is no scheduled break during the  
6 morning's proceedings so you will have to leave  
7 the room as the need arises, and I think the  
8 speakers and the committee members will understand  
9 that, so there may be a little going in and out  
10 here. Ordinarily we do have several scheduled  
11 breaks but today's agenda did not permit it.  
12 I'm looking forward to a very  
13 interesting meeting. These are meaty questions,  
14 we have been presented with a lot of information

15 in written form and we're going to hear quite a  
16 bit today, so I look forward to a spirited  
17 discussion.  
18 Now I would just like to go along the  
19 table and ask the committee members to please  
20 state your name, your affiliation, and any  
21 conflicts of interest that you might have for this  
22 topic.  
23 DR. REIBER: My name is Gayle Reiber,  
24 I'm a VA career scientist and professor of health  
25 services and epidemiology at the University of

00012

1 Washington. I have no conflict of interest.  
2 DR. MOLICH: Mark Molich, an  
3 endocrinologist at Northwestern University in  
4 Chicago. I have some conflicts in that I have  
5 received research support from Sanofi-Aventis and  
6 have done some consulting for Abbott Laboratories.  
7 DR. HAYWARD: I am Rod Hayward, a  
8 general internist and the director of health  
9 services research at the Ann Arbor VA, and  
10 professor of public health and internal medicine  
11 at the University of Michigan. No financial  
12 conflicts.  
13 DR. RUCKER: Don Rucker, MTC at Siemens  
14 Medical Solutions USA, which has an acquisition  
15 plan for Bayer Diagnostics, and I'm also on the  
16 clinical faculty at the University of  
17 Pennsylvania.  
18 MR. QUEENAN: I'm Charlie Queenan, I'm  
19 an independent management consultant. I'm also a  
20 past board member of the Juvenile Diabetes  
21 Research Foundation but have no conflicts.  
22 DR. FENDRICK: Good morning, I'm Mark  
23 Fendrick, general internist at the University of  
24 Michigan. I have received grant funding from many  
25 pharmaceutical companies in the field of diabetes

00013

1 mellitus implications; however, I don't have any  
2 conflicts in the area of glucose monitoring.  
3 DR. WEINER: I'm Jonathan Weiner,  
4 professor at Johns Hopkins School of Public  
5 Health. I have not received any funding in this  
6 area for over ten years; however, I have received  
7 funding from companies that may or may not be  
8 involved in associated areas through the  
9 university.  
10 DR. PUKLIN: My name is Jim Puklin, I'm  
11 a professor of ophthalmology at the Kresge Eye  
12 Institute of Wayne State University in Detroit,  
13 and I have no conflicts of interest.  
14 DR. PIPER: Margaret Piper, with the  
15 Blue Cross and Blue Shield Association's  
16 Technology Evaluation Center. No conflicts of  
17 interest.

18 DR. BRADHAM: I'm Doug Bradham, a  
19 health economist and epidemiologist with the VA  
20 cooperative studies system and also an associate  
21 professor of epidemiology at the University of  
22 Maryland Baltimore School of Medicine. I have no  
23 personal conflicts of interest. I have received  
24 some funding for some research in diabetes in the  
25 past from the VA and also some other funding for

00014

1 research in MS from pharmaceutical companies.  
2 DR. BLACK: My name is Edgar Black, I'm  
3 a member of the Technology Evaluation Center of  
4 the Blue Cross Blue Shield Association in Chicago.  
5 I previously have been involved in panels both at  
6 Blue Cross and Blue Shield in Rochester, New York,  
7 and at the Blue Cross Blue Shield Association that  
8 have discussed the topic of continuous glucose  
9 monitoring systems.  
10 DR. KRIST: My name is Alex Krist, I'm  
11 a family physician at Virginia Commonwealth  
12 University and I have no financial conflicts of  
13 interest.  
14 DR. GARBER: Alan Garber, general  
15 internist for the VA and Stanford University. I  
16 am not an endocrinologist although I do treat  
17 patients with diabetes, and I have no conflicts to  
18 disclose.  
19 Okay. So, why don't we, let's go into  
20 the CMS presentation by Sandra Jones and Elizabeth  
21 Koller. Let me just point out to the people who  
22 will be speaking later, the next speaker's chair  
23 is right up there near the steps up to the podium.  
24 MS. JONES: Good morning. I'm going to  
25 present the voting panel questions only, all of

00015

1 which are publicly available just outside this  
2 door, although I'm not sure how to get it to you  
3 here.  
4 Moving on to the questions, question  
5 number one, we are asking people to rank stated  
6 complications one through eight according to their  
7 prevalence and severity in Medicare type 2  
8 diabetics, with one being the least important and  
9 eight being the most important.  
10 Question 2, we would like people to  
11 score one through five each of the six variables  
12 on the basis of effectiveness of continuous  
13 monitoring for type 1 diabetics, with five being  
14 the most important and one equaling the least  
15 important.  
16 Question 3, rate one through five how  
17 confident you are that glycemic control prevents  
18 or delays chronic complications and death in  
19 patients who develop type 2 diabetes at age 65 or  
20 over, and that such is clinically and

21 statistically significant. Part B, rate one  
22 through five the importance of glycemic control  
23 relative to other therapies in preventing or  
24 delaying these complications and death in type 2  
25 diabetics who develop this disorder at age 65 or

00016

1 later.  
2 Question 4, rate one through five your  
3 confidence that glycemic control reverses or  
4 reduces progression of pre-existing chronic  
5 complications in a meaningful way in patients who  
6 had type 2 diabetes before age 65. And Part B of  
7 Question 4, rate one through five the importance  
8 of glycemic control relative to other therapies in  
9 reversing and delaying progression of pre-existing  
10 chronic complications and death in patients with  
11 type 2 diabetes prior to age 65.  
12 Question 5, can hypoglycemia  
13 information for type 1 patients be generalized to  
14 Medicare-aged type 2 patients? Specifically, rate  
15 one through five how confident you are that  
16 hypoglycemic frequency and severity for a given  
17 level of glycemic control is similar in type 1 and  
18 type 2 diabetics.  
19 Question 6, rate one through five your  
20 confidence that glucose monitoring improves  
21 glycemic control, hemoglobin A1c, and decreases  
22 the risk for hypoglycemia at a given level of  
23 hemoglobin A1c.  
24 And Question 7, does increased glucose  
25 monitoring in type 2 patients improve clinical

00017

1 outcomes? Part A, rate one through five, how  
2 confident are you that increased frequency for  
3 outpatients with glucose monitoring translates to  
4 decreases in chronic complications in  
5 Medicare-aged type 2 diabetics. Part B, rate one  
6 through five how confident you are that the  
7 optimal frequency for glucose monitoring, that is  
8 the number of strips per week or quarts per day  
9 when continuous, in type 2 diabetics is known.  
10 Thank you, and next, Dr. Koller will present as  
11 our lead medical officer.  
12 DR. KOLLER: My name is Dr. Beth Koller  
13 and I will be presenting the background material  
14 for this session. Today we would like to examine  
15 the relationship between glycemic control and  
16 chronic diabetic complications, particularly  
17 cardiovascular complications. We will also break  
18 down for you the procedures being used, the  
19 frequency of chronic outpatient monitoring and the  
20 relationship between frequency of monitoring and  
21 chronic complications. The emphasis, as stated  
22 before, will be on type 2 diabetics who are 65 or  
23 older. We will review what's known about the



24 relationship between glycemic control and  
25 microvascular complications, and hypoglycemia. We

00018

1 will touch on the relative importance of  
2 non-glucose mediated therapies for complication prevention  
3 and management, and we will discuss whether there  
4 should be different hemoglobin A1c targets for  
5 different patient populations.  
6 We will also discuss the role for  
7 glucose monitoring. If glucose control prevents  
8 complications and if glucose monitoring improves  
9 glycemic control, "how should this monitoring be  
10 accomplished?". Our goals include review of what is  
11 known as well as identification of knowledge gaps,  
12 areas of ongoing research, and areas of future  
13 research.  
14 Why are we even asking these questions?  
15 These questions are being raised because there has  
16 been an evolution in glucose monitoring and  
17 because it's not clear if the DCCT data regarding  
18 glycemic control in young adults with type 1  
19 diabetes is applicable to older type 1 patients or  
20 to patients with type 2 diabetes.  
21 Over time there have been various  
22 methods for measuring glucose in an outpatient  
23 setting. Each system has its own positive and  
24 negative features. The first meters were pretty  
25 bulky, but they could provide real time

00019

1 measurements and did not require visual color  
2 matching skills. Later meters required less blood,  
3 but the strips were considerably more expensive.  
4 They cost anywhere from 25 cents to a dollar a  
5 piece and they could not be cut in half, something  
6 that had been previously used to save money.  
7 Meters that measure interstitial fluid  
8 can now provide real-time continuous data, but  
9 these are relatively expensive with device costs  
10 between \$3,000 and \$4,000 and a q 3 day  
11 sensor cost of about \$50. There have also been  
12 some concerns about the accuracy of computer  
13 systems, specifically overestimation of  
14 hypoglycemia and divergent readings when meters  
15 have been simultaneously placed on different parts  
16 of the body.  
17 Meters that use transdermal  
18 electro-osmosis are less expensive, initially  
19 costing under a thousand dollars, but they have  
20 similar sensor costs, and they can also be affected  
21 by temperature and perspiration.  
22 Now before we proceed, it's important  
23 that we define the Medicare population. Most  
24 Medicare beneficiaries have type 2 disease.  
25 Dr. Lurvey will further discuss the Medicare

00020

1 population in his talk.  
2 We will discuss a few of the  
3 differences between type 1 and type 2 diabetes.  
4 I'm sure these are quite well-known to the  
5 diabetologists in the audience. Type 1 diabetes  
6 is an autoimmune disease in which there is progressive islet cell  
7 destruction that affects insulin and  
8 sometimes glucagon secretion. Type 2 is a different entity. It's  
9 polygenic. Insulin resistance is a key etiologic element linked to  
10 hypertension and lipid problems.  
11 Indeed, the hyperglycemia may really be a marker of  
12 disease and not a central pathogenic feature.  
13 The major complications for type 1  
14 disease are microvascular disorders, and these are  
15 retinopathy and nephropathy. Renal disease  
16 previously reduced life expectancy by about 15  
17 years. With longer survival, cardiovascular  
18 disease has really become more prominent.  
19 Nephropathy may portend cardiovascular problems.  
20 Type 2 disease is distinct in  
21 several ways. The hypoglycemia that occurs takes place  
22 at 1/10 to 1/100 the frequency of that in  
23 type 1 disease. The hypoglycemic risk is affected  
24 by the dose of pharmacologic agent, by the type of  
25 pharmacologic agent that is used and, but it's  
especially affected by the coexistence of

00021

1 infirmity. The major chronic complication is  
2 cardiovascular disease.  
3 Several studies have delineated the  
4 reduction in life expectancy from either the  
5 "attained age" or from the "age of diabetic onset".  
6 With increased age, the survival gap between diabetic and  
7 nondiabetic subjects narrows. If we look at this  
8 in another way, there is a marked divergence in  
9 longevity for those who developed diabetes between  
10 25 and 44, versus those who were not diabetic.  
11 For patients who develop diabetes after age 64,  
12 the blue lines down here, you can see that the  
13 divergence from those without diabetes was smaller and actually,  
14 they start to  
15 come together with longer duration of followup.  
16 The major cause of death from  
17 diabetes is cardiovascular disease. The solid  
18 blue area here delineates ischemic heart disease,  
19 40 percent; the broad blue striped area delineates  
20 microvascular disease; and this small striped area  
21 here delineates other heart disease. You will  
22 note that renal disease doesn't even show up on  
23 the pie chart.  
24 Well, let's review some of the major studies.  
25 The Diabetes Control and Complications Trial was  
the pivotal study that was conducted in over 1,400

00022

1 type 1 diabetic patients with either no  
2 retinopathy or mild to moderate retinopathy.  
3 Patients received either conventional or intensive  
4 insulin treatment. Mean follow-up was  
5 six-and-a-half years and they were able to  
6 maintain a glycosylated hemoglobin unit difference between treatment group  
7 of about two percent units.  
8 Well, what do the DCCT results reveal?  
9 Well, the DCCT validated the use of glycosylated  
10 hemoglobin as the surrogate marker for stepped  
11 retinopathic risk in young type 1 patients using  
12 insulin. It should be noted here that  
13 blindness and renal failure were not endpoints  
14 in this study, that intensive treatment did not  
15 reverse pre-existing disease, and that treatment did not alter  
16 cardiovascular outcomes.  
17 As one can see on this chart,  
18 three-step retinopathic progression was blunted in  
19 both the patients without preexisting retinal  
20 diseases and those who did have preexisting  
21 retinal disease, and this was statistically  
22 significant as indicated by the demarcation SS  
23 shown. More severe retinopathy was found only in  
24 these patients with pre-existing disease, and it too was blunted. But the  
25 treatment didn't have any effect on ophthalmologic

00023

1 complications such as macular edema, which would  
2 be important to our patient population.  
3 The progression to microalbuminuria was  
4 blunted in patients without preexisting retinal  
5 disease, but there was no clear impact on more  
6 severe renal disease. Few patients in either this  
7 cohort here with mild or no retinal disease,  
8 or in those who had moderate retinal disease,  
9 developed creatinine clearance values  
10 less than 70.  
11 Well, let's look at the relationship  
12 between retinopathic progression and glycemic  
13 control. It's not linear. The likelihood of  
14 retinal disease with a glycemic hemoglobin of 10.5  
15 percent ~ 11 times that observed with glycemic  
16 hemoglobin of 5.5 percent. A one percent decrease  
17 in glycemic control provided a threefold greater  
18 blunting of retinopathic progression when glycemic  
19 hemoglobin started out at 10.5 percent than when  
20 the hemoglobin A1c started out at  
21 6.5 percent.  
22 In the same way, there were absolutely  
23 and relatively many more severe hypoglycemic  
24 events in patients with a glycemic hemoglobin of  
25 5.5 percent than with a glycemic hemoglobin of

00024

1 10.5 percent. You can see the fourfold  
2 difference. A one percent decrease in glycemic

3 hemoglobin from 6.5 percent to 5.5 percent resulted  
4 in a tenfold increase in the number of severe  
5 hypoglycemic events as compared to a one percent  
6 change when we went from 10.5 percent to 9.5  
7 percent.  
8 When intensive treatment did not  
9 improve cardiovascular outcomes, the absence of a  
10 treatment effect was attributed in part to the  
11 relative youth of the population.  
12 The next major study is the United  
13 Kingdom Prospective Diabetes Study, which was a  
14 pivotal study that was conducted in over 3,500  
15 newly diagnosed middle-aged type 2 diabetic  
16 patients. Patients received either conventional  
17 or intensive therapy and the mean treatment was  
18 ten years, and the hemoglobin A1c was only a 0.9  
19 percent difference between the two groups. The  
20 primary endpoints with the exception of  
21 hypoglycemia were all composite endpoints. There  
22 were additional secondary endpoints that were  
23 surrogate endpoints for end-organ damage.  
24 The study was initially powered to  
25 detect a 40 percent change but after ten years of

00025

1 study, they did not see any differences so they  
2 repowered the study for detection of a 15 percent  
3 difference and more patients were recruited for  
4 the study. The study was actually notable for the  
5 fact that there was a statistically significant  
6 risk reduction for microvascular events, but it  
7 should be noted that this was primarily due to a  
8 decreased need for laser surgery; this was the  
9 major component of one of those composite  
10 endpoints. Visual acuity did not differ between  
11 the groups.  
12 Despite the duration of the study and  
13 the temporal increases in hemoglobin A1c in both  
14 groups over time, proteinuria and serum creatinine  
15 did not become a problem for either group. Few  
16 patients went on to develop renal failure. Unlike  
17 the DCCT, neuropathy parameters, including  
18 impotence, did not differ by treatment group.  
19 Despite the older-aged population, intensive  
20 treatment did not alter cardiovascular outcomes. The  
21 median complication-free interval was 14 years in  
22 the intensive group versus 12.7 years in the  
23 conventional group, and this was primarily  
24 eye-related disease. Expressed another way, the  
25 time to first complication was delayed, but only

00026

1 by a 15 months. Looked at even another way, to  
2 prevent any single endpoint complication, about 20  
3 people would have to be treated  
4 and they  
5 would have to be treated for ten years.

6 What does this study say about the generalizability of the DCCT results?  
7 Well, hemoglobin A1c was validated  
8 as a surrogate marker for microvascular disease in  
9 middle-aged type 2 patients, but the relationship  
10 was much less robust than for type 1 patients.  
11 Furthermore, the relationship between hemoglobin  
12 A1c and microvascular disease is not easily  
13 expressed with a line or curve, nor is the  
14 relationship between hemoglobin A1c and  
15 hypoglycemia.  
16 Well, let's take a look at the  
17 hypoglycemic events, and I direct you to the  
18 column in gold here, and as you can see, the  
19 hypoglycemic events were relatively uncommon.  
20 Even in the intensive group, we're talking less  
21 than two events per hundred patient years.  
22 Well, do we actually have any data on  
23 the impact of intervention in older type 2  
24 diabetic patients? Well, no, we don't. But we  
25 do have some modeling data. The authors used the

00027

1 DCCT rates for incidence (and we have to say that  
2 Kullberg, et al., suggested that these actually  
3 might be overestimates because there is a decrease  
4 in the incidence of retinopathy in older age  
5 groups) and the authors then used a type 2 cohort  
6 for their rates for progression.  
7 Well, what did they find, or what did  
8 their model show? For 65-year-old patients, even  
9 with hemoglobin A1c of 10, there really was only a  
10 one percent risk of lifetime blindness. And if we  
11 go down to end-stage renal disease, in these older  
12 age groups the risk for end stage renal disease  
13 was even less. And if we look at it yet another  
14 way, 84 percent of the benefit of treatment could  
15 be achieved by treating only 17 percent of the  
16 population, and that would be these people down  
17 here, those would be the only ones. The majority  
18 of the benefit was achieved by treating the  
19 youngest patients with the poorest glycemic  
20 control. Modest glycemic control was sufficient  
21 to prevent microvascular complications in older  
22 patients with type 2 diabetes in this model.  
23 Well, the VA further investigated the  
24 role of glycemic control in cardiovascular disease  
25 with a two-year pilot study of 154 men with

00028

1 type 2 diabetes. Unlike the UKPDS, they were able  
2 to maintain 2.1 percent unit difference between  
3 hemoglobin A1c for the two treatment groups. This  
4 study was focused on cardiovascular endpoints as  
5 well as hypoglycemia. As I indicated before, the  
6 VA study was able to achieve and maintain a  
7 hemoglobin A1c separation. The study was notable  
8 for the fact that there were 61 cardiovascular

9 events, including six deaths, and there actually  
10 was a trend toward more events in the intensive  
11 treatment group or the experimental group.  
12 Glucose control did not appear to enhance left ventricular function.  
13 Severe hypoglycemia was rare.  
14 There were three events per hundred patient years  
15 in the intensive treatment group and hypoglycemia  
16 did not appear to contribute, in any way, to these  
17 cardiovascular events.  
18 Well, we have to ask, is there a  
19 disconnect between glycemic control and  
20 cardiovascular disease? Can the absence of the  
21 link in this group be attributed to age alone? Do  
22 those with cardiovascular disease differ from  
23 those who do not have it? Well, I think that the  
24 Pittsburgh Epidemiology of Diabetes Study offers  
25 some interesting insights. This prospective

00029

1 cohort of over 600 type 1 patients was assessed  
2 for "hard" cardiovascular endpoints, including  
3 death, myocardial infarction, Q waves,  
4 revascularization, and stenosis by angiography.  
5 And the patients who had evidence of "hard"  
6 cardiovascular events (in this column) in comparison  
7 to those who did not, were more likely to have  
8 evidence of insulin resistance as indicated by  
9 high triglycerides, low HDL, higher blood  
10 pressure, increased waist-to-hip ratio,  
11 and a lower estimated glucose disposal rate.  
12 Glucose control was not the determining factor.  
13 Because of these lingering questions,  
14 there are several trials that are underway,  
15 including the NIH's Action to Control  
16 Cardiovascular Risk Trial and the Veterans' Affairs  
17 Diabetes Trial, and the Action in Diabetes  
18 and Vascular Disease Trial. We will hear from  
19 investigators from two of these studies later on  
20 today.  
21 Well, glycemic control has been linked  
22 to glucose monitoring, but what is the role for  
23 glucose monitoring in older patient populations if  
24 glycemic control does not substantially increase  
25 longevity, if it doesn't halt cardiovascular

00030

1 disease, and it doesn't reverse chronic diabetic  
2 complications that have already occurred. What is the  
3 role for glucose monitoring if only a minority of  
4 the vision loss that occurs in Type 2 patients is due to  
5 diabetic retinopathy and the vast majority are  
6 retinal lesions that can be treated regardless of  
7 hemoglobin A1c level? What's the role of  
8 monitoring if only ten percent, of  
9 type 2 diabetic patients develop diabetic  
10 nephropathy and if blood pressure control can  
11 retard most of the disease progression?

12 Well, that being said, there are many  
13 factors that do determine whether glucose  
14 monitoring can improve or affect glycemic control.  
15 Today here we're going to be focusing on  
16 monitoring regimens, in other words, the frequency  
17 and timing of monitoring. There are over 25  
18 studies of glucose monitoring in type 2 diabetes,  
19 most of these are observational in nature. Many  
20 are based on pharmacy records of meter strip  
21 refills or they have employed a self-monitoring  
22 regimen in which monitoring was done only infrequently: 1-4 times per  
month.

23 Few trials have contemporaneous  
24 control groups, only 11 were randomized, and only  
25 one was blinded. Observational data can be important

00031

1 because large populations and people who would not  
2 typically enter a trial or qualify for a  
3 randomized study can be captured. That being  
4 said, we will look at some of the notable studies.  
5 Soumerai studied the impact of a policy  
6 change: that is providing free meters to patients.  
7 There was no improvement in  
8 glycemic control except in those patients who had  
9 really poor control, people with HbA<sup>1c</sup> levels of 11 percent.  
10 The difference between the poor glycemic control patients who initiated  
11 self-monitoring was only 0.6 percent better than those who did not use the  
12 meters.  
13 Karter, et al., have studied a large  
14 database, and what they observed was a  
15 dose-related response to the frequency of glucose  
16 monitoring: up to one strip per day in type 2  
17 patients and  
18 three or more times a day in Type 1 patients.  
19 The greatest impact on  
21 hemoglobin A<sub>1c</sub> in type 2 patients was during the  
22 first six months.  
23 Using strip refill records, Evans,

00032

1 et al., found dose-related benefits on glycemic  
2 control from increased strip use in type 1 diabetics  
3 but not in type 2 patients using insulin; so it  
4 was different.  
5 In a cohort of over 3,000 patients,  
6 Martin, et al., found that those who  
7 self-monitored actually had higher hemoglobin A<sub>1c</sub>  
8 levels but lower rates of cardiovascular disease  
9 than those who did not self-monitor. It is not  
10 known whether the increased rate of medical visits  
11 resulted in more  
12 aggressive blood pressure control and  
13 lipid management.  
14 Unfortunately, observational data can  
15 be problematic because of hidden selection bias  
16 and because of its lack of blinding.

17 There are five randomized trials of  
18 glucose monitoring with more than 75 type 2  
19 patients. Three of these studies were negative  
20 and two reported only modest benefits, a  
21 hemoglobin A1c reduction of about half a percent.  
22 These studies, however, were significantly flawed  
23 by either very high drop out rates or failure to  
24 perform intent-to-treat analyses. We will hear  
25 more about these studies a little later.

00033

1 There were six additional smaller  
2 studies, and all of these were negative.  
3 There are limited data on continuous  
4 glucose monitoring. The most recent published  
5 randomized data involves the use of intermittent  
6 continuous glucose monitoring in which the  
7 monitor was on for 72 hours. Studies of such monitoring in both  
8 insulin in children and adults, when compared to  
9 frequent finger sticks, revealed  
10 no benefit. There have not been any randomized  
11 trials of continuous glucose monitoring versus  
12 frequent finger sticks in older type 2 diabetic  
13 patients.  
14 Well, because there are some  
15 outstanding questions about the role of glucose  
16 monitoring, there are ongoing studies such as the  
17 DiGEM study here, and we're going to hear more  
18 about this later.  
19 Well, to recap, we're going to review  
20 the data on outpatient glucose monitoring. What  
21 devices should be used? How frequently and at what  
22 time should monitoring be done? Is the usefulness  
23 of monitoring dependent on a patient's capacity to  
24 affect changes in their treatment program based on  
25 the data they derive from the monitors? Does

00034

1 glucose monitoring improve clinical outcomes and  
2 is the benefit the same for all types of  
3 complications? If hemoglobin A1c is an imperfect  
4 surrogate marker for the prevention of  
5 complications in type 2 diabetic patients, what  
6 outcome measures should be used? Does glucose  
7 monitoring, in and of itself, prevent hypoglycemia?  
8 What is the role of glycemic control in preventing  
9 or reversing chronic complications? What is the  
10 relative importance of non-glucose mediated therapy,  
11 such as ACE inhibitors, for the prevention and  
12 treatment of chronic disease complications? If  
13 cardiovascular disease is a more serious clinical  
14 burden than microvascular disease in older  
15 diabetic patient populations, should that be the  
16 focus of our therapies? Does the benefit of  
17 intensive therapy outweigh the risk of  
18 hypoglycemia, particularly in patient groups such  
19 as the infirm? Should the limit targets differ by



20 patient population? In our discussion today, we  
21 would like to better define the gaps in the  
22 available knowledge and to identify other areas of  
23 research that would benefit the care of our  
24 Medicare population. Thank you.  
25 DR. GARBER: Thank you. Arthur Lurvey

00035

1 will be the next speaker.  
2 DR. LURVEY: My name is Arthur Lurvey,  
3 I'm an endocrinologist, a member of AACE, but the  
4 hat I wear today is that of a Medicare contractor  
5 medical director, or to be correct in Part A,  
6 intermediary medical director, but the same things  
7 I'd say would go for any position involving  
8 Medicare, and I'm going to go as fast as I can.  
9 This is some information about Medicare  
10 and diabetes that we were able to pull up from our  
11 own Medicare records, and you can see here  
12 basically in different colors the Medicare  
13 population by percentage and in the aged community  
14 this is typically the age for disabled, and you  
15 can see that the largest is 65 years or older with  
16 no diabetes, and with the blue and white spots,  
17 where 16 percent of the community has diabetes.  
18 Looking at, and this is estimated,  
19 self-reported data, so there's some biases here,  
20 patients don't even know if they have it, so it's  
21 one of the ways you can get a very large group of  
22 people to see what you have in your overall  
23 diabetic population. In the community of patients  
24 living with diabetes, you can see that percent  
25 which is type 1, 11.84 percent over 65, and 3.4

00036

1 percent less than 65, and that is within the usual  
2 textbook ranges concerning type 1 diabetes that  
3 you see when you're checking through articles.  
4 Over 65, you can see the community they're living  
5 in, it's not nursing homes, 10 percent, or 3  
6 percent have diabetes.  
7 We talk about insulin usage because  
8 many of the patients don't understand the  
9 difference between type 1 and type 2. If you look  
10 at it as a graph, you can see the percentage of  
11 people goes up in the Medicare population,  
12 particularly in the disabled population, who have  
13 the diabetes.  
14 These are people in the nursing home  
15 population and as expected, there is a much higher  
16 percentage of patients with diabetes, and it peaks  
17 at the Medicare age, which is about 65 or more.  
18 Then it goes down as some of these patients  
19 expire, and the very old patients very often don't  
20 have diabetes.  
21 Looking at the burden of cardiovascular  
22 disease with or without diabetes, and this is

23 again self-reported, so do you have heart disease,  
24 do you have hypertension, in the patient  
25 population for under and over 65, there is a

00037

1 strong correlation between cardiovascular disease  
2 burdens and the diagnosis of diabetes as  
3 self-described by patients.  
4 The age of diabetes is diagnosed in the  
5 Medicare population. Again, because it's the  
6 Medicare population, understand that those are  
7 disabled and then those over 65, that the maximum  
8 diagnosis occurs in as many as 35 percent from age  
9 65 to about 74, and this goes along with perhaps  
10 some of the benefits that we have in which  
11 patients are able to get on Medicare and be seen  
12 by doctors easier, and that may be one of the  
13 reasons that there is better diagnosis. And also  
14 as one ages and one gets a little more plump, one  
15 becomes diabetic.  
16 The cumulative percentage of patients  
17 with diabetes we see here, and as you can see it  
18 tends to maximize, again, it goes up sharply at  
19 the Medicare age, and that is probably independent  
20 of simply getting Medicare. I think that's the  
21 age where both obesity and lifestyle and exercise  
22 change, and so type 2 would increase at that age.  
23 And this is another slide showing the same thing,  
24 duration of diabetes in years for patients over  
25 65. As you saw in the different age ranges, that

00038

1 starting with those who were living in the  
2 community, as one gets longer and longer aged  
3 diabetes, the maximum being less than five years.  
4 But when we pick them up in Medicare, it appears  
5 the majority of patients have only had it about  
6 five years, and specifically type 2.  
7 The type of treatments, and this again,  
8 are based on what patients report, but the vast  
9 majority of glaucoma, 60 percent are on oral  
10 agents, one or more, and approximately 20 percent  
11 in this group have no treatment, on diet alone,  
12 and 12 percent are on pills plus insulin.  
13 Again, this is what patients report.  
14 In other studies that I have seen that I did not  
15 annotate here, as many as four percent of the  
16 patients who are type 2 diabetic will be on  
17 insulin for the type 2 diabetes. The number of  
18 insulin doses is listed here and in the Medicare  
19 beneficiaries which are those under 65, they tend  
20 to use insulin, and those over 65 tend not to be.  
21 And the largest number of doses is two per day,  
22 and I would imagine it's not that different from  
23 the general diabetic community, not those  
24 necessarily who are seen by endocrinologists but  
25 in the general community, if you rechart the

00039

1 Medicare population and look at patients treated  
2 by their family doctors, there are probably a  
3 large number of patients who are on insulin twice  
4 per day.  
5 The relative testing of glucose  
6 frequency, you can see that there are some  
7 patients who are on oral and insulin that test  
8 more three times a day, approximately 25 percent  
9 of the patients who are on insulin with an oral  
10 medication are checking themselves three times or  
11 more a day, so a significant number of people are  
12 testing frequently.  
13 As far as control, self-reporting, the  
14 majority of the patients, about half of them feel  
15 that they have good control, which we defined for  
16 them of having hemoglobin Alc less than 7.5 or  
17 (inaudible) less than 40. These people who are  
18 living alone and not in a nursing home, about half  
19 of them feel they are in good control most of the  
20 time, as you can see from this slide.  
21 Okay. Here's a very quick look t  
22 additional information. About .2 percent of the  
23 Medicare diabetic population are currently on an  
24 insulin pump, subcutaneous pump. And less than  
25 65, depending on which report we have, it's

00040

1 between 5 and 10 percent, greater than 65,  
2 slightly higher, and these are based on claims and  
3 survey data. So because of the change in the  
4 allowable reason of being on a pump in the  
5 Medicare Manual between 2000 and 2005, that  
6 concept changes between the surveys for the ones  
7 which were before 2004 and the one that was in  
8 2005. During that period of the loosening up of  
9 the criteria, a lot of people would be on pumps.  
10 So how does the insulin pump differ  
11 from other equipment, what are the implications to  
12 us, the Medicare contractors for Part A and  
13 Part B? Just for those of you who don't know,  
14 traditionally glucose monitors are reimbursed  
15 under two separate benefits. Typically they are  
16 DMERC equipment as well as diabetic supplies. In  
17 1997, it was implemented that allowed coverage of  
18 glucose testing to non-insulin-dependent patients,  
19 that started in 1997, and there was a national  
20 coverage determination and if you look under  
21 Medicare coverage, you will find this in the  
22 manual, that there can be local coverages, each of  
23 the contractors can influence local coverage,  
24 controlling the number or the ways that you can be  
25 tested.

00041

1 The usual current coverage for insulin

2 is less than a hundred strips per month; for non,  
3 for insulin treated patients who are treating with  
4 insulin, they usually allow a hundred strips or  
5 lances per month covered by Medicare, and if  
6 they're non-insulin treated at all, it's 100 every  
7 three months. However, if there is any good  
8 clinical reason or documentation made, more will  
9 be allowed under any circumstance, so all it  
10 requires is documentation.  
11 With respect to subcutaneous infusion  
12 pumps, this has broadened recently in the last  
13 couple of years and I will skip over this in the  
14 interest of time since this is pretty well known  
15 to most everybody in the audience who treats  
16 diabetic patients.  
17 What are the costs? Glucose  
18 monitoring, Code A-4523, which is a DMERC  
19 equipment code, the allowed charges in 2003  
20 approached a billion dollars. And of the  
21 population at the time of the diagnosis for using  
22 them, there were type 2 diabetics and 60 percent  
23 were not receiving insulin at that time.  
24 What are some of the problem areas that  
25 we contractors and medical directors deal with,

00042

1 just so you understand? One, we are seeing in  
2 skilled nursing facilities relatively stable  
3 type 2 diabetic patients with multiple daily  
4 monitoring. However, there is no change in care,  
5 there is infrequently no slide in scale,  
6 frequently the information does not go to the  
7 doctor, some of these patients don't change for  
8 years but they are still tested two or three times  
9 a day with no apparent documentation of the  
10 information being used to change or adjust the  
11 treatment.  
12 For home care, which is my area, they  
13 visit diabetic patients two or more times a day  
14 for testing insulin, their glucose could be 40 to  
15 400 with no changes made in the results, there's  
16 no contact with the doctor, and as little as six  
17 units is given in the morning of insulin, with  
18 maybe five milligrams of lipocyte invasively in an  
19 insulin resistant patient.  
20 That of course is very very heavily  
21 marketed in diabetic supplies to a patient. If  
22 you watch television, if you're one of the people  
23 who has insomnia and watches television late at  
24 night, almost any cable channel or even non-cable  
25 channel will be reminding you that, find out if

00043

1 Medicare will pay for your diabetic supplies, they  
2 will do so five years at a time, and this of  
3 course helps to keep you awake, but if you want to  
4 get to sleep I suggest reading the Federal

5 Register, that always works.  
6 But glucose test strips, there have  
7 been various notes from the OIG about insulin and  
8 monitoring payments, marketing, that have come out  
9 in the past couple of years, noting that many  
10 times, several months of supplies have been sent  
11 to a patient, the patient doesn't understand how  
12 to use it or doesn't want to use it, and they tend  
13 to go past their useful life.  
14 So without wanting to waste more of  
15 your time, we wanted to show you our demographics  
16 of data that we have. Often it was  
17 self-monitored, self-questioned by patients who  
18 are currently type 2 diabetics, as many as 16 to  
19 40 percent may be taking insulin. The question is  
20 how often do they need the test, should they be  
21 tested, and what is the likelihood that the  
22 information gained will be used to improve their  
23 quality of life and their life expectancy, and  
24 that needs to be looked at. Thank you for your  
25 time.

00044

1 DR. GARBER: Thank you. We'll next  
2 move to scheduled presenters, Andy Karter, from  
3 Kaiser Permanente. Oh, I'm sorry, the TA, yeah, I  
4 got a little ahead of myself. Ethan Balk will be  
5 presenting.  
6 DR. BALK: My name is Ethan Balk, I am  
7 with the Tufts New England Medical Center  
8 Evidence-Based Practice Center, and I and all the  
9 members of my team report no financial conflicts  
10 of interest.  
11 We were asked to do a systematic review  
12 on these three questions, the relationship between  
13 hypoglycemic control and beneficial health  
14 outcomes for patients with type 2 diabetes, the  
15 effect of frequency of glucose monitoring on  
16 clinical outcomes in these patients, and the  
17 effect of frequency of glucose monitoring on  
18 glycemic control in hemoglobin A1c in these  
19 patients.  
20 My talk today is going to focus just on  
21 the latter two questions, self-monitoring of blood  
22 glucose. And also, I want to note that in the  
23 original agenda I had more time, so I'm going to  
24 skip around a bit to try to compress things. But  
25 again, basically, I'm going to focus on

00045

1 self-monitoring blood glucose and it's effect on  
2 improved clinical effect, it's effect on changes  
3 in glucose control for hemoglobin A1c, and its  
4 effect on adverse events, trying to focus on  
5 severe hypoglycemia as the evidence allows.  
6 I'm going to skip over this slide. So,  
7 our systematic review, we had a fairly stringent

8 eligibility criteria for this review. We would  
9 only review prospective studies, English language  
10 studies, both intensive glucose monitoring as an  
11 intervention and home self-monitoring for glucose  
12 as an intervention, and we focused as best the  
13 evidence would allow on type 2 diabetes in the  
14 adult population. The outcomes of interest were  
15 clinical events as listed here, and we stuck to  
16 these specific events and with this set of studies  
17 we were only interested in fairly large studies  
18 with over a hundred subjects with a relatively  
19 long follow-up duration of one year. For the  
20 other two outcomes, adverse events and hemoglobin  
21 Alc, we allowed smaller and shorter duration  
22 studies. For adverse events, we aimed to focus  
23 specifically on severe hypoglycemia, i.e., grades  
24 three and four, but in reality we ended up  
25 including studies that reported any evidence of

00046

1 hypoglycemic episodes.  
2 The definitions of hypoglycemia vary  
3 from study to study and have varied over time.  
4 The general definition of grade three hypoglycemia  
5 is a hypoglycemia where the patient requires  
6 assistance from somebody for their care. Grade  
7 four hypoglycemia indicates that the patient  
8 became stuporous or went into a coma, depending on  
9 the definition that's used.  
10 This is a graphic of the literature  
11 search that we did. Basically we searched MEDLINE  
12 and we also looked at review articles and other  
13 articles to find other citations. We found over  
14 7,500 citations to screen through. We did that  
15 screen and we found 292 abstracts, citations that,  
16 some of the abstracts indicated that these studies  
17 pertained to patients managed with either  
18 intensive glycemic control or self-monitoring  
19 blood glucose. Most of these studies ended up not  
20 being eligible using our strict criteria and the  
21 majority of the studies were not eligible because  
22 either the sample size was too small, or they were  
23 of patients with type 1 diabetes, or the study  
24 design was not valid, most commonly retrospective  
25 or cross-sectional studies. So we ended up with

00047

1 22 studies that we reviewed. Notably, none of the  
2 studies focused on the populations of people 65  
3 years or older, none of them did subanalyses in  
4 these populations.  
5 My talk is going to focus on the  
6 studies focusing on the self-monitoring of blood  
7 glucose, and I will reiterate these numbers as I  
8 go along. Let me actually, in case I run out of  
9 time, I want to jump, if you will so allow me, to  
10 my conclusion, and then I will come back and

11 present the data. I want to make sure I don't run  
12 out of time before getting to the conclusion of  
13 the studies.  
14 So again, we only studied the larger  
15 long-term studies; thus, there were a relatively  
16 small number of studies and a very small number of  
17 randomized controlled trials that we reviewed. In  
18 reference to the applicability of the studies to  
19 the Medicare population, we found that the  
20 minority of patients who were analyzed were over  
21 the age of 65 years or older, many of these  
22 studies included very few patients 65 years or  
23 older, occasionally no patients 65 years or older,  
24 and again, no analyses were specific to the older  
25 population.

00048

1 Across all the studies, there was a  
2 very wide range of duration of diabetes from new  
3 onset diabetes up to 20 years at baseline. The  
4 baseline hemoglobin A1c varied widely from about  
5 seven percent to about ten percent or so, and the  
6 treatment that was used varied considerably,  
7 including a large number of studies where none of  
8 the patients were using insulin, a large number of  
9 studies where all of the patients were using  
10 insulin, and everything in between.  
11 Prevalence of cardiovascular disease  
12 and other comorbid conditions were generally very  
13 poorly described to the point where we really  
14 couldn't say much across the studies to describe  
15 these populations. Notably, only three of the  
16 studies, and they were cohort studies, meaning  
17 pre-post studies without a control group, there  
18 was only three of the 13 studies on self-managed  
19 blood glucose that were definitely conducted  
20 within the last decade, since 1995. There were  
21 six other studies that may have been conducted  
22 recently. The remaining studies were conducted  
23 either in the late '80s or early '90s. Two of the  
24 included studies for the self-management of blood  
25 glucose may have and actually probably did include

00049

1 patients with type 1 diabetes, confusing the issue  
2 a little bit from our perspective.  
3 None of the studies that were eligible  
4 examined the relationship between self-monitoring  
5 of blood glucose and any of the clinical outcomes  
6 that we reviewed. For hypoglycemia, the adverse  
7 event of hypoglycemia as an outcome, there was  
8 only one study, which was an uncontrolled cohort  
9 study that was explicitly limited to patients with  
10 type 2 diabetes. Unfortunately from our  
11 perspective, the studies combined severe and  
12 non-severe hypoglycemia and so it was impossible  
13 to make any conclusion about the rates of, or the

14 risks of severe hypoglycemia.  
15 In all of the studies, there were no  
16 real data on the rate of severe hypoglycemia among  
17 patients with type 2 diabetes and there were no  
18 studies that compared self-monitoring of blood  
19 glucose to, quote-unquote, usual care or control  
20 group.  
21 For the hemoglobin A1c outcomes,  
22 overall they were inconclusive, without any  
23 specific or clinical significance of reduction in  
24 hemoglobin A1c with self-monitoring.  
25 There were five randomized trials. Two

00050

1 of them found a significant decrease in hemoglobin  
2 A1c, but the change was relatively small. The  
3 difference between the treatment group with  
4 self-monitoring and the control group without  
5 self-monitoring was only about a drop of about .25  
6 to .5 percent units. Among the other three  
7 trials, and one non-randomized comparative study,  
8 there was a wide range of net differences between  
9 the self-monitoring group and the control group.  
10 Among the uncontrolled studies, there  
11 was also a fairly wide range of effect, with  
12 reductions of about 25 percent to 1.5 percent from  
13 the baseline. As a comparative among the  
14 controlled studies, the changes in hemoglobin A1c  
15 from baseline in the control group was on the  
16 order of about, a decrease of .5 percent also.  
17 So, in addition, there were a couple of  
18 studies that looked at the correlation between  
19 frequency of hemoglobin A1c testing, blood glucose  
20 monitoring, and achieved hemoglobin A1c, but we  
21 were unable to draw conclusions from those two  
22 studies.  
23 So, let me go back. I think I have  
24 stated these issues, that there were relatively  
25 few patients that would be Medicare eligible, and

00051

1 the standards varied considerably by stage and  
2 severity of disease, again with the hemoglobin  
3 A1c, a baseline between 7 and 10.5 percent, a wide  
4 range at baseline, a wide range of percentage of  
5 patients using insulin, and no data or little data  
6 on comorbid conditions.  
7 Again, there were no studies that met  
8 eligibility criteria that evaluated  
9 self-monitoring blood glucose to clinical  
10 outcomes. Again, there were three uncontrolled  
11 pre-post cohort studies that met eligibility  
12 regarding self-monitoring blood glucose and the  
13 risk of hypoglycemia. Only one of these studies,  
14 this top study, was definitely, only they  
15 definitely included only patients with type 2  
16 diabetes; these other two studies probably



17 included patients with type 1 diabetes. And you  
18 can see that partly by the fact that the mean age  
19 of these two studies at 40 and 38 was considerably  
20 lower than the study with the mean age of 65. All  
21 of these studies included patients who all used  
22 insulin. The hemoglobin A1c varied a bit from 8  
23 to 10 percent at baseline.  
24 The self-monitoring blood glucose  
25 frequency regimen varied widely across these

00052

1 studies and across the other studies that I will  
2 be showing you. They range from, well, in one of  
3 the studies it was twice a day, one was four times  
4 a day, and one was about 15 times a week, and they  
5 all were fairly specific about what monitoring was  
6 done, how monitoring was done.  
7 Another thing that we thought was  
8 important, and this will show up again in one of  
9 the later slides, is that there was a wide  
10 variation in what patients did with the  
11 information that they received from the  
12 self-monitoring. Most of the studies actually did  
13 not say what the patient's response or the  
14 clinician's response would be to the data that was  
15 being collected by the self-monitoring. One of  
16 these studies even commented that there was no  
17 specific training about what to do. The one study  
18 of type 1 diabetes, they did describe in at least  
19 a little bit of detail that the management of  
20 diabetes was altered on the --  
21 DR. GARBBER: Ethan, I'm going to have  
22 to ask you to wrap up.  
23 DR. BALK: Okay. Let me just show you  
24 the results. These are the five randomized  
25 trials. Two of them are statistically

00053

1 significant, the other three are not significant.  
2 These are the non-randomized trials, the cohort  
3 studies. And this is the comparison between  
4 frequency of monitoring, hemoglobin A1c, two  
5 different studies, and this study found no  
6 significant association. This study claimed an  
7 association, although it's very problematic,  
8 especially with this one point here. And I think  
9 I'll end there.  
10 DR. GARBBER: Thank you. We now will go  
11 to Andy Karter from Kaiser Permanente. Just a  
12 reminder, please disclose any conflicts that you  
13 may have.  
14 DR. KARTER: Andy Karter, no conflicts.  
15 I'm going to be, for fear of running out of time,  
16 jumping around a little bit. I'm not going to  
17 talk about background, because you all have been  
18 reading about self-monitoring, but today I'm going  
19 to be presenting data from studies we have been

20 doing over the past ten years at Kaiser  
21 Permanente.  
22 We have a cohort of 210,000 patients  
23 with diabetes that we have been following. Our  
24 cohort was put in together in 1994 and we have  
25 been following them in an ongoing fashion since

00054

1 then. We are a managed care facility that serves  
2 about 35 percent in northern California, we have  
3 3.3 million patients, and we annually refresh our  
4 diabetes registry and download all of their  
5 laboratory, pharmacy, outpatient, inpatient cost  
6 data.  
7 This is the distribution of  
8 self-reported monitoring and frequency based on a  
9 1994 to 1997 survey, and you can see that in  
10 type 1s and type 2s, the frequency is skewed  
11 toward the higher end, with the greatest being  
12 three times a day, and in black, the black being  
13 no monitoring, so type 1s are mostly monitoring  
14 quite frequently, whereas the type 2s on insulin  
15 are about two times a day, whereas the type 2s on  
16 oral agents or medical nutrition and therapy are  
17 monitoring less frequently. And these, if you  
18 compare this to American Diabetes Association  
19 guidelines, about 60 percent of type 1s are  
20 adherent to the guidelines and the monitoring  
21 frequency and 67 percent of type 2s.  
22 On review of the literature, I find it  
23 puzzling because there's so much divergent  
24 evidence, and that's obviously why we're all  
25 sitting here today and based on what I see, and

00055

1 also trying to do this research myself, it becomes  
2 very evident that studying monitoring blood  
3 glucose and efficacy, effectiveness is very  
4 complex.  
5 Now we standardly refer to, our gold  
6 standard for studying efficacy is randomized  
7 controlled trials. However, self-monitoring for  
8 glucose trials presents particular problems, and  
9 number one, double blinding is not possible.  
10 Also, we see in many of the trials that concurrent  
11 trial interventions, like for example  
12 intensification of pharmacotherapy may wash out  
13 the SMBG efficacy, especially if there is a  
14 compensatory intensification in the control group.  
15 Another thing that has been found in  
16 more recent years in terms of the clinical trial  
17 methodology is this issue of ignoring patient  
18 practices, and that can tend to bias as to the  
19 real roles of crossover of self-monitoring in the  
20 control group or non-intervention in the SMBG arm.  
21 And another issue that we have is that the time  
22 frame for a randomized trial is often sufficient

23 to have patients start monitoring but it may not  
24 be sufficient to have them incorporate behavioral  
25 changes necessary in terms of those associated

00056

1 with supplementing monitoring.  
2 Also, as you all know, trials are very  
3 expensive and it limits both sample size and  
4 follow-up time, and that's plagued a lot of our  
5 trials. If you review the literature, you'll see  
6 that there's very small sample size, and that may  
7 be accounting for a lot of underpowered studies,  
8 not accounting for the non-significance.  
9 But on the observation studies, as you  
10 know, they have probably the most difficult time  
11 when it comes to dealing with bias, and there is  
12 non-random assignment of exposure, cross-sectional  
13 studies have the particular kind of the chicken  
14 and the egg problem of what comes first, the  
15 self-monitoring or the Alc.  
16 And also, a lot of the studies have had  
17 problems with limited availability of confounding  
18 data. Now this is particularly important for  
19 studies of self-monitoring because there is an  
20 association with potential non-causative  
21 associations which, between self-monitoring and  
22 other health behaviors. People who monitor are  
23 likely to also take care of themselves in other  
24 ways that may impact glycemic control and is  
25 beneficial, and so some monitoring may be, there

00057

1 may be potentially a spurious relationship between  
2 self-monitoring and Alc. And so studies, we need  
3 to be able to adjust to that in our analysis of  
4 the net effect and any bias.  
5 There are some examples from our data.  
6 Looking at the frequency of insulin either among  
7 type 1 or type 2 treatment with insulin, you see  
8 that those that either use a pump or more frequent  
9 insulin injections are more likely to practice  
10 self-monitoring, and you can imagine that the more  
11 intense therapy is associated with better glycemic  
12 control. And so this could, because it's also  
13 associated with better monitoring, it acts as a  
14 very potent confounder, and so a study that  
15 couldn't adjust to this kind of thing could be  
16 biased.  
17 But there is probably a more insidious  
18 bias that I think has plagued a lot of past  
19 observational studies, and that is the effect of  
20 initiating a self-monitoring practice may differ  
21 from the effect of changing the self-monitoring  
22 frequency in ongoing usage. And that has been  
23 called, recently it has been dubbed chronology  
24 bias, and clinical epidemiologists are now aware  
25 of this and have started to use what they call new

00058

1 user designs, and studied them separately from  
2 ongoing users or present users.  
3 And also, poorly controlled patients  
4 may be encouraged by the providers to increase the  
5 frequency or to initiate self-monitoring, so that  
6 is what we, we also call that confounding by  
7 indication, and that is a downward bias, and I'm  
8 going to show you an example. We studied a cohort  
9 of about 25,000 patients that had not used  
10 self-monitored blood glucose for a two-year  
11 period. And then we took this cohort and followed  
12 them forward for four years, and identified that  
13 over that four-year period many of them initiated  
14 self-monitoring, and then we went back to the  
15 baseline and compared whether or not they  
16 initiated self-monitoring. And you can see that  
17 for the red group, for those of you who can't see  
18 the legend, the red are the people who initiated,  
19 they had at baseline far worse glycemic control  
20 than the non-initiators. So that's kind of an  
21 example of how initiation of, or poor control may  
22 spur someone to start monitoring.  
23 Now you can imagine, if you did a  
24 cross-sectional study, what would you see? You  
25 would see that initiated self-monitoring, the Alc

00059

1 goes down, I mean it goes up two points, one to  
2 two points, and that's one of the problems with  
3 cross-sectional studies, as well as the prevalence  
4 studies, where you're not able to follow these  
5 individuals longitudinally and tease out whether  
6 or not, you know, what their purpose was in terms  
7 of initiation.  
8 Now ten years ago we started, we didn't  
9 understand some of these new user designs, but we  
10 thought we took care of it by what we called  
11 lagged cross-sectional studies, where we studied  
12 peoples monitoring behavior and then substituted  
13 modifying their behavior for Alc values, and we  
14 controlled for lots of those self-care behaviors  
15 that may confound the relationship. These are, at  
16 the bottom are confounders that we separated, age,  
17 sex, race, education, language, income,  
18 occupation, diabetes duration, and here's some  
19 modifiable behavior such as daily insulin  
20 injection, frequency, smoking, alcohol  
21 consumption, medication refill adherence,  
22 appointment no show rate, use of diet and  
23 exercise, and some other things I won't go over.  
24 But at any rate, we found a significant  
25 association between self-monitoring frequency and

00060

1 one year later Alc, and it was a graded response

2 that was highly significant in type 1, all  
3 subgroups, type 1, type 2, those that weren't  
4 being treated as well as medication therapy.  
5 And we saw this same, we took that data  
6 and then categorized individual's utilization of  
7 self-monitoring into whether or not they were  
8 being adherent based on ADA guidelines. And you  
9 can see that in all cases, adherence to ADA  
10 guidelines, that is the non-adherent, which is the  
11 green bar, and the dark blue which were the  
12 adherent, there was a significant drop of Alc in  
13 the following year. In summary, it was about, a  
14 one point lower Alc was associated with three  
15 times or greater monitoring in type 1s, about a .6  
16 lower Alc in type 2s, both on oral or injected  
17 insulin, and there was a .4 drop in Alc associated  
18 with medical nutrition therapy, and there we used  
19 the guidelines -- we used the guidelines for every  
20 case but we said if they monitor at all, and so it  
21 was any monitoring versus no monitoring.  
22 But since then, we've come to  
23 understand more some of these issues about new use  
24 of designs and you know, prevalence versus  
25 incident, and so we just published, it came out

00061

1 this month in Diabetes, looking at separating out  
2 new users of self-monitoring blood glucose from  
3 ongoing users. And the first cohort was 16,000  
4 subjects that had not practiced self-monitoring  
5 previously and did not, we restricted both these  
6 cohort studies, by the way, to people who did not  
7 switch diabetes therapy over our four-year  
8 longitudinal follow-up. And the reason is that  
9 switching therapies associated generally with an  
10 intensification of self-monitoring as well as  
11 hopefully improvement from glycemic control.  
12 However, it becomes closely retractable to  
13 analyzed data when you have a lot of switching of  
14 therapy, so we restricted, this was an artificial  
15 population but it made it a lot cleaner to look at  
16 the effect of self-monitoring.  
17 And we used, for this new user design,  
18 we used a pre-post control design, looking at  
19 patients that initiate versus the ones that  
20 continue not using. And then in the ongoing  
21 design, what we did was we did a fixed, we  
22 repeated it based on a time dependent adjustment,  
23 and what that means is we allowed individuals to  
24 make, we followed individuals' changes in their  
25 self-monitoring blood glucose over this four-year

00062

1 period, as well as their changes in their Alc and  
2 linked them together, so it wasn't just a baseline  
3 comparison, it was looking at all their data.  
4 Some individuals went up and down and back and

5 forth. And all these models were highly adjusted  
6 for a rich set of covariants that we talked about  
7 before, including lots of self-care behaviors that  
8 are potentially confounding.  
9 Here's the data. This is the first  
10 cohort of the prevalent cohort, and you can see  
11 that on the Y axis, you're looking at the change  
12 in Alc as a function of the X axis, which is the  
13 change in strict use over time. So over the four  
14 years, for example, if someone increased their  
15 strips by one per day, you would see this much of  
16 a drop, like a .1 drop in Alc, so that's how these  
17 graphs work.  
18 Now you can see here that medical  
19 nutritional therapy, there was absolutely no  
20 significant effect of changes in self-monitoring  
21 on changes in Alc. However, in oral agent only  
22 and insulin treated patients, there was a graded  
23 and significant effect, so that the more strips,  
24 for example, if they decreased four strips, their  
25 Alc would go up this much, this works in both

00063

1 directions, this is how much change there would  
2 be, it's a universe, so it could be a change, how  
3 much change there would be if they decreased this  
4 much, you would see their Alc go up that much, or  
5 if they increased strip use that much, their Alc  
6 would go down that much.  
7 This is the new user cohort and here  
8 you can see there was a graded effect and it was  
9 highly significant for all groups. And this is  
10 the impact of initiating and this is how many  
11 strips they initiated per day, and you can see  
12 that the more strips they initiated, the better  
13 the improvement in Alc up to a point and then it  
14 levels off.  
15 You can see insulin is rather spotty  
16 because most of the insulin users were already  
17 monitoring, it was hard to find a large enough  
18 cohort of non-self-monitoring insulin users, so  
19 it's a bit smaller sample size.  
20 A one-strip change in self-monitoring  
21 blood glucose among ongoing users was associated  
22 with about a .16 and a .12 inverse change in Alc  
23 in oral agent only and insulin treated patients.  
24 Changes in self-monitoring blood glucose had no  
25 impact on Alc in medical nutrition therapy.

00064

1 However, in newly initiated self-monitoring, it  
2 was associated with a clinically and statistically  
3 significant dose response decrease in Alc in all  
4 subgroups.  
5 Here's a few limitations. This was, I  
6 did not look specifically at the Medicare  
7 population, so these findings may differ in the

8 other group. As you all know, you have to make an  
9 extreme caution whether making causal inferences  
10 from observational studies, even longitudinal  
11 studies such as this.  
12 And, let's see, self-monitoring blood  
13 glucose effectiveness likely varies widely  
14 depending on patients behavioral changes and  
15 provider response, and these are not measured.  
16 And these are somewhat conservative findings  
17 because subjects changing therapy during follow-up  
18 were excluded, and that's one of the pathways that  
19 may link self-monitoring with improved glycemic  
20 control.  
21 So, just, I want to talk briefly about  
22 trying to understand the discrepancies in these  
23 cohort studies, why there was a substantial  
24 improvement, why you find strong impact in the new  
25 users, whereas in the ongoing users you only find

00065

1 it, it's often somewhat weaker, and not only in  
2 pharmacologically treated patients. This is, my  
3 hypothesis is that substantial initial motivation  
4 and educational benefits of starting  
5 self-monitoring, you know, there is, when you  
6 start monitoring, you get this motivational  
7 benefit and educational benefit. But once someone  
8 learns their profiles and they understand the  
9 responses, that practice is likely to have less of  
10 an impact on glycemic control unless the patients  
11 use the information to guide action, such as  
12 adjusting therapy, or to guide the provider in  
13 therapeutic decision making.  
14 That may be why we see pretty much very  
15 similar dose response curves in the insulin users  
16 as we did on medical nutrition therapy. We only  
17 see, you see a substantial new user effect because  
18 they are gaining a lot of educational benefit;  
19 however, once they understand their profiles and  
20 impact, they may not, because of the poor  
21 behavioral changes made in response to their  
22 results.  
23 DR. GARBBER: Dr. Karter, we're running  
24 quite a bit behind schedule, so I'm going to need  
25 to ask you to wrap up in the next four to five

00066

1 minutes.  
2 DR. KARTER: Okay.  
3 Diabetes care is a complex  
4 intervention, of which self-monitoring blood  
5 glucose is only one facet. And we cannot expect  
6 an intervention dealing with a single facet of  
7 complex problems to be highly efficacious. Also,  
8 the effectiveness of new glucose monitoring  
9 programs is highly dependent on the ability of  
10 patients and providers to integrate the practices

11 into an overall program of self-care and  
12 therapeutic decision making.  
13 This was one thing I wanted you to look  
14 at. There was a randomized trial published in  
15 Diabetes back in 2004 by Kwon, from Korea, and  
16 they showed that, they took a cohort of people who  
17 were already self-monitored and did a randomized  
18 trial and divided them into two groups, and with  
19 one they gave intermittent feedback on their  
20 self-monitoring, and this group showed a  
21 substantial improvement in their Alc based on  
22 that, and that shows how important the linkage  
23 between self-monitoring and some kind of  
24 behavioral action is.  
25 In conclusion, I think evidence-based

00067

1 practice recommendations today are rarely based on  
2 observational study findings. Instead, they rely  
3 exclusively on randomized trials. However, there  
4 is at this point a shortage of good information  
5 from randomized trials, and I think that the  
6 similarity, the effect size reported by the  
7 meta-analyses of existing randomized trials, West  
8 and Sorrel are two of the most current  
9 meta-analyses, and these observational studies are  
10 compelling enough evidence to warrant the support  
11 of self-monitoring for motivated patients who are  
12 appropriately educated in its use.  
13 And with this slide, I will end. Thank  
14 you.  
15 DR. GARBBER: Thank you very much.  
16 Next, Alisha Wade.  
17 DR. WADE: Good morning, everyone. My  
18 name is Alisha Wade. My current affiliation is  
19 Johns Hopkins, but I did this study while I was at  
20 the University of Oxford, and this is the study to  
21 which Dr. Koller referred to earlier, the Diabetes  
22 Glycemic Education and Monitoring Study, also  
23 known as DiGEM. It was conducted at the  
24 Department of Primary Health Care at the  
25 University of Oxford.

00068

1 The DiGEM study examined the effect of  
2 blood glucose self-monitoring, specifically in  
3 patients with non-insulin treated type 2 diabetes.  
4 So as you've heard many times this morning, there  
5 have been many studies of blood glucose  
6 self-monitoring. There have been many  
7 observational studies we found when we reviewed  
8 the literature, and all of those studies by  
9 Blonde, Karter, Meng and Chan gave positive  
10 results, in which they found that there was an  
11 improvement in HbA1c in patients who monitored  
12 their blood sugar control. There were also eight  
13 uncontrolled intervention studies and Martin,



14 Banister and Ozmen found positive results. There  
15 were 17 randomized controlled studies in the  
16 literature, and studies by Rutten, Jaber, Kibriya,  
17 Brown, Schwedes, Oh, Guerci and Kwon found  
18 positive results.  
19 There also were several systemic  
20 reviews of blood glucose self-monitoring in the  
21 literature. Faas in 1997 did a criteria-based  
22 literature review and his conclusion was the  
23 efficacy of this technology is still questionable.  
24 Indeed, he found that it was not possible to do a  
25 metaanalysis simply because the outcome measures

00069

1 indeed were so variable.  
2 There was a second systematic review  
3 published in 2000 by Coster, in which he found no  
4 significant difference between groups. They  
5 compared blood glucose self-monitoring or urine  
6 self-monitoring with no self-monitoring, and also  
7 compared blood glucose self-monitoring to urine  
8 self-monitoring.  
9 Now there were two more recently  
10 published systematic reviews which Dr. Karter just  
11 alluded to, one by Sarol in 2005 and one by  
12 Welschen, also in 2005. Both those systematic  
13 reviews did find a significant difference between  
14 groups.  
15 I would caution that the major  
16 difference between the earlier systematic reviews  
17 and the more recent systematic reviews was the  
18 publication of what were probably to date the two  
19 largest randomized controlled trials, those by  
20 Schwedes and Guerci, and both of those studies  
21 found significant differences and may have helped  
22 Sarol and Welschen in the more recent systematic  
23 reviews.  
24 So DiGEM, which as I said, is a study  
25 evaluating blood glucose self-monitoring in

00070

1 non-insulin treated type 2 diabetics, was first  
2 developed in 2001, and the rationale for  
3 developing the study is as we've heard this  
4 morning, that blood glucose self-monitoring  
5 results in a tremendous cost burden on the health  
6 system but the efficacy remains uncertain.  
7 There are several deficiencies in  
8 existing research. First of all, most of these  
9 randomized controlled trials which have been  
10 brought up to date lack comparability between  
11 study groups. For example, the study by Schwedes,  
12 et al., which found significant differences in the  
13 group treated with glucose self-monitoring,  
14 actually used quite a different intervention in  
15 the group that was doing glucose self-monitoring,  
16 when compared to the control group.

17 Several of the previous studies have  
18 been underpowered, and hence the difficulties that  
19 Dr. Karter alluded to with the systematic reviews.  
20 There's also been an absence of  
21 theoretical basis for behavior changes, and I hate  
22 to keep quoting Dr. Karter, but I think the point  
23 that he made with regard to self-management of  
24 diabetes as part of glucose self-monitoring is a  
25 very important one. It's impossible to separate

00071

1 blood glucose self-monitoring from the general  
2 self-monitoring behaviors used in type 2 diabetes.  
3 Again, potential mediators which may  
4 actually result in the proved outcomes aren't  
5 clear. Is it simply the result of using blood  
6 glucose self-monitoring that results in better  
7 outcomes? Does the use of blood glucose  
8 self-monitoring change the way the patients  
9 perceive their diabetes? Does it change the way  
10 they approach the control of diabetes, or any  
11 interventions that could conceivably affect their  
12 overall outcomes?  
13 And then lastly, there is a potential  
14 bias in the type of analysis used. In the two  
15 positive studies, the one by Schwedes and Guerci,  
16 they actually report with an intention to treat  
17 analysis. And in the study by Schwedes, which was  
18 the largest study to date, can actually show a  
19 positive result even with analysis with a modified  
20 intention to treat. They had a very high dropout  
21 rate, as Dr. Koller indicated, greater than 40  
22 percent, and their final analysis is only based on  
23 the people who had remained in the study for at  
24 least two months. So it technically wasn't an  
25 intention to treat analysis.

00072

1 DiGEM is a multicenter trial currently  
2 being conducted in the United Kingdom. Patients  
3 are being enrolled from both Oxfordshire and  
4 Sheffield. The primary trial objective is to  
5 establish the effect of blood glucose  
6 self-monitoring, either alone or as a means  
7 combined with other self-monitoring behaviors, as  
8 a means to encourage self-monitoring behavior, as  
9 to whether or not there is an effect on HbA1c  
10 after one year.  
11 There are also several secondary trial  
12 objectives, comparing treatment satisfaction,  
13 well-being, health service use, self-management  
14 behaviors, hypoglycemia, and beliefs about  
15 diabetes across type boundaries. We're also going  
16 to be looking at several subgroup comparisons. We  
17 will be dividing our study population on the basis  
18 of age, duration of diabetes, and baseline HbA1c,  
19 to see whether or not any of these features affect

20 blood glucose self-monitoring and HbA1c.  
21 First, as again Dr. Karter alluded to,  
22 it is a randomized controlled pragmatic track.  
23 We're going to have three trial lines. First will  
24 be the control group. I'm only going to discuss  
25 what the intervention entails, I will be happy to

00073

1 answer questions further.  
2 First is going to be a control group.  
3 That control group will have standardized usual  
4 care. They will have three monthly visits from  
5 the study nurse, and then their HbA1c is going to  
6 be taken every three months and they will get  
7 feedback from the study nurse as to whether or not  
8 their glycemic control is adequate or whether they  
9 may be able to make efforts to improve it.  
10 All patients, regardless of which study  
11 group they are in will receive a talk about goal  
12 setting and how they may improve their glycemic  
13 control by modifying their diet, their exercise,  
14 or the medication that they're on.  
15 In the second less intensive modified  
16 group, there was the same standardized usual care  
17 intervention, but in addition to meeting with the  
18 study nurse every three months, they're going to  
19 be given blood glucose self-monitoring and asked  
20 to monitor their blood sugar three times a week on  
21 any three days a week.  
22 Now we chose this particular frequency  
23 of glucose self-monitoring because, again, as the  
24 literature says and was reviewed, the diabetes  
25 literature suggests what the appropriate frequency

00074

1 of glucose self-monitoring is. In this  
2 population, which is not using insulin, we felt  
3 that measuring blood glucose twice a week or three  
4 days a week would give us enough information in  
5 regard to what their baseline control was. And we  
6 also asked them in addition to testing fasting  
7 blood sugars, to test their blood sugars after  
8 meals, with the intention of hoping that we would  
9 get some guidance as to what influence various  
10 meal compositions, for example, might have on  
11 their blood sugar.  
12 And then the third and more intensive  
13 monitoring group would have an intervention  
14 similar to that of the second monitoring group.  
15 But in addition, we asked them to test their blood  
16 glucose after exercise to see what the effect of  
17 the exercise would be on their blood sugar. We  
18 also asked them to test if, for example, they did  
19 not take their medication, to see what effect that  
20 might have on their blood sugar. And we have  
21 actively encouraged them to use the results of  
22 their blood glucose self-monitoring to feed back

23 into their day-to-day activities, so hopefully  
24 there will be some connections between what blood  
25 sugars they had and what behaviors they would be

00075

1 carrying out, and therefore, receiving some kind  
2 of positive, or possibly negative feedback with  
3 regard to their self-monitoring behaviors.  
4 So in terms of the population, this  
5 study was restricted to patients with type 2  
6 diabetes. We also restricted the population to  
7 patients aged 25 or older at diagnosis, because we  
8 wanted to be sure that we were picking up patients  
9 with type 2 diabetes. There is no upper age limit  
10 with regard to the study and really, the only  
11 restriction was that patients had to be  
12 independent in activities of daily living, because  
13 we felt this would be necessary to help the  
14 patients manage their behavior, doing their  
15 exercise, changing their diet which might  
16 influence their overall control. And we also  
17 wanted patients to manage their lifestyle or oral  
18 hypoglycemic agents.  
19 Patients who had used blood glucose  
20 self-monitoring at least twice a week in the  
21 preceding three months were excluded from the  
22 trials, and the reason for this is we wanted  
23 patients who were as naive as possible to blood  
24 glucose self-monitoring to be enrolled. As was  
25 also alluded to previously, patients who already

00076

1 may have been exposed to glucose self-monitoring  
2 probably had predetermined ideas about its use,  
3 they may well have been enthusiastic about  
4 continuing their monitoring. So we wanted  
5 patients who really had no idea about the  
6 technology to come forward so we could really  
7 examine what the initiation of monitoring and  
8 continuing monitoring over the course of the year,  
9 how it affected their readings. Again, patients  
10 who had a limited mobility or significant  
11 comorbidity were excluded, again, because we felt  
12 they may be unable to modify their lifestyle  
13 regimen. And lastly, we excluded patients who had  
14 a HbA1c of less than 6.2, and the reason for this  
15 is that we were looking actually targeting changes  
16 in HbA1c scores as evidence of glycemic control,  
17 and we felt that we were limiting a significant  
18 effect in patients who had glycemic control and a  
19 low HbA1c.  
20 So, how did DiGEM address the existing  
21 questions or issues in the literature? DiGEM is  
22 powered to detect an absolute difference of HbA1c  
23 of 0.5 percent with 80 percent power. Our  
24 intervention is based, which I will skip over  
25 because of time, but is actually based on

00077

1 psychological theory. And also, hopefully by  
2 using the type of intervention we have, we hope to  
3 be able to examine whether or not we could  
4 effectively and potentially use blood glucose  
5 self-monitoring as a treatment for glycemic  
6 control.  
7 We're going to hear presentations about  
8 the advantage to diabetics and their beliefs about  
9 the effects of their behaviors are on their  
10 glycemic control at the beginning and at the end  
11 of the study. So what we will hopefully determine  
12 is whether or not the changes occurred, and if the  
13 changes did occur, was that a result of the  
14 changes in behavior. And we will also be doing an  
15 intention to treat analysis with regard to the  
16 study.  
17 So with regard to the current status of  
18 DiGEM, recruitment was carried out between 2003  
19 and 2005. We recruited 453 patients, we are now  
20 developing treatment targets, and we expect to  
21 report our data probably midway through next year.  
22 The study was actually funded by the  
23 Health Technologies Assessment program of the  
24 National Health Service of the United Kingdom, and  
25 the blood glucose meters used in the study were

00078

1 provided by MediSense.  
2 And I would just like to thank our  
3 other study investigators, Dr. Andrew Farmer,  
4 Professor Andrew Neil, Professor Rury Holman,  
5 Professor David Mant, Ms. Sue Ziebland, Professor  
6 Alistair Gray, Dr. Pat Yudkin, Professor Ann Marie  
7 Kinmonth, and Dr. David French. Thank you.  
8 DR. GARBER: Thank you very much. Next  
9 will be Mayer Davidson.  
10 DR. DAVIDSON: I'm Mayer Davidson. I  
11 have no conflict of interest. And the first slide  
12 I would show you, the primary area selected was  
13 Seeking Diabetes Control, the use and abuse of  
14 self-glucose monitoring. And what I might do, if  
15 you can't find it, I brought it on a stick. Would  
16 that be helpful? Let me go get that just in case.  
17 (Pause.)  
18 Here is the first slide. Now Dr.  
19 Koller also talked about the relationship between  
20 control of glycemia and microvascular disease, so  
21 we're going to start with that as soon as it comes  
22 up. Now the first slide after the opening slide  
23 just states that the ADA goals of A1c are, or is  
24 less than seven percent, and that's based on --  
25 here it is.

00079

1 This is the relationship between

2 glycemia and microvascular disease. You've heard  
3 about the DCCT trial. This is the time since  
4 inception running out to about nine years, and  
5 this is the relative rates now, not the absolute  
6 rates, of retinopathy. And you can see that with  
7 an Alc of seven percent, there is no development  
8 and no progression of established retinopathy in  
9 the patients participating in the study. If you  
10 have an Alc of eight percent, the first four or  
11 five years there was no increase and then it was a  
12 moderate increase. With nine, 10 and 11 percent,  
13 it really went up. And based on what I'm going to  
14 be saying from that slide, if the Alc is very  
15 high, it goes up very quickly, the retinopathy.  
16 This is the other complications,  
17 neuropathy and two forms of nephropathy, and you  
18 can see the same thing. If we did not see an Alc  
19 above seven percent, there is no progression or  
20 development of those complications. Once you get  
21 above seven, then you see they really take off.  
22 This is just the average Alc from this study.  
23 This is a retrospective study done  
24 where we looked at microalbuminuria over a  
25 six-year period, and these are hemoglobin A1c to

00080

1 look at Alc's, you have to look down here, and  
2 again, this is the development of  
3 microalbuminuria; patients at the beginning did  
4 not have microalbuminuria, so what was their  
5 chance of developing it. If you had an Alc in  
6 this case that was less than eight, almost no  
7 chance, but once you got over eight, the  
8 development of microalbuminuria really took off.  
9 This is the same data but looking at  
10 patients starting out with microalbuminuria, what  
11 were the chances of them going on to clinical  
12 proteinuria, and this clear curve that you can  
13 barely see is the result. And again, with an Alc  
14 between six and seven, there is no development of  
15 microalbuminuria to clinical proteinuria, seven to  
16 eight a little bit, and really taking off above  
17 eight.  
18 Type 2 diabetes, you have the Kumamoto  
19 study which is patterned after the DCCT.  
20 Retinopathy, nephropathy, again, between six and  
21 seven, no development of these complications or  
22 progressions, over eight really taking off.  
23 And finally, another study from Tanaka.  
24 This is a little different. This is the  
25 cumulative incidence, but it's the chance of

00081

1 getting microalbuminuria over a six-year period  
2 looking at the mean Alc, and again, if it's under  
3 seven, don't worry about it; between seven and  
4 eight, just a few percent; but after eight, again,

5 it really takes off.  
6 So that's the relationship between  
7 microvascular disease and Alc.  
8 Now let's take a little different look  
9 at the relationship between glycemia and  
10 macrovascular disease. It's been known for a long  
11 time that there's an association between glucose  
12 levels and the development of CAD.  
13 This is one of the earlier studies, the  
14 Honolulu study, and over here we have fatal  
15 coronary artery disease and total coronary artery  
16 disease. This is the post-challenge glucose, so  
17 this is the two-hour glucose tolerance test, and  
18 the value of 40 to 114, the red, and the 115 to  
19 133 stay in the normal range; 134 to 156 is  
20 starting to get up into the ICT, but you can see  
21 that even within normal ranges there are increases  
22 in CAD, or coronary artery disease.  
23 This is a metaanalysis looking at  
24 95,000-plus patients and this is the relative rate  
25 of getting CAD. This is the fasting glucose here,

00082

1 this is the two-hour glucose. This is normal so  
2 for us Yankees, we have to convert this. This is  
3 about 90, this is about 108, seven I think is  
4 about 126, and you can see that there's an  
5 increase after you get up into what we used to  
6 call the normal range. Here's the values, it's  
7 108 here, this is about 140. So the point is that  
8 there's an increase in CVD in the normal range.  
9 That's shown really well in this study.  
10 This is actually called the EPIC study, this was a  
11 cancer study, but they looked at the development  
12 of myocardial infarction over a three-year period  
13 in 4,662 men. They took as their baseline those  
14 with Alc's less than five, and then they went up.  
15 When you go to a baseline value between 5.0 and  
16 5.4, there's a 250 percent increase in the  
17 percentage chance of getting a heart attack, and  
18 it goes up progressively from there. Since this  
19 study was published, there's been another study  
20 that show the same thing looking at 4.6 as the  
21 baseline. The point is that within certainly the  
22 normal range of glycemia, there are increases in  
23 primary artery disease.  
24 Now it's important to point out that  
25 the changes of glucose that were shown on the

00083

1 previous slides are not independent predictors of  
2 CVD. If you take the other risk factors into  
3 consideration and take them into account, then  
4 there is no increase in CVD, and there are three  
5 studies now that have shown that. On the other  
6 hand, certainly IGT is a predictor of subsequent  
7 development of type 2 diabetes.

8 Now in terms of lowering glycemia, as  
9 has been pointed out in some of these studies,  
10 there is no evidence in type 2 diabetes that a  
11 lowering glycemia is beneficial, or has beneficial  
12 effect on coronary artery disease, and there's two  
13 metaanalyses where reviewers have said that. Now  
14 having said that, type 1 diabetes (inaudible) and  
15 initially as Dr. Koller has pointed out, in DCCT  
16 there was not enough coronary artery disease to be  
17 statistically significant, but the EDIC study has  
18 shown that may not be true.  
19 Now the EDIC study, what happened is as  
20 follows. After DCCT ran out, they built extra  
21 funding for EDIC, and they predefined their CVD  
22 outcomes as non-fatal MI, stroke, death from CVD,  
23 confirmed angina, or coronary revascularization,  
24 and the results were published eight or nine  
25 months ago.

00084

1 This slide shows you that once the DCCT  
2 stopped, we all know that the average Alc was  
3 about seven percent in the intensively treated  
4 group, and it stayed at about nine percent in the  
5 control group. After the DCCT, there was a  
6 convergence of the Alc levels, and so in six  
7 months they were almost equal; certainly by here,  
8 at two years they were equal, seven percent went  
9 up to about eight, and nine percent went down to  
10 about eight, and so for most of this period of  
11 time, the Alc was no different than when it  
12 started.  
13 This is the cardiovascular incidence  
14 from the beginning of EDIC and as I mentioned,  
15 that was the end of the DCCT, and as you can see,  
16 there is a divergence here with the intensively  
17 treated patients here and the control patients in  
18 the DCCT being here. The hemoglobin Alc's are not  
19 different but there is a difference in the  
20 incidence of cardiovascular disease. Now if you  
21 look at this from the beginning of the DCCT, you  
22 start to see the divergence maybe around 10, 11,  
23 12 years, and at least statistical significance  
24 here maybe at 15 to 20 years later after the  
25 beginning of the DCCT.

00085

1 So now we turn to SMBG. This is a  
2 slide that shows the rate of SMBG in type 1  
3 patients and their Alcs. It's over a 21-day  
4 period, and these are the number of SMBG's through  
5 21 days and here's the Alc, and you can see a very  
6 nice inverse relationship here.  
7 A study was done, and this is just one  
8 study, but there's been several like it, it was a  
9 study done of type 2 patients, I think it was only  
10 type 2, though it could have included some type 1,



11 and half of those patients were given  
12 self-management skills, what to do with the  
13 results, and the other half were just told to  
14 measure their glucose. In those that were given  
15 self-management skills, the Alc's came down.  
16 Those that were just told to test more often,  
17 there was no change in Alc.  
18 So the point is that, as was pointed  
19 out by previous speakers, you need to do something  
20 with that information in order to get the benefit  
21 from SMBG. Dr. Karter related a lot to you about  
22 the retrospective and observational studies. From  
23 here on, we'll be talking about SMBG in type 2  
24 patients not on insulin.  
25 And there are, I could find at least 14

00086

1 negative observational retrospective studies in  
2 the literature showing that measuring your glucose  
3 or SMBG in patients on pills or diet alone did not  
4 make any difference in their glycemic outcome.  
5 There were some positive ones, and Dr. Karter  
6 talked to us about his studies, and there are at  
7 least two of them, they are retrospective studies  
8 looking at a large database, and they showed  
9 changes, as he showed you, about Alc's coming  
10 down.  
11 However, as he pointed out, there is a  
12 potential issue here with healthy lifestyle  
13 behavior, and he actually has shown this, because  
14 in one of these studies they did a questionnaire  
15 and if the patient did not answer the  
16 questionnaire, they actually called them on the  
17 telephone. And the results of that showed that  
18 the patients who were selected by their physicians  
19 or themselves with the SMBG had better self-care  
20 practices and healthier lifestyle behaviors by  
21 these questionnaires or telephone interviews. So  
22 my point here is that there is a self selection,  
23 that patients more likely to accept SMBG are  
24 probably more likely to have healthier behaviors  
25 and that may account for their positive findings.

00087

1 Here's another observational study that  
2 was published, and this is, the problem here is  
3 that a therapy decision scheme was applied only to  
4 the patients who did SMBG, patients in the control  
5 group received no particular instruction, so the  
6 problem we see here is that the two groups were  
7 not treated equally.  
8 Here's the third one, and I think  
9 Dr. Wade or somebody talked about the Soumerai  
10 one. Free glucose meters were given to those who  
11 wanted to use them, and they only had positive  
12 results in those who, one, had very high Alc  
13 levels, and also were receiving sulfonylurea

14 agents; all the other patients, there was no  
15 positive changes. And again, probably self  
16 selection in the poorly controlled patients that  
17 accounted for those results.  
18 Here's another one, and we have heard  
19 talked about the very few randomized clinical  
20 studies that are in the literature. Fontbonne, he  
21 had 68 SMBG patients, and he compared SMBG, urine  
22 testing, and the control group getting neither.  
23 The baseline Alc's were fairly comparable. There  
24 were modest, at best, changes in the Alc levels,  
25 and the ones getting neither actually had the best

00088

1 percentage change, but there was no difference  
2 among the groups.  
3 Another randomized clinical trial,  
4 smaller in number, baseline is very well defined  
5 in both patients, and they both came down about  
6 two percent, so obviously there was no difference  
7 between the two groups.  
8 Dr. Muchmore did one, again, small  
9 numbers, and he had a baseline of 8.8 to 9.6.  
10 There was a difference in their absolute change,  
11 1.5 to 1.8, although they were not statistically  
12 significant, probably because it was underpowered,  
13 but again, the groups were not treated equally.  
14 The patients who got SMBG were given extra dietary  
15 help, they were taught carbohydrate counting and  
16 they kept a food diary, and they went back and saw  
17 their providers, whereas with the control  
18 patients, none of that occurred.  
19 And this study has also been mentioned,  
20 by Dr. Schwedes. Higher numbers, the baseline is  
21 comparable, and there was a two times higher  
22 decrease in the SMBG group than the control group.  
23 But again, the patients who got SMBG received  
24 special dietary counseling, so again, you cannot  
25 say that this is just due to the SMBG.

00089

1 This one was also mentioned, very large  
2 groups in each one, or a large number of patients  
3 in each group, and there was a statistical  
4 significance, but almost half of the SMBG patients  
5 dropped out, 48 percent, and 40 percent of the  
6 control group dropped, out and so that put some  
7 doubt on the validity of those results.  
8 Now here's the one blinded study, and I  
9 agree it's very difficult to do blinded studies,  
10 but we were able to carry one out. That's because  
11 we have a unique system, we have a trained nurse  
12 who follows protocols that I've written, and I  
13 just talk to her over the phone once a week, but  
14 she makes the clinical decisions. We then did a  
15 study in which patients were randomized either to  
16 take some pills again, to see the dietitian with

17 SMBG, or just to see the dietitian. The nurse  
18 treated all the patients and she did not know  
19 which patients were on SMBG. The patients were  
20 scheduled to see the dietitian five times during  
21 the six-month study. They were asked to test six  
22 times a week, before and after breakfast twice,  
23 lunch twice and supper twice.  
24 About half of them did, 45 percent  
25 total compliance, and then the dietitian would

00090

1 take those numbers and he would use those to do a  
2 nutritional counseling with the patients. The  
3 patients who were not on SMBG were just getting  
4 nutritional counseling. Here you see, there were  
5 43 and 45 in each group, the number of visits to  
6 the dietitian were no different in these two  
7 groups, the baselines were comparable, and the  
8 change was not statistically different, it went  
9 down .8 in this group, the SMBG group, and coming  
10 down .6 in the group that did not receive SMBG.  
11 Now these 43 or 45 patients that took  
12 SMBG were just a small group in the number of  
13 patients that this nurse treated. We had 367  
14 patients that she treated. On the year prior to  
15 coming to see us in the nurse director care group,  
16 their Alc was typically about 8.8. When they  
17 finished that year, they were at about 8.6. They  
18 didn't change much in the standard care. When she  
19 was finished with them after one year, the average  
20 Alc was down to 7.0 and their median came down to  
21 6.7.  
22 Now only 22 percent of those patients  
23 were on insulin, all the rest of them were on  
24 pills and so most of them, of course, did not have  
25 SMBG, and therefore, I'm showing you here that you

00091

1 can do fairly well without SMBG. When they  
2 started in that year before seeing the nurse, 17  
3 percent met the ADA goal of seven percent, 28  
4 percent met it afterwards, and she was able to  
5 bring that up to 60 percent meeting the ADA goal  
6 at the end of one year.  
7 Now we talked about the two  
8 metaanalyses, Dr. Wade did. There was a  
9 modest .39 percent reduction. She said that there  
10 was a lot of clinical heterogeneity, and in  
11 practice she did not do any evaluations, but as  
12 the editor I forced her to do it, and it came down  
13 to minus .39. And she did point out that there  
14 was this study by Sarol, and Sarol did not talk  
15 about any of the clinical heterogeneity studies  
16 and came out with a rather modest .31 percent.  
17 So that's the data as I could see it in  
18 the literature and in our study on SMBG.  
19 Now why doesn't it make any difference?

20 The value of SMBG in insulin-requiring patients is  
21 easy, because they could do something about it,  
22 they could change the timing of their meals, they  
23 could change what they eat in their meals, or  
24 their exercise pattern, the doctor could use all  
25 these values to adjust the doses. And again, as

00092

1 pointed out, it also serves as motivation and  
2 education.  
3 But now patients not on insulin, it  
4 does serve as motivation and education, but most  
5 people measure only in the fasting state, and if  
6 it's going to motivate you, you've got to see the  
7 highest numbers; if it's going to educate you, you  
8 really need to see the post-prandial numbers. But  
9 even if you do see the post-prandial numbers, it  
10 has a limited effect on glycemic outcome. There  
11 are only certain things you can do, there is only  
12 one medication that you can change that's going to  
13 make any difference, and that's repaglinide, you  
14 can change the dose of that, but that only has  
15 about one percent of the market, so that's not  
16 going to have any effect.  
17 I guess you could delay your meal, eat  
18 less carbohydrates, and that's nearly impossible.  
19 The long-term pattern to adjust medication, you  
20 really don't need to do that in our type 2  
21 patients, and that's because the fasting glucose  
22 really is fairly stable in patients who are on  
23 oral agents.  
24 And here is a study looking at two  
25 fasting glucoses from day to day where you see a

00093

1 very tight correlation, and here's another study  
2 showing it one week apart. Although it's spread  
3 out here and they are very high, clinically that  
4 doesn't make any difference, because obviously  
5 your decision's going to be the same whether  
6 they're 280 or they're 200. So if you use the  
7 fasting sugar, at least in our study, in our  
8 program, and monitor the glucose of the oral  
9 agents on the fasting glucose, you can do just as  
10 well.  
11 And this is very expensive. In 2002,  
12 this is the Medicare B fee-for-service number of  
13 what it cost for ICD-9 code 250.00, and the cost  
14 for reagent strips, lancets, devices, meters,  
15 batteries, et cetera, was almost half a billion  
16 dollars. And this is a minimum cost, because it  
17 only includes Medicare B, it doesn't include HMO  
18 Medicare, and it certainly, from the payer's point  
19 of view, it doesn't include all the other patients  
20 who are not in Medicare.  
21 And finally, two quick other things.  
22 Dr. Koller asked me to give you the data on Alc's

23 between type 1s and type 2s. This is a study  
24 looking at two groups with insulin, but the curves  
25 here are the same kind of curves that you get from

00094

1 the DCCT. It's obvious that the lower the A1c is,  
2 the more likely you are to get hypoglycemia, and  
3 that's what this shows, but notice here in type 1  
4 patients, when you're at 20, 30, 40, 50  
5 hypoglycemic events per patient year, and here's  
6 the same study done in type 2 patients and here  
7 we're at the nine, 10, 11, so there is at least a  
8 five-fold difference in hypoglycemia.  
9 And finally, there's information that  
10 may be helpful for the panel's discussion later  
11 on. It makes a difference at when the patient is  
12 diagnosed to have diabetes. The age of diagnosis  
13 is here, this is the duration of life after  
14 diabetes, or after the diagnosis for male and  
15 female. So if diagnosis age is 60, you are still  
16 going to have on average 15 more years to live,  
17 and if you're a female, you're going to have about  
18 17 more years left. And a study that's coming out  
19 in Diabetes Care in November shows you that there  
20 is a difference. If you're over 65 and you had  
21 diabetes diagnosed between 40 and 64, or if you're  
22 over 65 with diabetes and you had the diabetes  
23 diagnosed after the age of 65, there is no  
24 difference in the coronary artery disease rates  
25 but there's a tremendous difference in the

00095

1 microvascular disease. So the point I would make  
2 here is that if a patient is diagnosed at 60 and  
3 they've got 15 more years left, they have a lot of  
4 time to get microvascular disease and  
5 consequently, monitoring is important. Thank you  
6 very much.  
7 DR. GARBBER: Thank you very much. The  
8 next speaker will be Dr. Denise Simons-Morton from  
9 NIH.  
10 DR. SIMONS-MORTON: I was nervous about  
11 actually finding my slides. I am happy to present  
12 ACCORD, The Action to Control Cardiovascular Risk  
13 in Diabetes trial. I will be presenting the  
14 design of the study because we have no data yet  
15 for the study, the study is still ongoing. I have  
16 no conflicts to report; however, I'm going to show  
17 a slide that shows the contributors and  
18 collaborators on this study.  
19 We've heard some background, just one  
20 slide on background, about 17 million people in  
21 the U.S., or six percent of the population have  
22 diabetes. The vast majority of those are type 2  
23 diabetes. And as previously stated, diabetes  
24 increases the risk of cardiovascular disease,  
25 double to quadruple compared to people who don't

00096

1 have diabetes, and that cardiovascular disease is  
2 the leading cause of death in diabetes.  
3 You also saw some data that glycemia  
4 level is directly associated with cardiovascular  
5 disease rates in observational studies, and that's  
6 even down to within the normal range. There is  
7 some evidence from experimental randomized  
8 controlled trials that reducing glycemia will  
9 reduce CVD, but the evidence is borderline at  
10 best, and the studies are inconsistent with each  
11 other in terms of the findings. It's a very  
12 important question. We also know that  
13 hypertension and dyslipidemia are more common in  
14 diabetic persons. However, optimal treatments for  
15 these cardiovascular disease risk factors are  
16 unknown, in diabetes as well as in non-diabetes,  
17 as a matter of fact.  
18 So, we designed ACCORD to test three  
19 separate research questions addressing strategies  
20 to reduce cardiovascular disease in diabetic  
21 patients, a glycemia strategy, a blood pressure  
22 strategy, and a blood lipids/lipoproteins  
23 strategy. It's a multicenter randomized clinical  
24 trial which includes 10,251 patients with type 2  
25 diabetes who are at high risk for cardiovascular

00097

1 disease events.  
2 Here are the eligibility criteria.  
3 They had to have had stable type 2 diabetes for at  
4 least three months. They had to have Alc ranges  
5 which varied depending on whether they were on  
6 more or less treatment; if they were on less  
7 treatment, it could go up to 11 percent; if they  
8 were on more treatment, it could go only to nine  
9 percent, and so that depended on how many oral  
10 agents and whether they were on insulin. So the  
11 bottom range was 7.5 percent because we didn't  
12 want to recruit anybody into the study, randomize  
13 them into the standard control group, and have  
14 their Alc get worse.  
15 They had to be at high risk of  
16 cardiovascular disease, either they had clinical  
17 disease, for example had an MI in the past, or had  
18 subclinical disease, for example as measured by  
19 events, or they have two or more additional risk  
20 factors like hypertension, dyslipidemia or  
21 obesity. Regarding age, they could be 40 years or  
22 older if they had cardiovascular disease history,  
23 55 or older otherwise, if they didn't actually  
24 have clinical cardiovascular disease. As we can  
25 see from the baseline data, the mean age is in the

00098

1 lower 60s, so we will have a fair proportion, I

2 think, who are over 65. They also have to be  
3 eligible for either a lipid or blood pressure  
4 trial and we have additional eligibility criteria,  
5 but in the interest of time I'm not going to show  
6 you those, but those are based on blood pressure  
7 values or HDL and LDL values, as well as whether  
8 they've had, for example liver disease or kidney  
9 disease that might preclude some of the  
10 medications.  
11 They were excluded if they had a  
12 cardiovascular event or procedure such as CABG or  
13 PCI with stenting, angioplasty, or hospitalization  
14 in the past three months, so if they had  
15 cardiovascular disease (inaudible). If they had a  
16 history of hypoglycemia, coma or seizure in the  
17 last 12 months, they were excluded. If they had a  
18 serum creatinine greater than 1.5 milligrams per  
19 deciliter, they were excluded, because of possible  
20 effects on renal disease. They were excluded if  
21 they had symptomatic heart failure or history of  
22 New York Heart Association class III or IV heart  
23 failure. They were excluded if they had  
24 transaminase greater than two times the upper  
25 limit of normal, known liver disease, or factors

00099

1 likely to limit adherence.  
2 So, what are the questions we're  
3 testing, glycemia, blood pressure and lipids  
4 questions? The glycemia question is to ask  
5 whether intensive control of hemoglobin A1c with a  
6 target of less than 6.0 percent versus a standard  
7 control with a range of 7.0 to 7.9 percent would  
8 give you expectations, and I will tell you a lot  
9 more about this question.  
10 The blood pressure question is testing,  
11 intensive control, targeting systolic blood  
12 pressure less than 120, versus standard control  
13 targeting systolic blood pressure less than 140.  
14 I'm not going to focus my talk on this, but we can  
15 talk about that and I would answer any questions  
16 if you want.  
17 And the lipid question, we're testing  
18 fibrates in order to increase HDL and lower  
19 triglycerides, and statins to lower LDL, HDL being  
20 the bad cholesterol and LDL being the bad  
21 cholesterol, versus just statins to lower LDL-C  
22 alone, so it's a combined therapy versus statins  
23 alone. I'm not going to tell you much more about  
24 this either, in the interest of time.  
25 So here's the design. It's a double

00100

1 two-by-two factorial design. If they meet  
2 eligibility criteria, they're randomized into one  
3 of these eight sets, and it shows the final sample  
4 size numbers. So let me just explain this.

5 Everybody is either in the intensive glycemetic  
6 control with the less than six target, or in the  
7 standard glycemetic control with Alc's in the  
8 sevens, and that's 5,000 people in the intensive,  
9 approximately, and 5,000 in the standard. The  
10 outcomes in these two groups will be compared for  
11 the primary analysis.  
12 They also have to be in the blood  
13 pressure or the lipid trial. If they are in the  
14 blood pressure trial, they are randomly assigned  
15 if their tested systolic blood pressure is below  
16 120, versus standard. We have about 2,400 people  
17 in each of those groups and the primary analysis  
18 will be comparing the outcomes in those two  
19 groups.  
20 The lipids, they get fibrate plus  
21 stain, or placebo plus statin, and there are about  
22 2,800 people in each of the randomized arms of the  
23 lipid trial.  
24 The blood pressure and glycemia are  
25 open labeled treatments, but there's people who,

00101

1 the outcomes are blinded to treatment assignment,  
2 which I show on this slide. The primary outcome  
3 is a composite outcome, nonfatal MI, nonfatal  
4 stroke, or cardiovascular disease death. It is  
5 the same outcome for all three questions,  
6 glycemia, blood pressure and lipids, and those  
7 outcomes are adjudicated by a blinded committee,  
8 the committee does not know to which group a  
9 patient is assigned.  
10 We have 89 percent power to detect a 15  
11 percent effect in the glycemia trial. For the  
12 blood pressure, 94 percent power to detect a 20  
13 percent effect. And for the lipid trial, 87  
14 percent power to detect a 20 percent. We  
15 intentionally designed it to be able to detect a  
16 smaller effect in the glycemia trial because we  
17 wanted to make sure that we detected a significant  
18 effect if it should occur; however, we think that  
19 all of these effect sizes are clinically relevant.  
20 We're also looking at other  
21 cardiovascular outcomes. These include each  
22 component of the primary outcome and expanded  
23 outcomes including revascularization or treatments  
24 like coronary bypass surgery or angioplasty, and  
25 also heart failure hospitalization. We're looking

00102

1 at total mortality, at microvascular outcomes  
2 including nephropathy, diabetic eye disease, and  
3 neuropathy, and our outcome here is designed to  
4 pretty much mimic what we see in other  
5 microvascular outcomes. We are taking retinal  
6 photographs in the substudy to look at  
7 retinopathy. We also have a substudy analyzing



8 health-related quality of life and cost  
9 effectiveness, and cognitive functioning, and an  
10 even smaller group of people where we do brain  
11 MRI. All of these will be analyzed by randomized  
12 groups, the same as the primary outcomes.  
13 So, how are we actually testing, what  
14 intervention are we doing to test our glycemia  
15 research question, which is, in middle aged or  
16 older people with type 2 diabetes at high risk for  
17 cardiovascular disease event, does targeting Alc  
18 less than 6.0 percent safely reduce cardiovascular  
19 events more than targeting Alc of 7.0 to 7.9  
20 percent?  
21 This is a strategy question, we are not  
22 testing individual drugs. We are testing  
23 strategies that can be delivered in clinical  
24 practice. So, compared with the standard group,  
25 the intensive group has, as we said, a lower Alc

00103

1 goal, 6.0, less than six, versus in the sevens.  
2 But how do you get that goal? They will have more  
3 clinic visits with more adjustment of therapy.  
4 They receive point of care Alc measures right  
5 there in the clinic, so the therapy can begin  
6 without waiting for lab results to come back, we  
7 can call them on the phone or have them come in  
8 again. There is a greater use of multiple  
9 medications and combination medications in the  
10 intensive group versus the standard arm. They are  
11 more likely to need insulin to reach the goal, and  
12 highly relevant to this meeting, self-monitoring  
13 blood glucose therapy with greater frequency in  
14 the intensive versus the standard group, up to  
15 eight times a day in the intensive group, and more  
16 likely one or two times a day or maybe seven times  
17 a week, they don't necessarily have to do it every  
18 day.  
19 The key, and relevant to what a couple  
20 other speakers said, is that the results of this  
21 therapy are used by the patient and the physician  
22 to modify lifestyle and medications in order to  
23 reach the goal. So for example, behavioral  
24 strategies and sliding scale insulin may be used  
25 as a result of the Alc values, or not Alc, the

00104

1 SMBG values as a result of the monitoring. So we  
2 think this is an integral part of the  
3 intervention, and it's not only important for  
4 adjusting the therapy but also for helping prevent  
5 hypoglycemic events when people can see that their  
6 SMBG values are low and if they're dangerously  
7 low, then the lifestyle, their eating patterns,  
8 the insulin patterns, et cetera, can be modified  
9 to prevent hypoglycemia.  
10 So we're going to actually randomize

11 comparisons between SMBG-guided therapy, because  
12 the amount of monitoring is greater than the  
13 standard group, and we can do this in a randomized  
14 comparison. But it's not the only thing that's  
15 different, all this other stuff is different too,  
16 including the medications.  
17 Speaking of medications, here's the  
18 ACCORD formulary of medications. It's just like  
19 we would use in clinical practice, we have  
20 metformin, we had secretagogues, we have THZDs and  
21 we have a variety of insulins, and the clinicians  
22 in ACCORD can choose among this formulary for  
23 their particular patients to select the  
24 appropriate therapy in order to reach the Alc six  
25 percent goal, and combination therapies that may

00105

1 not be used in clinical practice today are being  
2 used in ACCORD.  
3 Background treatments, the other  
4 aspects of diabetes and cardiovascular disease  
5 care are given in accordance with current practice  
6 guidelines and the study gives recommendations to  
7 the physicians who are providing this care. We  
8 also deliver lifestyle therapy as an integral part  
9 of glycemic treatment and cardiovascular disease  
10 risk reduction, and that's delivered by ACCORD  
11 clinicians. So we provide medical nutrition  
12 therapy, physical activity counseling, and weight  
13 management approaches. We think it's critical to  
14 tend to lifestyle care in order to get to the  
15 goals that are targeted.  
16 We have an external monitoring  
17 committee and an independent DSMB safety monitor  
18 appointed by the National Heart, Lung and Blood  
19 Institute. They monitor a variety of different  
20 things. I put a list here, but let me just point  
21 out a couple of things. They look at the Alc  
22 achieved group-specific levels and between-group  
23 difference in the levels. In order to get to the  
24 research question, we have to make sure that the  
25 intensive and standard groups actually do have a

00106

1 difference and they closely look at these Alc  
2 values.  
3 They also monitor severe hypoglycemia  
4 events, in large part because of this benefit  
5 question. If we link cardiovascular disease  
6 events but have a whole lot of severe  
7 hypoglycemia, that would be really important to  
8 know, or maybe that won't happen, and that would  
9 be important to know also.  
10 They also monitor a bunch of other  
11 things, including SAEs and whether they're caused  
12 by the SCA and its effect on the primary outcomes  
13 to determine whether it is efficacious or may be

14 going the wrong way, and this can be caught in the  
15 study early. There are no SAEs right now,  
16 everything is going just fine.  
17 Here's our time line. We are right  
18 here in 2006. We did the vanguard to see if we  
19 could actually, the clinicians could actually  
20 achieve the targeted goals for blood pressure,  
21 lipids and glycemia, and recruitment. That was  
22 successful, and we started a main trial, we  
23 finished recruitment, the follow-up is going to  
24 end in 2009. There is a four, eight to four-year  
25 range in terms of following participants, the

00107

1 average is going to be about 5.5 years. And then  
2 we should know the results and publish the results  
3 in 2010.  
4 This shows the ACCORD clinical centers,  
5 network centers and sites in the United States and  
6 Canada. We have a coordinating center at Wake  
7 Forest, the project office at NHLBI. We have a  
8 distribution center, central laboratory, central  
9 DCT reading center, and probably other things that  
10 I've missed.  
11 I just want to acknowledge the  
12 cooperation and support of several sister  
13 institutes, the NIH and Centers for Disease  
14 Control, as well as contributions from a variety  
15 of different drug and pharmaceutical companies,  
16 almost all the drugs are donated.  
17 So, ACCORD is a large complex study  
18 addressing fundamental clinical questions about  
19 diabetes management, what are appropriate targets  
20 for treating glycemia, blood pressure, and lipids  
21 to reduce cardiovascular disease, which is the  
22 dominant cause of morbidity and mortality in  
23 diabetes. And so we'll know the results in 2010.  
24 Thanks.  
25 DR. GARBBER: Thank you very much. The

00108

1 next speaker will be Bill Duckworth, from the VA.  
2 DR. DUCKWORTH: Well, I'm Bill  
3 Duckworth, and this never works. Conflicts of  
4 interest, our study and diabetes trial is being  
5 supported by a number of companies just as the  
6 ACCORD trial is, specifically Aventis, Novo  
7 Nordisk, Roche Diagnostics, Coast, I think that's  
8 it. For the sake of completeness at this  
9 monitoring session, I was formerly a consultant  
10 for Roche Diagnostics, I'm not now. And my  
11 biggest conflict of interest I'll save for last,  
12 the fact that I have children at both the  
13 University of Arizona and Arizona State  
14 University, and that's a conflict. I tried not to  
15 say that loudly.  
16 Okay. Here is the name of the trial

17 and two of the people, the two co-chairs, me and  
18 Carlos Abrarra. Tom Moritz was the statistician  
19 and he prepared a number of these slides, which I  
20 will mention briefly as we go.  
21 The rationale for this is very very  
22 simple. We're going to look at the effect of  
23 glucose control on cardiovascular disease, and the  
24 reason we're doing it is because we don't know the  
25 relationship, the true relationship between

00109

1 glucose control and cardiovascular disease. It's  
2 a reasonably sized study, 20 centers, 1,700-plus,  
3 specifically 1,792 patients.  
4 And I'm going to read this carefully  
5 because it has some meaty things in it, important  
6 things. It's a prospective, randomized study of  
7 intensive versus standard glyceic treatment on  
8 cardiovascular events, hard endpoints, in patients  
9 with type 2 diabetes and sub-optimal response to  
10 maximum oral agents or insulin. So basically, the  
11 purpose was to get glucose under control, and we  
12 were probably stupid for doing this, but we did  
13 this so we're stuck with it.  
14 Of extreme importance, and I'll try to  
15 show you some of the reasons for this, but of  
16 extreme importance is that both groups are treated  
17 identically with all other known cardiovascular  
18 risk factors, blood pressure and lipids, diet and  
19 lifestyle, they all get identical instruction on  
20 diet and lifestyle changes and they all are  
21 intensively treated for what we can do something  
22 about, to prevent other things from interfering  
23 with the examination of glyceic control alone.  
24 Now there are a number of, you have  
25 been hearing a lot about this this morning, so

00110

1 I'll go through some of these slides rather  
2 quickly, because some of them you've seen or at  
3 least you've seen their details, but  
4 epidemiological and observational studies reveal  
5 associations about benefits of glucose control on  
6 cardiovascular events, but say nothing about cause  
7 and effect. Interventional studies have not been  
8 definitive, but there are known and proven  
9 important effects of blood pressure control in  
10 type 2 diabetes with regard to both micro and  
11 macrovascular complications.  
12 This is some interesting data that has  
13 popped up a few years ago. It was a survey of 900  
14 physicians, 700 primary care, 100 endocrinologists  
15 and 100 cardiologists. They gave their opinions  
16 about what was most important for preventing  
17 cardiovascular disease in type 2 diabetes.  
18 Controlling blood glucose was the most important,  
19 a smaller number believed blood pressure control

20 alone, and then lower cholesterol was minuscule.  
21 Okay, what's the truth?  
22 That's a repeat of the other statement.  
23 Metaanalysis. Lowering blood pressure requires  
24 157 patient years needed to treat to be effective  
25 in reducing cardiovascular events. Cholesterol,

00111

1 106, and glucose was not significant. So we have  
2 the ADC completely backward to what is the most  
3 important; cholesterol was the most important  
4 here, blood pressure was the next most important  
5 of the things looked at, and glucose, we just  
6 don't know, period.  
7 But what is the most important thing  
8 for us to know about type 2 diabetes? What kills  
9 most people with type 2 diabetes is vascular  
10 disease, specifically heart disease, strokes, that  
11 sort of thing. Here's the relative risk, lifetime  
12 risk. End stage renal disease with age of onset  
13 of 55, with a terrible Alc, the risk is down here.  
14 The risk of cardiovascular death is up here, and  
15 that's what we don't know yet.  
16 We saw most of this data earlier, so I  
17 will just briefly mention one thing, and that is  
18 it really does make a difference when someone gets  
19 the diagnosis of diabetes, not gets diabetes, it's  
20 when they get the diagnosis of diabetes, the age  
21 of that makes a big difference in lifetime risks.  
22 You've heard about epidemiological  
23 studies, so I'm sure that there is an association  
24 between glucose and the cardiovascular problems,  
25 and sometimes when you look at all of them, it's

00112

1 about 50-50, so we've confused the issue even  
2 more. Here's our epidemiological data of the  
3 subjects that were entered into the study and  
4 their baseline results. What correlates with  
5 cardiovascular disease? Age, no surprise there.  
6 Duration of diabetes, no surprise there. Insulin  
7 use, known for a long time, not sure exactly what  
8 that means. What about Alc at entry? No  
9 difference in the two groups with or without  
10 cardiovascular disease whatsoever.  
11 I'm sorry that I'm sort of wandering  
12 around here. Glucose lowering trial we've heard  
13 about, so I'm not going to go into those anymore  
14 except, not all of them have been significant in  
15 terms of the longer time trials, and one of them  
16 was actually trending the wrong way, as you know.  
17 There was one that was significant which I will  
18 talk very briefly about in a minute. We've heard  
19 all this so I'll just keep going.  
20 I don't think this has been shown  
21 exactly this way, but here's the data from UKPDS  
22 in terms of the risk of increase in Alc on

23 microvascular events and on cardiovascular events,  
24 totally different curves, flattening out here.  
25 There is some increase in this range but it's

00113

1 really not very impressive in terms of their own  
2 data in terms of cardiovascular events.  
3 And you heard about the EDIC so I'm not  
4 going to go through that group of slides either.  
5 There is one that I promised that I  
6 would mention, the Steno trial did show effect on  
7 cardiovascular disease, cardiovascular events, but  
8 it was probably, or may have been due to other  
9 things that they were controlling. A fairly  
10 good-sized study, fairly decent duration. They  
11 looked at conventional treatment of glucose, blood  
12 pressure and lipids to goal, and they found that  
13 there was a 53 percent decrease in cardiovascular  
14 risk, but intensive patients at goal for the three  
15 things they were trying to treat, only 15 percent  
16 had glucose goals met, 45 systolic blood pressure,  
17 79 diastolic blood pressure, and total  
18 cholesterol, even higher. So what really causes  
19 the 53 percent decrease, we don't know.  
20 One thing that is relevant, the UKPDS  
21 also looked at blood pressure and the effect of  
22 blood pressure control or treatment, it's  
23 certainly not control. The blood pressure, if I  
24 recall correctly, is 144 over 82 versus 154 over  
25 87, but that little bit of control in blood

00114

1 pressure resulted in a decrease of any  
2 diabetes-related influence, twice as much as  
3 glucose did, diabetes-related deaths, which  
4 glucose control didn't do anything to, stroke --  
5 let's see. Three times more in terms of  
6 diabetes-related death. Stroke was, glucose  
7 control didn't do anything for. And microvascular  
8 influence, 50 percent more than glucose control.  
9 So blood pressure control is absolutely essential  
10 to prevent cardiovascular events.  
11 Primary outcomes in this study, which  
12 is now in its sixth year, a year and a half to go  
13 basically, major cardiovascular events,  
14 cardiovascular death, MI, stroke, congestive heart  
15 failure, and many of these patients will advance  
16 to neuropathy or infection, and interventions for  
17 coronary artery disease or peripheral vascular  
18 disease. Secondary outcomes, angina, TIAs,  
19 critical limb ischemia, total mortality,  
20 retinopathy, nephropathy, neuropathy, quality of  
21 life, cognitive function, and cost effectiveness,  
22 a pretty large basket to fill.  
23 Eligibility, which I mentioned briefly  
24 before, veterans, this is a VA study, with type 2  
25 diabetes; Alc better than 7.5 at entry; either on

00115

1 insulin or maximum dose of some oral agent, or  
2 both; male or female; no maximal age limit, and  
3 it's greater than 40, or 41 or greater; and no  
4 major cardiovascular event in the last, pretend  
5 there's a six there, past six months.  
6 Really complicated design, take a group  
7 of patients, randomize them half to one treatment  
8 and half to the other, that's it. That's what  
9 we're studying. So we're not going to have  
10 trouble, I hope, with too complicated a study for  
11 you to interpret ultimately.  
12 Something that we thought was very  
13 important, there are independent effects of  
14 certain drugs on cardiovascular events and it's  
15 probably more than we actually know about right  
16 now, so we had to make sure as best we could that  
17 patients in both groups were treated as nearly  
18 identically as possible with one aspect of the  
19 control than the other. So everybody is on, or  
20 potentially on the same drugs in a specific order  
21 of administration, particularly setting it up so  
22 insulin is used as early as possible, because  
23 there is an association with insulin and  
24 cardiovascular disease for whatever reason. And  
25 then after we get the basic scheme set, then we

00116

1 have to use the same thing in both arms. Again,  
2 optimize lipid and blood pressure treatments so  
3 they are equal in both arms.  
4 The enrollment information, duration of  
5 diabetes 11.5 years, age 60, so they are now over  
6 65 obviously, and they're obese, and a particular  
7 reference to smoking, so I assume this means I  
8 need to quit. One thing we were really concerned  
9 about was this occurred in the UKPDS, after  
10 initial control it was lost in the intensive  
11 group, and it continued to rise in the standard  
12 group, parallel really, so we did not want that  
13 happening, so we did this to our control and we  
14 have done pretty well.  
15 Our goal was between eight and nine for  
16 the standard, for reasons, some of which has been  
17 mentioned earlier, and as low as we can get them  
18 for the tough group in the intensive. We're now  
19 running about six or seven in this group and the  
20 control may be decreasing a little bit.  
21 And I wanted to do this for a second.  
22 Blood pressure control is exactly the same in both  
23 groups. It started out pretty doggone good over  
24 the three groups of patients, 131 over 77. And  
25 over three years, this was the data we had

00117

1 available, 127 over 72, which is also pretty good.

2 Lipids, again, pretty good at baseline, all things  
3 considered, and again, improvement in everything  
4 over time, including the HDL. Most patients were  
5 on aspirin, 90 percent roughly. Most were on  
6 lipid treatment, 80 percent on statins, this is  
7 now higher because we have been pushing the  
8 statins after the recent studies.  
9 Those are the conclusions. ADA goals  
10 for Alc, blood pressure, triglycerides, and LDL  
11 cholesterol can be achieved in a difficult group,  
12 and the risks/benefits of intensive glycemic  
13 control in an older high-risk cohort are not known  
14 but can be established. Thank you.  
15 DR. GARBBER: Thank you, and I hope you  
16 feel better. Next speaker will be Irl Hirsch.  
17 DR. HIRSCH: Thank you, and good  
18 morning. First, my conflicts of interest. My  
19 travel from Seattle was funded by the JDRF. I  
20 consult with Abbott Diabetes Care, Johnson &  
21 Johnson, and Roche. I also have research grants  
22 from Medtronic Diabetes, American Diabetes  
23 Association, and have one forthcoming from the  
24 JDRF. I actually have a much larger conflict of  
25 interest that I want to share with you. I have

00118

1 had type 1 diabetes for 42 years, and looking  
2 around the audience, watching everybody checking  
3 their blood sugar and looking at their sensors, I  
4 know I'm not alone this morning.  
5 Very briefly, aa little bit about me, I  
6 run a large diabetes clinic in Seattle at the  
7 University of Washington. A quarter of our  
8 patients are Medicare patients, 50 percent of our  
9 clinic patients are type 1, and we're going to  
10 focus on type 1 diabetes in my discussion. My  
11 personal clinic population of about five to 600  
12 patients, 80 percent are type 1. I'm the medical  
13 director of the center, I'm on the board of  
14 directors of the ADA. I'm a member of the  
15 American Board of Internal Medicine, that means I  
16 write the diabetes questions for the ABIM. I have  
17 received awards from both the ADA and AACE.  
18 What I want to talk about, however, is  
19 the importance of glycemic control for all people  
20 with diabetes, including Medicare patients who are  
21 elderly, who have end stage renal disease. And I  
22 also want to touch on the importance of continuous  
23 glucose monitoring, CGM, to improve glycemic  
24 control in Medicare patients, and what additional  
25 research is required.

00119

1 So let's talk about, first, the  
2 importance of glycemic control. You've seen the  
3 data. Metabolic control matters for all people  
4 with diabetes. Yes, we have microvascular,



5 macrovascular, health-related quality of life, I  
6 think most people would agree with that statement,  
7 but I would also suggest that people with diabetes  
8 need better tools to achieve better control.  
9 The DCCT was reported and published in  
10 1993, and it's almost embarrassing when we look at  
11 our type 1 population right now to see how far we  
12 have come, or actually how far we haven't come,  
13 when we see that somewhere between a quarter and a  
14 third of patients with type 1 diabetes in the  
15 United States are still on twice daily insulin.  
16 Intensive management improves diabetes  
17 outcomes. A1c is, as you have heard, a validated  
18 surrogate for diabetes complications. Intensively  
19 managed patients have a lower risk of developing  
20 complications than patients on conventional  
21 therapy who achieve equal A1c levels. Now this is  
22 somewhat of a controversial statement but we can  
23 talk about more about this later. Intensive  
24 management requires frequent monitoring of blood  
25 glucose levels, particularly in people on insulin.

00120

1 With greater insulin deficiency, there is more  
2 glycemic variability and risk for hypoglycemia, a  
3 very important concept, more variability with less  
4 insulin, this is for both type 1 and type 2  
5 diabetes.  
6 And there is an entire debate right now  
7 about glycemic variability, but the research does  
8 support that it appears to fuel vascular  
9 complications by increasing oxidative stress, and  
10 you will hear more about this in the next  
11 presentation by Dr. Kowalski.  
12 So glycemic control is critical for  
13 Medicare patients. Tight glycemic control is  
14 standard for all patients with diabetes, including  
15 the elderly. The ADA, as you've heard, recommends  
16 an A1c of less than seven percent for all  
17 patients, but you have to read the fine print.  
18 The ADA standard of care, as I look at it, is  
19 talking about the populations of patients. For  
20 individual patients, if you read what the standard  
21 says, as close to normal as possible as long as it  
22 is safe, and of course the main inhibiting factor  
23 here is hypoglycemia. So I want to make sure that  
24 the ADA is not misquoted. Yes, for the population  
25 the current standard is less than seven, but

00121

1 that's for a population.  
2 The American Association of Clinical  
3 Endocrinologists actually recommends a lower  
4 target of 6.5 percent or less for the population.  
5 And then the American Geriatrics Society Panel for  
6 Improving Care for Elders with Diabetes, they  
7 recommend an A1c of less than seven percent for

8 patients with good functional status, and less  
9 than eight percent for frail older adults and high  
10 risk patients. These are the current  
11 recommendations.  
12 Research shows that better control  
13 measured by a lower A1c in the elderly prevents  
14 progression of retinopathy; results in better  
15 cognitive function, we haven't talked much about  
16 that this morning; prevents prolonged  
17 hospitalization with exacerbated congestive heart  
18 failure, this is actually a research interest of  
19 mine; increases survival for those on dialysis,  
20 you'll see more about that in a moment; decreases  
21 post-operative morbidity. However, tighter  
22 control must be balanced, and that's the key word,  
23 balanced with increased risk of hypoglycemia,  
24 particularly in the elderly, as we think about the  
25 elderly patient on insulin, the elderly patient

00122

1 with type 1 diabetes.  
2 Let me give you an example. This is a  
3 Medicare-aged type 1 patient. This was my very  
4 first patient when I arrived from St. Louis to  
5 Seattle in the summer of 1990. She's now 78 years  
6 old. She was diagnosed with her diabetes in 1956  
7 and I saw her in July of 1990 after an episode of  
8 severe hypoglycemia which was associated with a  
9 cardiac arrest. At that time she was on one  
10 injection of insulin a day, she was not doing any  
11 glucose testing as her insurance at the time would  
12 not provide her with the strips. Since then she's  
13 had bypass surgery, she's had a thyroidectomy for  
14 thyroid cancer, she's had bone fracture, she's had  
15 treatment for peripheral retinopathy, and she's  
16 had cataract removal. However, she is active, she  
17 walks her dog daily, she's on multiple injections  
18 of glargine/lispro, she lives alone, and she has  
19 not had any further severe hypoglycemia since  
20 receiving the multiple injections of glargine and  
21 lispro, but I think the other issue is she's  
22 checking her glucose five to six times per day,  
23 which means every six months I have to fill out  
24 the Medicare paper work saying why she needs to do  
25 this, and I'm happy to do that.

00123

1 This is type 1 care in another Medicare  
2 population group, a kidney transplant patient,  
3 this is another patient I follow. He's 36 years  
4 old, type 1 diabetes, had a kidney transplant. He  
5 has a peripheral retinopathy, he's already had a  
6 lower extremity amputation, he's already had a  
7 mild myocardial infarction, and he's 36 years old,  
8 and yes, he has gastroparesis. He's tested four  
9 to six times per day. His major problem has been  
10 in the past hypoglycemia awareness, so his goal as

11 far as glucose levels are concerned, is to avoid  
12 severe hypoglycemia.  
13 We downloaded this for everybody, and  
14 this is what he looked like. And for this fellow  
15 to be successful, even when the average is, he has  
16 tremendous glycemic variability but he is able to  
17 avoid hypoglycemia with his gastroparesis because  
18 he is now intentionally keeping his blood sugars  
19 as high as he can.  
20 So let's think about this for a moment.  
21 People with diabetes make up a significant portion  
22 of those with end stage renal disease. In fact,  
23 the incidence report of end stage renal disease by  
24 primary diagnosis, for all the diabetes, it's over  
25 200,000 cases, 10 percent are type 1 patients with

00124

1 a median age of 47 years, 90 percent are type 2  
2 patients with a median age of 65 years, and almost  
3 a quarter die in their first year. Sobering  
4 statistics, and yet, 44.5 percent of all end stage  
5 renal disease in the United States is from  
6 diabetic nephropathy.  
7 When you look at, these are incident  
8 counts and adjusted rates by primary diagnosis,  
9 what's fascinating is going all the way back to  
10 1980 when you see the different etiologies of end  
11 stage renal disease, there aren't a lot of  
12 differences back in 1980. But as one goes through  
13 the years and the decades, one can see that the  
14 slope of the curve and the number of these  
15 diagnoses continue to increase. Diabetes is far  
16 outleading the pack and that's why it is such a  
17 huge public health problem today.  
18 Now what studies in end stage renal  
19 disease patients illustrate the importance of  
20 glucose control? Most of the data when one goes  
21 looking at diabetic kidney disease and glucose  
22 control is dealing with the (inaudible) stage, not  
23 people with advanced nephropathy or in end stage  
24 renal disease.  
25 This is a recent study that was just

00125

1 published this summer in Diabetes Care. 114 end  
2 stage renal disease patients, most of them with  
3 type 2 diabetes. Their mean age was 60 years,  
4 duration of diabetes 45 months. And the groups  
5 were divided into good Alc, which they said was  
6 less than 6.5 percent, fair was between 6.5 and  
7 eight, and poor control, which is an Alc above  
8 eight. The clinical characteristics of the three  
9 groups were identical except for the Alc. Now  
10 what one sees is that those people with the  
11 highest Alc, above eight percent, have the worst  
12 survival rates, survival curve. The lower Alc  
13 groups had better survival curves over the course

14 of almost 90 months. So the conclusion was that  
15 in diabetic chronic kidney disease patients on  
16 regular hemodialysis, poor glycemic control is an  
17 independent predictor of prognosis. This was not  
18 an intention to treat analysis, but it was still,  
19 I think, an important observational study.  
20 What about the potential of continuous  
21 glucose monitoring? I recently, if one goes on  
22 line and looks at all the different sites, this is  
23 what the patients are talking about. Let's think  
24 about this for a moment.  
25 Let's go back to our patient that I

00126

1 showed you who was trying to avoid severe  
2 hypoglycemia because of his hypoglycemia, he had a  
3 transplant, he had gastroparesis. This was him  
4 two years ago. The same patient at the time had  
5 an Alc of 6.4, he was having repeated severe  
6 hypoglycemia, and CGMS, retrospective CGMS was  
7 performed on this patient on a morning that he  
8 awoke with an extremely high blood glucose. So he  
9 gave himself insulin at six in the morning and he  
10 saw that by eight o'clock his glucose was 125, so  
11 he thought it was safe to drive to work. Well,  
12 was he?  
13 Take a look. Here he is at 8:15, his  
14 glucose level was 125. At 8:20 he goes west on  
15 Interstate 90 to Seattle, and here he is. Right  
16 here when he gets to Mercer Island, he's down in  
17 the 40s. Do you know how many people take that  
18 route to Mercer Island? I hope you didn't this  
19 day, because you're driving next to somebody with  
20 a glucose level in the 40s, and he had no idea.  
21 He had no idea after he checked his glucose level  
22 here that his glycemic slope was coming down about  
23 as fast as you can see physiologically, and he had  
24 no idea that he was driving on the Interstate west  
25 towards Seattle, and his glucose level was

00127

1 actually down here. He just didn't know.  
2 So glucose trends lead to better  
3 diabetes management decisions, and this is what we  
4 are learning now with the introduction of CGM.  
5 With severe insulin deficiency, one needs more,  
6 not less data, to understand the concept of  
7 glycemic trending. Finger stick testing provides  
8 a point-in-time data while continuous monitoring  
9 supplies directional and trend information on  
10 blood glucose levels. Knowing whether the glucose  
11 is trending up or down gives meaning to the  
12 numbers. Looking at cross-section periods of  
13 time, this sort of makes sense. If one is about  
14 to sit down and eat and the glucose level is 120,  
15 you're going to do something different with the  
16 insulin if you're going down quickly compared to

17 going up quickly. And doing four tests a day, the  
18 formula at that time, we just don't know that  
19 information. So we get more informed and  
20 effective treatment decisions.  
21 CGM holds promise in improving glycemic  
22 control, and there have been several studies  
23 published. The first one was presented last year  
24 at the ADA from Medtronic. The Medtronic Guardian  
25 RT showed a statistically significant drop in Alc

00128

1 compared to the control group, significant drops  
2 in times below 70 and above 190, and significant  
3 drops in the number and time spent hypoglycemic.  
4 Another study published earlier this  
5 year from DexCom showed that subjects blinded,  
6 which was a control group, and unblinded, which  
7 was a display group, to continuous glucose  
8 monitoring, as far as hypoglycemia was concerned,  
9 as assessed by two different thresholds -- I can  
10 see my time is blinking, so I will go quickly  
11 here, you can see that when patients are knowing  
12 their glucose levels, they have less time spent in  
13 the high range and more time spent in the target  
14 range.  
15 This is a Medicare patient of mine who  
16 is in a study with Medtronic MiniMed called the  
17 Star 1 study. He's a 72-year-old man. This is  
18 what he looked like on his first week on the  
19 continuous glucose sensors, not very good, his Alc  
20 was in the high sevens. This was him a couple of  
21 weeks later, knowing an event and acting on it as  
22 it is happening.  
23 So we need more research, we need  
24 studies, because studies today have not included  
25 significant numbers of Medicare patients and

00129

1 additional research is needed to determine the  
2 value of CGM to Medicare patients to reduce Alc,  
3 the ability to decrease hypoglycemia and related  
4 adverse events, ER visits, falls, better wound  
5 healing, cognitive function, quality of life,  
6 there are many more.  
7 So, a sensor-augmented pump at least in  
8 my opinion is the next logical step. CGM could be  
9 combined with existing pump technology to provide  
10 a platform for an external artificial pancreas,  
11 that's what we are looking for. And given the  
12 increased life expectancy for both the general  
13 population and those with type 1 diabetes,  
14 Medicare recipients should have access to these  
15 newer technologies as we prove the effectiveness  
16 from clinical trials.  
17 Now, there are three major barriers.  
18 First, the access to improved tools to reach  
19 glycemic targets, we've heard about these tools,

20 we don't all have access to these. We need access  
21 to providers and health care teams knowledgeable  
22 enough as to the special needs of both type 1  
23 diabetes and the elderly.  
24 What's really key here is the medical  
25 students. Our medical students in Seattle get one

00130

1 afternoon on diabetes as medical students. There  
2 is no way we can treat a complicated disease such  
3 as type 1 diabetes, or for that matter even type 2  
4 diabetes, if the training isn't provided.  
5 And finally, we don't have good  
6 systems. This was mentioned earlier today. We  
7 actually showed a study at a recent meeting, this  
8 was a type 2 study, a randomized controlled trial,  
9 where one year into the trial, we could reduce Alc  
10 by 1.1 percent when the participant was  
11 downloading their meter into the software on line,  
12 and having physical interaction with the nurse in  
13 our clinic. Those types of systems don't exist  
14 with the way we take care of patients today.  
15 So our conclusion is, metabolic control  
16 matters. Mother Nature keeps score. All patients  
17 with diabetes benefit from improved glycemic  
18 control, it reduces long-term complications from  
19 hyperglycemia. It limits short-term risks from  
20 hypoglycemia. CGM shows particular promise for  
21 certain groups of Medicare patients who are  
22 particularly challenging to manage, type 1 over 65  
23 and ESRD patients who are so tough because so many  
24 of them have vascular diseases. CGM offers  
25 additional data, including trend data, which can

00131

1 help reduce hypoglycemia while helping to avoid  
2 hypoglycemia. We need additional studies,  
3 especially for this population. Thank you all  
4 very much for your time.  
5 DR. GARBBER: Thank you. Our next  
6 speaker will be Aaron Kowalski.  
7 DR. KOWALSKI: My name is Aaron  
8 Kowalski, and I will be presenting on behalf of  
9 the Juvenile Diabetes Research Foundation today.  
10 I have no conflicts other than, like Irl, I do  
11 have diabetes as does my brother, and I'll start  
12 right off.  
13 The Juvenile Diabetes Research  
14 Foundation has a mission to cure diabetes and its  
15 complications. We are the leading charitable  
16 organization funding type 1 diabetes research and  
17 this year it will be at least \$140 million,  
18 looking at both diabetic complications and  
19 biological indications. It was founded by and is  
20 largely run by people who have a direct connection  
21 to type 1 diabetes.  
22 I want to take a step back from some of

23 the, we did hear of the specific studies, and just  
24 get to sort of the heart of the issue from our  
25 perspective at the JDRF. The bottom line is,

00132

1 people with diabetes now are struggling to  
2 maintain glycemic control, and the leading  
3 organizations stress, the ADA, AACE, American  
4 Geriatrics Society, that glycemic control is  
5 critical, and I will probably take issue with some  
6 of the points that people made with the DCC trial.  
7 With all due respect to Dr. Koller, I think the  
8 bottom line from my perspective, and I run the  
9 JDRF strategic research project, but I also ran  
10 the JDRF study section on diabetic complications,  
11 is that the closer one person gets with either  
12 type of diabetes to a non-diabetic level of  
13 glycemic control, the risk of diabetic  
14 complications becomes closer and nearer that that  
15 similar person would have diabetes.  
16 And as Dr. Hirsch pointed out, I think  
17 we also have to note that these levels of Alc's  
18 that we're shooting for as our targets are  
19 actually hyperglycemic. A person with an Alc, and  
20 I'm speaking to the panel here, who has an Alc of  
21 seven is actually walking around with a mean blood  
22 glucose of probably 20, which gets to the level of  
23 you on the panel who don't have diabetes.  
24 When we get to some of the issues of  
25 why aren't we seeing dramatic decreases in

00133

1 diabetic complications when we're decreasing from  
2 eight to 7.5, these people are still severely  
3 hyperglycemic.  
4 Here's another interesting point. I  
5 was recently at the DMICC meeting at NIH. CMS was  
6 present, FDA, many of the institutes at the NIH.  
7 As Dr. Hirsch pointed out, it's a bit depressing  
8 that even many years after the DCCT, we are not  
9 improving the Hemoglobin Alc scores for all  
10 patients with diabetes across the United States.  
11 And a number of people presented at this meeting,  
12 CDC did, Kaiser did, the Veterans Administration  
13 did. Alc levels have plateaued over the last 20  
14 years and most people are not hitting target  
15 levels. So if we're setting the Alc target level  
16 at seven, which again, I won't say is  
17 hyperglycemic, the majority of people in the  
18 United States aren't there, in fact the average is  
19 in the eights, so there is still plenty of room  
20 for improvement.  
21 Again, we can debate the UKPDS and the  
22 DCCT, but the keystone message as we look at this  
23 data is the closer you are to a non-diabetes level  
24 of glycemic control, you are at significantly  
25 reduced risk of developing diabetic complications.

00134

1 So we need to get lower. And when we talk about  
2 people who are older and can you realize a benefit  
3 of improving your glycemic control in a short  
4 amount of time, the answer is yes, that improved  
5 glycemic control can be seen in reductions of  
6 complications fairly quickly.  
7 We spend a lot of research funding into  
8 the diabetic complications. What is important to  
9 realize here when we think about distinguishing  
10 type 1 and type 2 diabetes, the bottom line is,  
11 the molecular pathways that are stimulated in  
12 diabetes are stimulated by hypoglycemia, and  
13 they're very similar or identical in type 1 or  
14 type 2. And there are three main pathways, and  
15 these stimulate the majority of microvascular  
16 complications and actually probably do stimulate  
17 the macrovascular complications of diabetes at a  
18 longer time frame.  
19 So why aren't we getting to better  
20 target glycemic control levels? One, obviously  
21 for people with type 1, but also for people with  
22 type 2 diabetes, is hypoglycemia, it's a counter-  
23 balance. There is much literature here about the  
24 nature of the obstacle of hypoglycemia in  
25 achieving target glycemic control levels, it's a

00135

1 significant source of morbidity in adults who have  
2 diabetes at all. Dr. Hirsch referred to somebody  
3 driving a car with a blood sugar of 40, that's  
4 obviously very risky. However, elderly people are  
5 at an increased risk of hypoglycemic events, and  
6 unfortunately elderly people have a reduced  
7 awareness of hypoglycemia, so that can be a  
8 further counter-balance and further obstacle to  
9 getting to your glycemic target because they don't  
10 sense their blood sugar dropping.  
11 We also think of glycemic variability,  
12 because one thing that people were doing who were  
13 trying to avoid hypoglycemia or trying to get as  
14 close to target as close as possible, and Dr. Bode  
15 showed that both people with type 1 and with type  
16 2 experience tremendous variability in their  
17 day-to-day lives, and these were people who were  
18 monitoring their blood sugar quite intensively, up  
19 to nine times a day.  
20 So it's impressive that when we think  
21 about a single point measurement and where we need  
22 to go, and all of us argue that we need to go to a  
23 target as well, even with very intensive  
24 management, it is very difficult to get at the  
25 target levels of glycemic level, and people with

00136

1 type 1 and type 2 are spending a lot of time on



2 the target. Intensive management probably reduces  
3 the risk of developing diabetic complications both  
4 through reducing mean blood glucose levels, but  
5 also probably through reducing the swings in blood  
6 glucose.  
7 And Dr. Hirsch and Michael Brownlee  
8 wrote a review about this, and some interesting  
9 data has come out recently that shows older people  
10 with type 2 diabetes who have elevated Alc's, but  
11 when they compare people with significant  
12 variability to those with less variability, those  
13 with more variability stimulate those diabetic  
14 complication pathways that lead to the oxidative  
15 stress that we believe causes diabetic  
16 complications.  
17 This is a reiteration of a lot of what  
18 Dr. Hirsch pointed out, but again, I think we  
19 really have to be careful when we're interpreting  
20 this data, that you look at the Alc level and you  
21 look also and compare it to a person without  
22 diabetes, it's clear that, the literature is  
23 overwhelming that lower Alc leads to less  
24 microvascular complications, amputations, strokes,  
25 and fewer heart attacks. And these benefits are

00137

1 seen, again, reiterating what Dr. Hirsch said, in  
2 all people with diabetes, including the elderly.  
3 And we reference some papers here, and this was  
4 the same graph that you saw Dr. Hirsch present.  
5 It has been pointed out, the literature  
6 is sparse in people who are over 65, and I won't  
7 argue that we need more studies, but I think it's  
8 very suggestive. This is in renal disease,  
9 increased survival, and again, it's a base, but  
10 what's significant for somebody with diabetes is  
11 how much can we push? I won't argue with anybody  
12 who, you know, with this increased survival, it is  
13 significant for any one single person who lives  
14 longer on dialysis.  
15 Post-operative morbidity, we've been  
16 talking a lot today about the traditional  
17 macrovascular complications of diabetes. There  
18 are also, hypoglycemia is very important here in  
19 the operating situation, coming out of an  
20 operation, and we know that hypoglycemia affects  
21 macrophagic function, for example, preventing  
22 infective complications, and glycemic control  
23 levels lead to better post-operative morbidity.  
24 Dr. Hirsch pointed out this study in  
25 people with congestive heart failure, again, a

00138

1 retrospective study, but again, shows that people  
2 who are under better glycemic control do better in  
3 this situation.  
4 One of the things that I often heard

5 while working in the area of diabetic  
6 complications was, well, you don't see a  
7 regression in diabetic complications with tight  
8 glycemic control. Now here is a reduction in  
9 progression, but again, tight glycemic control  
10 right now, we're talking about Alc around seven,  
11 we're seeing some studies now shooting for lower  
12 than six, because truly the normal range is  
13 topping out at six.  
14 Here is a study that looked at people  
15 without, not on insulin, but older, and looking at  
16 their glycemic control. And it showed you could  
17 slow the rate of the progression of diabetic  
18 retinopathy through this tighter control, control  
19 of diabetes mellitus being the most important  
20 factor associated with the progression.  
21 Cognitive functions, when we think  
22 about the utilization of newer technologies in  
23 people who are older with diabetes, well,  
24 cognitive function is obviously important. There  
25 is interesting literature suggesting that good

00139

1 glycemic control improves cognitive function in  
2 these people. We often at the JDRF, when we think  
3 of our younger patients with type 1 diabetes, we  
4 think of the cognitive function associated with  
5 hypoglycemia, but there is probably damage done  
6 with hyperglycemia in the brain as well.  
7 So I will argue that we need to step  
8 beyond single point measurements to more intensive  
9 management with continuous sensors. The bottom  
10 line is, to get better glycemic control and to get  
11 to near normal glycemic control levels, you can't  
12 do it with just finger sticks alone. I was there  
13 for urine testing and then the advent of blood  
14 glucose testing. We need more and more testing to  
15 get to target levels and now we need to take it to  
16 the next level, which is continuous sensing.  
17 The preliminary data is very, very  
18 suggestive, it's not there yet for the Medicare  
19 population, but we at the JDRF are looking at  
20 one-week sensor studies. Dr. Hirsch referred to  
21 some of the preliminary data that we've seen,  
22 talked about the benefits of seeing trends, if  
23 you're going up or down is much more important  
24 than just seeing a single point measurement. I  
25 can give you the example of my brother, who pulled

00140

1 off on the side of the highway after just, as Dr.  
2 Hirsch pointed out, testing and getting into a car  
3 with normal reading, and then it was dropping  
4 rapidly and he didn't know it.  
5 Ultimately we need to close the loop to  
6 get the really normal blood sugar levels. I think  
7 the first step will be continuous monitoring, but

8 we need to bring these technologies to all people  
9 with diabetes, young and old, and we need to do  
10 more research. What we are looking for from this  
11 panel and others is feedback on what are the  
12 important outcome measures and how do we fund the  
13 best studies to demonstrate these types of  
14 outcomes. We are looking to fund studies in older  
15 people, randomized studies, looking at not only  
16 Alc and hypoglycemia, but looking at other  
17 important aspects, and looking at the economic  
18 impact of that. We know that diabetes is a major  
19 economic drain on CMS. And those are my  
20 presentations, thank you.

21 DR. GARBER: Thank you. We will now  
22 turn to the scheduled public presenters, and first  
23 up is Kevin Peterson. For this segment, each  
24 presenter has exactly six minutes to speak. Is  
25 Dr. Peterson here? And if Dr. Walter will be

00141

1 prepared by sitting in the next speaker chair,  
2 please.  
3 DR. PETERSON: I would like to thank  
4 the committee on behalf of the American Academy of  
5 Family Physicians for the opportunity to talk. I  
6 have no conflicts of interest related to  
7 self-monitored glucose testing. I don't have any  
8 slides so you can turn that off. Understanding  
9 that I have six minutes, I'm not going to distract  
10 you with some slides, actually.  
11 I represent over 97,000 physicians  
12 providing most of the care to most of the people  
13 with diabetes in this country. I don't mind  
14 telling you that family care physicians provide  
15 more outpatient visits for people with diabetes  
16 than all of the other specialties in the country  
17 combined. So the primary care physicians are  
18 really the ones in the trenches and they provide  
19 first contact to people that have any illness that  
20 walks in the door. Diabetes is a temporal kind of  
21 disease in this country, it's the seventh most  
22 common disease that walks in the door, but to put  
23 it in perspective, it only makes up about four  
24 percent of what we see. For better or worse, I  
25 wish we could see these patients longer, but for

00142

1 better or worse, the average visit is about 12  
2 minutes of face-to-face time.  
3 Now because of time constraints, I  
4 don't have slides, I want to just talk you through  
5 this. The evidence has been summarized so I'm  
6 going to speak to you as a generalist. I'm going  
7 to try to use some broad and some common terms,  
8 and give you the perspective in this country, from  
9 the trenches of a generalist.  
10 First I would like to, on behalf of the

11 Academy, I would like to applaud the  
12 evidence-based medicine analysis given by Dr.  
13 (Inaudible) from Tufts. I think the Academy has  
14 gone on record as fully supporting an  
15 evidence-based approach and seeing that as really  
16 the best way to prevent harm to patients by  
17 avoiding some of the natural and inherent bias  
18 associated with expert opinion.  
19 Three are 30 questions that we are  
20 asked to address and rather than taking 10 seconds  
21 for each one, I'm going to just kind of touch on  
22 some of the major points. First of all, kind of  
23 the major issue, what are the clinical outcomes  
24 associated with glucose monitoring? Well, in the  
25 trenches and in real life I would say most common,

00143

1 that depends. Self-monitored glucose testing in  
2 itself doesn't change blood glucose at all, it  
3 doesn't change Alc at all. Medication, lifestyle,  
4 weight loss, those lower blood sugar. Glucose  
5 monitoring is a tool, it's not a treatment. And I  
6 guess if I was going to use a simplistic analysis,  
7 I'd say if you had bad carpentry, you really can't  
8 blame the tools.  
9 There are a lot of reasons that Alc's  
10 aren't what they should be. There are a lot of  
11 things that go into an Alc such as pancreatic  
12 function and resistance, and how about access to  
13 medications. The effect of Alc, if I can check my  
14 blood sugar but can't afford the medication, my  
15 Alc won't change. So to me, I think we need to  
16 change the paradigm a little bit and say that  
17 blood glucose, testing levels of blood glucose  
18 will help promote more appropriate therapy, it  
19 helps promote the correct choice of therapy, and  
20 it helps avoid hypoglycemia and contributes to  
21 patient safety.  
22 Well, what do I mean by that? Well,  
23 without glucose management, therapy can't really  
24 be satisfactorily targeted. Do all people need to  
25 self-monitor? Well, I suppose if you've got

00144

1 well-controlled blood glucose, you're on  
2 metformin, maybe you don't have to check very  
3 much, but that's a really small percentage, so in  
4 order to really target the medications that are  
5 appropriate, you do need to self-monitor blood  
6 glucose.  
7 Should targets be individual? Well, of  
8 course they should. Targets need to be  
9 individualized according to comorbidities and  
10 whether a person is vulnerable. A hypoglycemic  
11 event in a ten-year-old child is very different  
12 than a hypoglycemic event in an 80-year-old woman  
13 living alone. Should we target a life expectancy?

14 Does it have an infection point then? I think so,  
15 but I don't think that anybody knows. There's  
16 like strong opinions on both sides of that issue.  
17 Lower targets? With lower targets there is  
18 certainly a higher risk for hypoglycemia, and  
19 people with higher Alc's may rarely need  
20 monitoring, but again, we might want to prevent  
21 having high Alc's. So as targets decrease, just  
22 as, in order to provide better safety issues, we  
23 need to continue to monitor blood glucose.  
24 You know, I'm not sure of how many of  
25 you have sat in front of somebody and talked to

00145

1 them and had them describe a hypoglycemic event  
2 while driving, but you will sit there glued to  
3 your chair, and the only defense against  
4 hypoglycemia that I can see is self-monitored  
5 blood glucose.  
6 So, I've got what, two minutes? What's  
7 the optimal therapy? Well, we can get into a  
8 whole lot of detail, they want these, and are  
9 these associated outcomes, and yes, I suppose they  
10 are tentatively tied to cardiovascular, or to  
11 mortality, and they seem to be unequivocally tied  
12 to morbidity. And it's, I think that the ACCORD  
13 study will give us a much better answer, but you  
14 know, if you use kind of, again, a kind of common  
15 sense perspective, if it takes eight to 15 years  
16 for complications to develop, then when a person  
17 is 65 years or older when they are diagnosed, they  
18 may have already had the disease for five or ten  
19 years, and again, it's highly likely that they  
20 will be at risk for developing some of the  
21 complications or the morbidities of diabetes.  
22 DR. GARBER: Dr. Peterson, your time is  
23 up.  
24 DR. PETERSON: Can I sum up? Can I  
25 just say, is it important for people over 65,

00146

1 absolutely, it's absolutely important for the  
2 appropriate medication. It's absolutely important  
3 for, depending on what a person's current Alc is,  
4 it depends on what a target Alc will be. There  
5 may be subgroups that it's not necessary, pretty  
6 small subgroups. Blood glucose monitoring  
7 provides for better education, better safety,  
8 provides for better lifestyle modifications, more  
9 appropriate therapy, and if those elements are  
10 compromised and that will lead to higher Alcs.  
11 You know, in a situation where Medicare is now  
12 reimbursing primary care physicians based on the  
13 percentage of patients with a lower Alc, it really  
14 seems, it seems antithetical to now say well,  
15 maybe a higher Alc doesn't make a difference, so  
16 we won't provide the tools to help us get those

17 numbers down.  
18 DR. GARBER: Thank you. Next,  
19 Dr. Wolpert.  
20 DR. WOLPERT: I'm going to be focusing  
21 on a quite a bit narrower perspective with the  
22 risks of hypoglycemia in the Medicare population  
23 with type 1 diabetes. First, some disclaimers. I  
24 am on the advisory board for Abbott Diabetes Care  
25 and Lifescan, and I've also received grant support

00147

1 from Medtronic for studies related to CGM.  
2 To give you some background, I'm based  
3 at the Joslin Diabetes Center in Boston. Our  
4 clinic population includes 592 patients with type  
5 1 diabetes who are covered by Medicare, so we do  
6 have considerable experience with some of the  
7 clinical issues that these patients grapple with.  
8 And the issue that I'm going to raise here, that  
9 even though these patients generally aren't on the  
10 radar screen in terms of most Medicare coverage  
11 decisions, they have specific needs that need to  
12 be addressed and managed in your decisions.  
13 In the course of my clinical  
14 activities, I have been involved in a trial  
15 involving CGM, so I have an appreciation of some  
16 of the clinical utility that one can get from this  
17 technology. To give you some background on some  
18 of the specific challenges one faces with type 1  
19 diabetes patients who are elderly, one of the main  
20 issues that these people grapple with and  
21 particularly as they get older is, more  
22 significant than others, is hypoglycemia, which  
23 obviously in this population, you have a lot of  
24 significant other burdens.  
25 And what's clear from some studies is

00148

1 that a lot of other related issues involving these  
2 elderly patients are affected by this. For  
3 example, there is a study that shows for patients  
4 who are elderly and develop severe hypoglycemia  
5 are three times as likely to end up having to go  
6 to an ER or to get EMT attention because they  
7 don't have family members who can assist them as  
8 readily.  
9 There is also a lot of data in  
10 relationship to hypoglycemia and elderly patients  
11 and how this impacts on accidents and falls. As  
12 you know, this is one of the main expenditures for  
13 the Medicare population. As you know, Medicare  
14 spends anywhere from \$17,000 to \$18,000 for each  
15 hip fracture. And a study from our registry also  
16 indicates that in individuals who have insulin-  
17 treated diabetes, they are twice as likely to end  
18 up with a fracture as similar patients who don't  
19 have diabetes. Added to that is the fact that

20 people with diabetes or related comorbidities  
21 often will not be as agile, will not be able to  
22 respond as readily to other risk factors. So the  
23 picture I'm trying to present to you is that this  
24 is a major issue in the Medicare population.  
25 What we know from clinical practice, I

00149

1 can tell you that these patients are among the  
2 most brittle people with type 1 diabetes that one  
3 encounters. Many of them receive insulin or other  
4 therapy, they may have high insulin antibody  
5 levels, and sometimes these insulin kinetics and  
6 clinically their diabetes is very difficult to  
7 manage. And CGM obviously offers a tool which  
8 will help us define what the glycemic protocols  
9 are, and it will help the patient actually act  
10 more effectively to keep their glucose in range.  
11 One of the main issues one runs into  
12 with the elderly population generally in  
13 hypoglycemia risk is the fact that it's been shown  
14 in plan studies that in nondiabetic elderly  
15 patients that when you lower the glucose level,  
16 there is actually an (inaudible) tremulousness,  
17 sweats, et cetera, but what they found in these  
18 studies in nondiabetics who are elderly, they only  
19 started developing symptoms when they were 55.  
20 (Inaudible) what's thought to happen is that  
21 people who have a hypoglycemic episode, they will  
22 then have attenuation of their symptoms; because  
23 they have an attenuation of symptoms, they will  
24 likely have further hypoglycemic episodes, so you  
25 have a vicious cycle of how do you handle the

00150

1 hypoglycemia.  
2 The issue here is that because these  
3 people recognize the value of staying at a level  
4 that's much closer to the level (inaudible).  
5 So I think the issue here, as pointed  
6 out in some of the other speakers, is that the  
7 data that is accumulating certainly indicates that  
8 CGM shows promise in showing reductions in  
9 duration of hypoglycemia for patients in that  
10 range. I have seen only one study which actually  
11 addressed individuals who covered into the  
12 Medicare population group, but I think clearly  
13 based on this evidence, there is the need for  
14 research studies to address the issue for elderly  
15 patients with type 1 diabetes. My hope is that if  
16 the data does show a comparative benefit, that my  
17 patients will be able to get CGM, and I thank you  
18 for considering this issue. Those are my  
19 comments. Thank you very much.  
20 DR. GARBER: Thank you very much.  
21 Next, Dr. Richard Bergenstal.  
22 DR. BERGENSTAL: Good morning, rapidly

23 approaching afternoon. It's a pleasure to have a  
24 chance to talk to you. I just want to put in  
25 context, our diabetes center is part of a

00151

1 multispecialty clinic with 300 primary care  
2 physicians, so we have a lot of experience working  
3 with primary care, and our center has done studies  
4 with Abbott, Lifescan, Roche, DexCom, and  
5 Medtronic, and so I'm heavily conflicted but not  
6 biased, the only bias being what's actually best  
7 for the patients we take care of with type 1 and  
8 type 2 diabetes.  
9 We're convinced that the evolving  
10 models must include some sort of team care and  
11 that the patients with diabetes have to be  
12 educated and use, in this case the glucose  
13 monitoring data, or the data that we're getting is  
14 not terribly helpful. So one should look at that  
15 in some way to link the use of monitoring to  
16 actual education about the data and putting it in  
17 perspective. You have seen plenty of the data by  
18 this point in the morning.  
19 Our center is one of the few that did  
20 both the DCCT and is now doing the ACCORD trial,  
21 and we would love the ACCORD trial to look like  
22 the DCCT in terms of separation that would move  
23 both curves down about two percent, and that's  
24 what our goal is in that study, and we're learning  
25 a lot. And we learned that there is hypoglycemia

00152

1 in Type 2 when you start to get to an Alc of six  
2 percent. If you want to have an Alc of eight  
3 percent, or 8.5, don't bother to monitor at all.  
4 If you want to get to an Alc near normal, glucose  
5 monitoring appears to be essential, as it was in  
6 the DCCT, that study was about monitoring and  
7 feedback and we believe that ACCORD will be  
8 similar, and we believe that was partly what did  
9 not happen in UKPDS enough, was the monitoring and  
10 the feedback, and aggressive adjustment of  
11 medications.  
12 So the Alc, as our excellent presenters  
13 have said, the glucose control is much more than  
14 an Alc, and we await more definitive data, but are  
15 pretty convinced at this point that the red curve  
16 is much more detrimental than the green, even  
17 though the Alc may be similar. You will not know  
18 this without either frequent point monitoring or  
19 better yet, continuous glucose monitoring, and you  
20 have heard the references.  
21 We're not doing a very good job, as  
22 we've heard to date, and some very recent data  
23 says maybe we're coming up to the 50-50 point, but  
24 that's just an Alc less than seven, as has been  
25 pointed out. I'm convinced that we need better



00153

1 systems and that's what most of our work today is  
2 focusing on, better systems of care from point of  
3 care to teams, to support for the primary care  
4 12-minute visit. It has to, we have to have  
5 someone looking at the glucose monitoring data  
6 with the patients who are teaching them how to  
7 look at it.  
8 So in type 1 diabetes and type 2 on  
9 insulin, there is no question that it's critical.  
10 You have heard over and over again of these  
11 studies summarizing the non-insulin users, a .4  
12 percent reduction in A1c, a .4 reduction in A1c in  
13 two meta-analyses that included the same and  
14 slightly different studies again.  
15 The Schwedes study I thought was very  
16 interesting and important, not so much for  
17 difference in nutrition, but difference in, the  
18 intensive group actually asked the patients to  
19 reflect on their glucose monitoring data. If  
20 every patient took a Sunday evening and looked at  
21 their number and did something about them for the  
22 next week, we would see I think dramatically  
23 different results.  
24 Dr. Karter's nice data says if you  
25 actually follow some guidelines, you do better.

00154

1 And I'll just tell you briefly about a consensus  
2 conference we had, and this was an international  
3 one, and so we got 1,500 of them together, leading  
4 experts, and did the same thing we're doing today,  
5 and had some of the very same presenters. And we  
6 concluded that people should monitor with the  
7 current data we have available to us today, more  
8 if you're not at target and more if you're on  
9 insulin, less if you're on target and not on  
10 insulin. And our findings are available to the  
11 panel obviously to look at, the September of 2005  
12 American Journal of Medicine it was published. So  
13 we think that all people, after we reviewed all  
14 the data, this international group, should monitor  
15 proportionately to your therapy and to the goals  
16 you're trying to achieve.  
17 And Dr. Karter presented his own data  
18 better than I can summarize for him, so I'll just  
19 agree that with the current data we have  
20 available, as imperfect as it is, we have to make  
21 day-to-day clinical decisions, and we're convinced  
22 that glucose monitoring is part of it and  
23 convinced that knowing your data even more  
24 frequently with continuous monitoring will take us  
25 one step further.

00155

1 I'll spend just the remaining minute on

2 looking at an algorithm, not saying that a  
3 specific one may be better than another, but just  
4 saying it's all about moving, and moving means by  
5 nine months you should either be on insulin or  
6 less than seven, but you are only going to move if  
7 you have data to respond to. And people, I would  
8 agree, on diet therapy alone, maybe they don't  
9 need to monitor after six months, but if you look  
10 at the current ADA guidelines, there is no such  
11 patient. You are started on that form on the day  
12 you're diagnosed, so all patients should be doing  
13 some monitoring.  
14 And the other thing I would just throw  
15 out to the panel is, there is probably more of a  
16 difference between type 1 and type 2 than what I  
17 believe is the middle ground, to say more type 2  
18 is trying to get specific, you've had it for 10 or  
19 15 years, and it acts a lot more like type 1 in  
20 terms of needing basal bolus, intensive insulin  
21 therapy, they don't have quite as much  
22 hypoglycemia. But when you're trying for an Alc  
23 of less than six or near normal, monitoring is  
24 critical, and we look forward to testing some of  
25 these concepts in the ACCORD trial to really

00156

1 verify that. Thank you.  
2 DR. GARBER: Thank you. Dr. Clark.  
3 DR. CLARK: I'm Dr. Nathaniel Clark,  
4 I'm the vice president for clinical affairs and  
5 new strategies for the American Diabetes  
6 Association. The American Diabetes Association  
7 would like to comment briefly on the three  
8 questions that are covered in the published  
9 questions for this committee meeting.  
10 Question 1: What is the relationship  
11 between increased glycemic control and the  
12 reduction in microvascular or macrovascular  
13 complications? The two major studies which are  
14 generally used as evidence of this relationship  
15 are the DCCT and the UKPDS, as you've heard this  
16 morning. Both of these trials produced  
17 unequivocal evidence that there was improvement in  
18 glycemic control and resulted in statistically and  
19 clinically significant reduction in microvascular  
20 complications. Neither of these trials produced  
21 specific data regarding those over the age of 65.  
22 While the original data from the DCCT  
23 only suggested there was a relationship in regard  
24 to macrovascular disease, as was pointed out, the  
25 (inaudible) has now produced quite convincing

00157

1 evidence of this relationship. While there are no  
2 trial data of significance in those over 65 to  
3 document the relationship between improved  
4 glycemic control and a reduction in microvascular/

5 macrovascular complications, it is widely accepted  
6 by the diabetes community that such relationship  
7 exists regardless of age. Clearly, the duration  
8 of diabetes or hypoglycemia is a key determinant  
9 of the risk of complications and the patient's  
10 expected life expectancy is a key to determining  
11 the potential benefit of maximizing blood glucose  
12 control.  
13 In setting specific goals for glyceimic  
14 control as measured by Alc levels, the ADA  
15 suggests that the patient's Alc goals need to be  
16 individualized and a number of factors be  
17 considered, including the age of the patient, the  
18 clinical status of the patient, and the patient's  
19 history of hypoglycemia.  
20 Question 2, what is the relationship  
21 between blood glucose testing known commonly as  
22 self-monitoring of blood glucose and the  
23 attainment of glyceimic targets? SMBG is felt to  
24 be an important component of a treatment plan of  
25 patients with diabetes when properly performed.

00158

1 SMBG permits patients with diabetes to determine  
2 their blood glucose levels. Indications of  
3 frequency for monitoring will vary considerably  
4 depending on the clinical situation of each  
5 patient and the purpose for which SMBG is being  
6 used.  
7 It has been suggested that SMBG could  
8 answer three potential questions: One, is my  
9 current treatment program working in regard to  
10 achieving a glyceimic target? Two, what insulin  
11 dose should I take prior to a meal or snack? And  
12 three, if my blood glucose level is in the  
13 hypoglycemic range, then what action needs to be  
14 taken. In considering these three questions, it  
15 has been suggested there is a continuum in regard  
16 to who needs to test and how often they need to  
17 test.  
18 At one end of the continuum in regard  
19 to who needs to test and how often, it would be  
20 the patient with type 2 diabetes whose treatment  
21 is diet and exercise alone. For these patients,  
22 Question 1 is the most significant question; by  
23 monitoring blood glucose level periodically the  
24 patient is able to tell whether their current  
25 treatment is working or not. If the only test

00159

1 that's utilized to determine if the patient is  
2 achieving glyceimic targets is the use of the Alc  
3 with regularly scheduled visits, there is the  
4 potential that getting the person to target will  
5 take an excessive period of time and all the  
6 potential risks of poorly controlled glucose  
7 levels will persist too long.

8 Next on the continuum would be a  
9 patient with type 2 diabetes on insulin alone. In  
10 this group, again, Question 1 is predominant,  
11 although if a patient is on an oral medication  
12 with a tendency towards hypoglycemia, Question 3  
13 would also be operative.  
14 Next on the continuum would be a  
15 patient with type 2 diabetes on oral insulin, and  
16 once or twice daily insulin. Again, in this  
17 patient, Question 1 is predominant, although  
18 Question 3 becomes a more important reason to  
19 test.  
20 Next on the continuum would be a  
21 patient with type 1 diabetes on fixed doses of  
22 insulin. In this patient, Questions 1 and 3 are  
23 predominant.  
24 The last two groups on the continuum  
25 would be the patient with type 1 or type 2

00160

1 diabetes on a basal bolus insulin regimen. In  
2 these two final groups, while Questions 1 and 3  
3 continue to be extremely important, Question 2,  
4 the use of blood glucose monitoring to determine a  
5 dosage also becomes extremely important. On a  
6 basal bolus insulin regimen, boluses are taken  
7 before each meal and snack, and are determined  
8 based on what is the blood glucose level at the  
9 time, as well as the amount of carbohydrates to be  
10 consumed, and any change anticipated in the  
11 physical activity level. Without blood glucose  
12 testing, which is generally performed at a minimum  
13 of four times per day, the basal bolus regimen  
14 cannot be successfully implemented.  
15 In summary, the need for blood glucose  
16 testing and frequency should be based on the  
17 treatment modality employed in the blood glucose  
18 target. We have stated in our guidelines that the  
19 SMBG should be carried out three or more times  
20 daily for patients with multiple insulin  
21 injections, and for patients who do less frequent  
22 injections or oral agents, or medical nutrition  
23 therapy alone, SMBG is useful in achieving  
24 glycemic goals.  
25 The question posed to the meeting

00161

1 involving the evidence base for the value of blood  
2 glucose testing in and of itself in either  
3 effective glycemic control or complication risk,  
4 we are not aware of any definitive data to answer  
5 these questions. SMBG testing was a central  
6 component of both the DCCT and the UKPDS trials.  
7 Both of these trials had positive results. It is  
8 assumed that in the absence of blood glucose  
9 testing and glycemic control, the positive results  
10 obtained could not have been obtained, or would

11 have been obtained with significantly higher rates  
12 of hypoglycemia. Thank you very much.  
13 DR. GARBBER: Thank you. Next will be  
14 Dr. Richard Hellman.  
15 DR. HELLMAN: It is a pleasure to be  
16 here and to follow such excellent speakers. On  
17 behalf of the American Association of Clinical  
18 Endocrinologists, as clinical endocrinologists and  
19 our millions of patients that we see in  
20 consultation, my biases are probably on the basis  
21 of those patients. I have done research for  
22 Medtronic, Adventist, Pfizer, and I'm on the  
23 advisory board of Abbott.  
24 I think there is little question that  
25 glycemic control is established as absolutely

00162

1 essential, and I wanted to point your attention to  
2 a work we did in 1997 in diabetes care where we  
3 did a 14-year cohort study showing that patients  
4 with an Alc median of 7.3 for the 14 years had  
5 improved outcomes when measured by death rates and  
6 kidney failure rates. The important subset,  
7 however, was that this data was also noted in a  
8 cohort of patients over 60 years of age at the  
9 start of the 14-year study. There is no question  
10 that glycemic thresholds are not really relevant,  
11 the closer to normal you can get, the better off  
12 you are to be clinically safe and the data from  
13 the UKPDS shows that.  
14 Probably not well-known is when we did  
15 the cardiovascular subset of the mortality  
16 settings, the cardiac mortality was strikingly  
17 different than our type 2 patients, and in  
18 contrast to our other overall studies, we looked  
19 at this 14-year study to show significant  
20 differences. As it was for the patients over 60  
21 years of age, the cardiac mortality differences  
22 were apparent very, very early. This was actually  
23 underreporting, because since it was derived from  
24 actual mortality data from CDC, we probably  
25 underreported it.

00163

1 There is no question that probably the  
2 most important issue is probably the safety issue,  
3 and it is the safety issue that I would like to  
4 confine some of my comments to. There is little  
5 question that, you cannot really ask someone to  
6 get very close to a normal range without some sort  
7 of a safety net, without something to suggest  
8 where they are, and all the major studies have  
9 tried to do this for safety and ethical reasons,  
10 which of course was considered in our study, which  
11 uses four times daily.  
12 JCAHO considers insulin one of the high  
13 alert medications, because insulin causes an

14 enormous amount of morbidity due to hypoglycemia,  
15 and from recent studies in the European  
16 literature, we know that the most frequent cause  
17 of hypoglycemia in the elderly was in oral  
18 hypoglycemic agents, and it is for that reason  
19 that our AACE guidelines state that blood glucose  
20 levels should be obtained before insulin is given,  
21 because that will bolster your therapy if you have  
22 an unexpected result.  
23 There is another reason why our  
24 guidelines say that the SMBG frequency should be  
25 individualized for the needs of the patient. For

00164

1 instance, if the patient is 75 years of age, is on  
2 intensive insulin therapy, which is sometimes  
3 required because the doses may be quite low, they  
4 should get four tests daily at a minimum, and it  
5 is a minimum. And it is very important to go back  
6 to the earlier statement, which is that oral  
7 medication, drug interactions, or the underlying  
8 comorbidity of the patient may greatly influence  
9 what their relative risk is for hypoglycemia.  
10 In general, the more rapid we have  
11 feedback, the safer this is for people, and  
12 certainly I think it is a safety issue, but it is  
13 also a public health issue. Do you want an  
14 elderly patient on an oral agent to be driving a  
15 car while hypoglycemic? I think it offers  
16 enormous promise and I think that although the  
17 story is not settled on it, it is very clearly  
18 something that is of potential great benefit  
19 because it may have both quality and safety, and  
20 the limitation would be what we know yet and what  
21 we will soon learn.  
22 There are two caveats I have. The  
23 first is that if you perform glucose monitoring  
24 and you don't link the result with a process that  
25 improves the care, you have done very little. And

00165

1 that probably is where Medicare itself is having  
2 problems, because what we're seeing is what the  
3 state of quality of care is in many parts of  
4 America, and it is substandard, and that is  
5 troubling because it should not deter us from what  
6 the main focus is. A standard of care should be  
7 to demonstrate how it is to be used, whether it be  
8 the patient or others, they have to have a plan.  
9 Not only do they have to have a plan, it needs to  
10 make sense. It is not enough for a busy  
11 practitioner to slap a sliding scale insulin  
12 regimen, see where you register on this chart, and  
13 say you're done. If it's a bad plan, it means  
14 that mistakes will occur regularly as a  
15 consequence of it.  
16 I think there is no question that

17 glucose monitoring is an essential component, it  
18 is not an option, and it is both a safety issue  
19 and even a public health issue, and I think that  
20 without doing it, or withholding it from those who  
21 are too poor to pay for it by themselves,  
22 essentially and unwittingly results in  
23 discrimination against the poor. I think the  
24 interstitial use of sensor story has not yet  
25 unfolded and I think it may be remarkable, and we

00166

1 think it is reasonable that you have a coherent  
2 and logical plan for the use of these devices. I  
3 thank you very much.  
4 DR. GARBER: Thank you. The next  
5 speaker will be Paula Yutzy.  
6 MS. YUTZY: Good morning. I am a  
7 diabetes educator and I run the diabetes center  
8 here at Mercy Medical Center in Baltimore. I am  
9 also a consultant to (inaudible) and Abbott  
10 Diabetes Care.  
11 Members of the committee, I thank you  
12 for the opportunity to testify before you. The  
13 focus of this committee is sizable, and is of  
14 personal interest and concern to me. I provide  
15 care to hundreds of Medicare beneficiaries each  
16 year here in Maryland. These are the only  
17 patients that I manage who can consistently afford  
18 to manage their diabetes by regular blood glucose  
19 monitoring. They're my Medicare patients.  
20 In the mid 1990s I dedicated many hours  
21 to inform members of Congress, Speaker Newt  
22 Gingrich, President Clinton, of the need for  
23 Medicare to cover blood glucose monitoring for all  
24 people with diabetes. It's extremely discouraging  
25 that CMS has asked this committee to question the

00167

1 relevance of glucose control and its measurement  
2 in the management of all types of diabetes. I now  
3 fear, based on the questions CMS is asking us for,  
4 that CMS contemplates turning back the clock and  
5 taking blood glucose testing out of some, if not  
6 all, of my patients' hands.  
7 As a person with type 2 diabetes and as  
8 a certified diabetes educator, and as someone who  
9 is not that far from joining the Medicare program,  
10 I applaud the committee's effort with respect to  
11 its charge to identify where the current data is  
12 deficient and where additional research is  
13 warranted. There is no question that we need more  
14 work to identify how best to control the raging  
15 diabetic epidemic. CMS can help. However, the  
16 overall tenor of the questions being explored  
17 today is troubling.  
18 It is not difficult to infer that some  
19 of the questions published prior to today's

20 hearing that CMS has asked the committee to look  
21 at, much of which, this Medicare coverage is  
22 legally mandated, congressionally authorized. If  
23 this is the case and the committee were to  
24 consider recommending reductions to Medicare  
25 coverage for diabetes care, this would do a great

00168

1 disservice to the nearly seven million people with  
2 Medicare who have diabetes. And because Medicare  
3 is an important bellweather for the private sector  
4 coverage, any move to reduce coverage could have a  
5 chilling effect on people with diabetes with all  
6 insurance coverage in the nation's health care  
7 system.  
8 Instead, I hope that the committee  
9 questions were asked to help determine how to  
10 optimize current coverage. The fact is diabetes  
11 is one of, if not the single most self-managed  
12 disease in existence. It will be troubling if the  
13 committee suggests that self-management through  
14 regular blood glucose monitoring and the use of  
15 A1c is somehow inappropriate for any of the  
16 Medicare population.  
17 Let me give you an example. Everyone  
18 understands driving. In order to drive safely and  
19 avoid tickets, you have to be taught how to drive  
20 and you have to learn the rules of the road -  
21 diabetes education. You must have a speedometer -  
22 blood glucose monitoring. And you have to know  
23 how to use it. When you have diabetes, you no  
24 longer have cruise control, you need to look at  
25 the speedometer, look frequently to stay within

00169

1 the speed limit. As a person with type 2  
2 diabetes, I frequently check before meals and  
3 again two hours after meals to see exactly what  
4 happens to my blood glucose as a result of the  
5 food that I ate, and with that information I can  
6 learn to make better choices.  
7 Diabetes is a disease of choices, when  
8 to take medications, when to do exercise, how much  
9 exercise to do, when to eat, what to eat, how much  
10 to eat. By checking the blood glucose several  
11 times a day, I can make those choices that improve  
12 my blood glucose control and that prevent the  
13 complications for me in the future. But I'm a  
14 certified diabetes educator. I know these things.  
15 Patients who come to me have to learn them.  
16 Diabetes education and blood glucose  
17 monitoring are the most important tools in the  
18 management of diabetes. It is my hope and a  
19 strong recommendation that the committee will take  
20 this opportunity to examine the substantial  
21 impediments that exist related to patient  
22 utilization of diabetes self-management training,



23 medical nutrition therapy, better team and  
24 specialty care, and regular Alc testing. These  
25 tools, in addition to blood glucose monitoring,

00170

1 are what prevent Medicare beneficiaries from  
2 achieving the glycemic control that will block the  
3 progression to complications.  
4 It's true that diabetes outcomes are  
5 not what they need to be. Our nation experiences  
6 far too many preventable and controllable diabetes  
7 complications like heart attacks, strokes,  
8 blindness, amputation, and kidney disease. These  
9 are complications that science has proven are  
10 related directly to poor glycemic control. If  
11 this committee puts forth any recommendation that  
12 restricts methods to control or manage the blood  
13 sugars of a person with diabetes, the incidence  
14 and prevalence of these disastrous outcomes will  
15 increase, the associated costs to the Medicare  
16 system will prove staggering, and far exceed the  
17 cost of preventing and controlling the  
18 complications.  
19 In closing, I would like to remind  
20 members of this committee of the substantial  
21 medical opinions supporting the use of blood  
22 glucose monitoring as a necessary tool in the  
23 control of diabetes that you've heard today. More  
24 importantly, I want to remind the committee of the  
25 statutory requirements on Medicare Part B, to

00171

1 cover blood testing for Medicare enrollees with  
2 diabetes, without regard to whether the individual  
3 has type 1 or type 2 diabetes or to the  
4 individual's use of insulin. I strongly encourage  
5 this committee to review the steps Medicare  
6 programs should take to improve the outcomes of  
7 the millions of Medicare seniors with diabetes and  
8 pre-diabetes. Thank you very much for your time  
9 and consideration.  
10 DR. GARBER: Thank you. Next, John  
11 Mastrotatoro.  
12 DR. MASTROTATORO: Thank you, Alan. In  
13 the interest of time, I will introduce myself as  
14 John M. I'm a biomedical engineer by training  
15 with 17 years of experience in developing  
16 continuous glucose monitoring systems, and as an  
17 employee of Medtronic I do have a conflict of  
18 interest, since we currently have out for approval  
19 several continuous monitoring systems which I will  
20 be discussing. I'm also going to provide some  
21 clinical evidence which demonstrates the utility  
22 of continuous glucose monitoring systems in  
23 improving diabetes management by reducing Alc and  
24 also reducing hypoglycemia.  
25 Shown here are three systems that have

00172

1 been developed. The first one on the left is  
2 called the CGMS system. This system was approved  
3 back in 1999, and it's a system that's used in an  
4 analogous fashion to a cardiac holter monitor in  
5 that while the patient wears it at home, they're  
6 blinded to the glucose information. After wearing  
7 it for a few days, they can return to the  
8 physician's office where continuous glucose sensor  
9 information can be downloaded, analyzed and  
10 reviewed with the patient.  
11 In the middle we have the Guardian  
12 platform of products, and these systems provide  
13 real-time continuous glucose information to people  
14 with diabetes, and also have alerts to alert them  
15 if their blood sugars reach a threshold which they  
16 decide upon for both hyper and hypoglycemia.  
17 Finally, on the right is our latest  
18 system. This is an integrated device where we  
19 have taken the continuous monitoring features of  
20 the Guardian platform and mated it with an  
21 external insulin pump.  
22 Just to give you a brief overview of  
23 continuous glucose monitoring technologies,  
24 typically there's a sensor that's used that is  
25 inserted underneath the skin to make the

00173

1 continuous measure. It is connected to a device  
2 which operates the sensor and transmits the data  
3 to a receiving monitor which is then used to  
4 display the glucose information and again, it  
5 could also be applicable to alarms to alert the  
6 patient of high or low blood sugar events.  
7 This slide illustrates the benefits  
8 that you can achieve with continuous glucose  
9 monitoring. On the left is shown intermittent  
10 finger sticks, and unfortunately those  
11 intermittent values, even if collected at four  
12 times per day, can miss some of the glyceimic  
13 excursions that are present and are observed when  
14 you have a continuous sensor profile. With the  
15 retrospective CGMS product, a physician can look  
16 at a complete picture of the glyceimic excursions  
17 over time and make an informed, better informed  
18 treatment decision about how to optimize the  
19 patient's diabetes management.  
20 Adding the benefit of review of  
21 information, the Guardian platforms and other  
22 devices that give real-time glucose values, you  
23 can now have the device alert the patient to  
24 glucose levels that are deviating beyond their  
25 target range so that they can take immediate

00174

1 actions to correct those blood sugars, and

2 therefore reduce long-term hypoglycemia, and also  
3 minimize hypoglycemia. So by having the  
4 continuous information, you are able to both  
5 reduce HbA1c and at the same time minimize  
6 hypoglycemia.  
7 Since sensors are measuring blood  
8 sugars typically in the interstitial fluid, one of  
9 the questions that often comes up is how do the  
10 interstitial glucose values compare to blood  
11 glucose, and typically and on average they are  
12 very similar. There can be slight time  
13 differences between the two environments,  
14 especially when blood sugars are changing rapidly.  
15 However, in general the accuracy between the two  
16 systems is pretty good and in fact, typical  
17 averages are between 15 and 20 percent errors, or  
18 lower. And if you look at reproducibility of two  
19 sensors run simultaneously, you can see that they  
20 pretty much mimic one another. I think the most  
21 important thing, once you get accuracies below 20  
22 percent with these systems, it's not the point in  
23 time glucose values the systems provide, but  
24 rather the trend information that's very  
25 important, and that's the utility for having the

00175

1 real-time alerts for hypo and hyperglycemia.  
2 Here are some of the studies that have  
3 been published which look at the ability of  
4 continuous glucose monitoring to reduce HbA1c. A  
5 lot of the studies were based upon the original  
6 CGM system. The one at the top, though, is a  
7 randomized control trial in people using the  
8 real-time Guardian platform and it demonstrated a  
9 reduction in HbA1c of 1.1 percent when using  
10 continuous glucose monitoring and there was a  
11 statistically significant reduction with  
12 continuous monitoring versus finger sticks alone.  
13 Likewise for hypoglycemia, there have  
14 been many publications and in one that was, again,  
15 using the Guardian real-time system, we found a  
16 statistically significant reduction in the  
17 duration and magnitude of hypoglycemia when using  
18 real-time continuous monitoring versus finger  
19 sticks alone.  
20 What I'm going to talk about now is  
21 some of the planned clinical trials and ongoing  
22 clinical trials which use the latest technology,  
23 which is the combined insulin pump and continuous  
24 glucose monitoring device. In an early  
25 feasibility study that was conducted in children

00176

1 with diabetes, even after one month's use, we  
2 already saw a tendency toward reduced HbA1c and  
3 reduced average blood sugar levels. This led to a  
4 progression of clinical trials and a roadmap that

5 we've laid out.  
6 The first study shown here, again a  
7 randomized control trial using a Guardian type  
8 platform without the combined pump system, and  
9 then all of these three STAR trials involved a  
10 sensor augmented pump. In the first trial, the  
11 STAR 1 trial, this is for all insulin pump  
12 patients who are randomized to either use the pump  
13 with finger stick monitoring or the sensor  
14 augmented pump. This study was recently completed  
15 and we're currently analyzing the data from this  
16 trial.  
17 The STAR 2 trial was an observational  
18 study to understand what it takes to help educate  
19 and get a patient up and running on the real-time  
20 paradigm system when they started with just using  
21 MDI and finger stick testing, what does it take to  
22 convert someone from that over to a pump and  
23 continuous monitoring.  
24 And this is leading up to our largest  
25 STAR 3 trial where we will be randomizing multiple

00177

1 daily injection patients using finger stick  
2 testing to either maintain that therapy or move to  
3 a sensor-augmented pump, and this trial is  
4 scheduled to start very shortly.  
5 DR. GARBER: Thank you.  
6 DR. MASTROTATORO: In summary,  
7 continuous glucose monitoring technology is  
8 evolving. The early results are promising. Our  
9 initial focus has been in type 1 diabetes patients  
10 but type 2 patients requiring MDI also seem likely  
11 to benefit, and significant research is ongoing to  
12 determine the full implications of this technology  
13 for patients with diabetes, including Medicare  
14 beneficiaries. Thank you.  
15 DR. GARBER: Thank you. Next, Steve  
16 Edelman.  
17 DR. EDELMAN: I would like to introduce  
18 myself and then talk about my conflicts. I'm a  
19 professor of medicine at the University of  
20 California San Diego, I work for the Veterans  
21 Healthcare System. I'm also a founder and  
22 director of a patient organization called Taking  
23 Control of Your Diabetes, and I've also lived with  
24 diabetes since 1970. I'm not an expert in  
25 everything, but I am qualified to talk about

00178

1 continue glucose monitoring and its current need.  
2 Most of my patients are type 1 or insulin  
3 requiring type 2 diabetics. I did come here on my  
4 own time, my flight was paid for by DexCom. I'm  
5 not doing any current studies but I have in the  
6 past with all three of the CGM companies, and I  
7 have no stock options or any kind of financial

8 interest in any of these companies.  
9 Now the most important thing I can tell  
10 you today, and I'm going to start with my  
11 conclusions in case I run out of time, we know  
12 that a majority of people in this country are  
13 still having a hard time getting their Alc down.  
14 It's not anyone's fault, not any one person, but  
15 the system, it's a tough disease to treat. We  
16 know that reducing the Alc reduces complications,  
17 there's no question about that, so I won't waste  
18 any time on that.  
19 The second thing is, as people get  
20 their Alc closer and closer to normal, or closer  
21 to the goals that every major organization  
22 supports, the incidence of hypoglycemia goes up,  
23 and not only do we die from hypoglycemia,  
24 unfortunately I have had several patients pass  
25 away, it's called the dead in bed syndrome, and if

00179

1 you talk to any diabetes specialist here, they  
2 will tell you their own sad stories of their  
3 patients. But it's a disability, and it's also  
4 fear of hypoglycemia among not only the patients  
5 but also in their families. So as we try to  
6 reduce these goals, hypoglycemia is going to be  
7 more common.  
8 And the third big point is that  
9 unfortunately when you get type 1 diabetes, you  
10 still get older, and many type 1's are approaching  
11 Medicare age, and many type 2's require insulin.  
12 These are the groups that are primarily the best  
13 candidates for this technology. They're getting  
14 older. And although there are not a lot of  
15 studies in this age group, the disease doesn't  
16 change just because you go from 64 to 65, and I'm  
17 much closer to the Medicare age than I was at the  
18 time that I was diagnosed, and that's important.  
19 Don't turn your back on the technology now because  
20 the perfect studies have not been done, and that's  
21 one of my major points.  
22 Now, why do we need CGM? I think  
23 you've heard from everyone here that blood glucose  
24 monitoring is fantastic, it's needed for almost  
25 all type 2's, for some type 1's for glycemic

00180

1 control without fluctuations, without  
2 hypoglycemia, fine. But for a certain subset of  
3 the population, CGM is vital. I mean, a patient  
4 wants to sit down to test his or her blood sugar  
5 before a meal, they need to figure out how much  
6 they're going to eat, how high their blood sugar  
7 is, how much they think they should exercise,  
8 concomitant illness, stress, but one thing we  
9 don't have is the trend blood sugar for that  
10 person. And as one speaker showed you in that

11 slide, a 125 may not mean things are just perfect,  
12 but this is knowledge that really has helped a  
13 tremendous amount of people, it's here now, and I  
14 think what I would like the committee to consider  
15 is what persons in the Medicare population would  
16 be the best candidates for this. It's obviously  
17 not for everybody.  
18 Now what I would like to do is just  
19 show you two studies that represent conceptually  
20 what CGM can do. And while I'll show you the two  
21 studies from DexCom, the studies have been  
22 validated with the Navigator system. So, here is  
23 what the applicator looks like, the plastic shield  
24 comes off, the needle is inserted, goes down, and  
25 at that point the needle is inserted with a little

00181

1 sensor in the inside of the needle. Then the  
2 needle comes out, so the only thing left inside  
3 the body is a soft flexible wire, this stays on  
4 the skin and is about the size of a quarter. This  
5 is the size of the monitor, it looks like a pager.  
6 All the companies have their own, there are  
7 certain differences, but the concept is the same,  
8 you can see the blood sugar, over the last hour,  
9 you can look back over the last three hours, or  
10 when you wake up in the morning you can see the  
11 last nine hours and see what the heck happened  
12 overnight. You can put in your own high and low  
13 alarms, and that's a key, you can put it at any  
14 level you like. And that protects against  
15 hypoglycemia, even if you have a fear of  
16 overdosing, you're protected because you have that  
17 alarm.  
18 Let me show you two studies, they're  
19 very impressive studies. This one was prospective  
20 and randomized, it was a short study, but it was a  
21 group of 91 patients, the control group was  
22 blinded to CGM, they relied only on SMBG, and they  
23 tested on average seven-and-a-half times a day.  
24 This group was allowed to see their CGM device,  
25 there wasn't any information, just showed their

00182

1 number and the slope of the line, and they were  
2 two-thirds type 1, one-third insulin-required  
3 type 2. And this was only a nine-day study. I  
4 want to point out that for the first three days,  
5 both groups were blinded, and the group that was  
6 unblinded right here only saw data for six days,  
7 and that's important when I show you the results  
8 of this study. These patients just were  
9 free-living patients doing their usual things.  
10 So this was recently presented to the  
11 American Diabetes Association, it was the first  
12 randomized trial, and you can see that there was a  
13 23 percent improvement in the blood sugar for the

14 240 to 400 grams, a 26 percent improvement in the  
15 81 to 140 grams, and a 21 percent reduction in the  
16 hypoglycemic readings. Now remember --  
17 DR. GARBER: Dr. Edelman, your time is  
18 up.  
19 DR. EDELMAN: -- they were only on it  
20 for six days.  
21 And the last study looks at the Alc  
22 reduction, and basically it is a study looking at  
23 patients with different Alc's that are put on  
24 continuous monitor, and there's another study  
25 repeated after the MiniMed study showing that the

00183

1 overall reduction for those patients of greater  
2 than a one percent drop in Alc, and a trend to  
3 reduce hypoglycemia during that period.  
4 So in conclusion, I would just like the  
5 committee to really think about the fact that  
6 although the studies aren't perfect, they're not  
7 focusing on this population, it's technology  
8 that's needed right now for the Medicare  
9 population with type 1 and insulin-controlled  
10 type 2 diabetes. Thank you very much.  
11 DR. GARBER: Thank you. Dr. Bruce  
12 Bode.  
13 DR. BODE: Thank you. I appreciate the  
14 opportunity to be here. I am a consultant for  
15 Johnson & Johnson, who is paying my travel here.  
16 I represent our group, Atlanta Diabetes  
17 Associates, and we take care of about 12 to 15,000  
18 patients with diabetes per year in the greater  
19 Atlanta area. And we do have a conflict of  
20 interest, we do work and have done clinical  
21 research for Abbott, BD, DexCom, Johnson &  
22 Johnson, Medtronic, Roche, and other companies  
23 also.  
24 But what I'm going to really get into  
25 is we always look at our data, we always look at

00184

1 what our patients provide us, we look at it, and I  
2 want to talk about just glucose monitoring because  
3 that's what this conference is about. Obviously,  
4 it's very common that the more you monitor, the  
5 better you do. Patients and health care providers  
6 are able to make changes either in their behavior  
7 or medication management to try to normalize  
8 glucose.  
9 Clearly glucose monitoring is a tool,  
10 and as we measure our performance and control our  
11 Alc's, earlier studies essentially appear to be  
12 very true to this, what's controversial is type 2  
13 diabetics, especially not treated with insulin.  
14 We looked at that in our group and we went on the  
15 course of developing a non-linear mathematical  
16 model for Alc as a function of number of blood

17 glucose tests per day, and we looked at this model  
18 and looked at it specifically for people not on  
19 insulin, people on subcutaneous insulin only, as  
20 well as people on insulin pump.  
21 And these studies unfortunately were  
22 retrospective, the data was collected all from  
23 meter download data, so nothing made up. We  
24 looked at download data only. We also looked at  
25 Alc done on the date of download, so it's all

00185

1 based on data that's true that we have in our  
2 database. Our curve fitting was a weighted,  
3 non-linear, least squares method. The data was  
4 ranked by blood glucose and divided into  
5 quintiles. T-tests were performed on the first  
6 and second versus the fourth and fifth quintiles,  
7 and I'll go through that with you.  
8 First I will just show you the results.  
9 Just eyeballing it, obviously you can see in the  
10 insulin pump group which represents about 400  
11 pumpers, you can see there are very few people who  
12 test less than once a day, but as you increase  
13 your testing, the Alc falls. The red is the mean  
14 and the yellow curves are standard error curves.  
15 After the insulin pumpers, we then  
16 looked at subcutaneous insulin, this encompasses  
17 both type 1 and type 2, and again, the same curve.  
18 You see you have more people down under one test a  
19 day, going up to four tests a day, you can see the  
20 reduction in Alc of less than seven, but again,  
21 you see the standard error curve.  
22 We then looked at people with diet  
23 control or oral agent control only. You can see  
24 you have a lot of people that are not testing, it  
25 goes to two tests a day and the frequency of

00186

1 testing falls off. This is a database of over 500  
2 patients. And again, you can see what appears to  
3 be a significant decline in Alc over time.  
4 And so when you look at this, you can  
5 just look at the mean curves themselves for  
6 insulin pumps to subcutaneous insulin to no  
7 insulin at all. When we did our statistical  
8 analysis on this, we broke these into quintiles,  
9 we compared the two lower quintiles to the two  
10 upper quintiles. For insulin pumps, out of 417  
11 patients, 6.4 tests versus 5.6 tests, the Alc  
12 difference was 7.7 to 6.8, highly significant.  
13 For subcutaneous insulin, it was .8 tests a day  
14 versus 3.8 tests a day, the significance there was  
15 8.4 to 7.8 Alc drop, highly significant. And for  
16 no insulin it was .4 versus 1.9, and again, a  
17 significant drop in Alc from 7.5 to 6.7. And you  
18 can look at it in bar graph form too, seeing the  
19 same data.



20 Obviously, you can talk a lot about  
21 reasons for this. Clearly, if your glucose is  
22 high and you're taking insulin, you can take a  
23 corrective treatment. You can also do the same  
24 thing with behavior including exercise, you can  
25 also do it with your medications.

00187

1 Obviously, glucose monitoring,  
2 hypothesis two, may be an indicator more of  
3 compliance, and obviously some people will have  
4 low Alc's regardless of how much they monitor and  
5 whether they monitor or not because they're very  
6 insulin-sensitive or have endogenous insulin.  
7 What we have to really do is look at  
8 the non-insulin people, you've got to look at this  
9 lower quadrant that are running in the six range,  
10 and what's happening to these people, are they  
11 early in their course of their disease, have they  
12 had good ito cell function, have they controlled  
13 their weight loss, exercise or whatever.  
14 And there has been a study of glucose  
15 monitoring, we are very actively involved in  
16 studies with type 1 and type 2 in this subject,  
17 and there is no question, the more you monitor,  
18 the more you come to range. So if your Alc is  
19 six, you might raise it up to 6.3, 6.4, and if Alc  
20 is eight or nine, you might drop it a point or  
21 more.  
22 There is a study that was done for  
23 type 2, average age 74 years old. They were on  
24 oral sulfonylureas, 55 percent were on metformin,  
25 the average Alc was 6.2 percent. They used CGMS

00188

1 retrospective 72 hours, repeated a month apart,  
2 and looked at the data. And you can see here, out  
3 of the 188 hours of CGMS monitoring of 25  
4 patients, they had 103 episodes of significant  
5 hypoglycemia, defined as less than 50, and mild  
6 hypoglycemia is defined as 60 to 65. When you  
7 also look at the overall data, 96 percent of these  
8 patients had at least one episode of significant  
9 hypoglycemia defined as less than 50.  
10 So obviously, this is a major problem.  
11 People, even Medicare patients who are normal, you  
12 can't just take away --  
13 DR. GARBBER: Time.  
14 DR. BODE: In summary, all treatment  
15 regimens show a significant association, it's not  
16 a cause, between high glucose monitoring and low  
17 Alc. We as practitioners, whether you're a  
18 practicing health care provider, whether an  
19 educator, an endocrinologist or a physician in  
20 general practice, obviously the more you test, it  
21 appears the better you do. It's a tool to use to  
22 get Alc's to normal. And I appreciate your time.

23 Thank you.  
24 DR. GARBER: Thank you. That completes  
25 the scheduled presentations. I would like to just

00189

1 ask the committee, we currently have one speaker  
2 signed up as an open public speaker, which will be  
3 a four-minute presentation. Does anybody have an  
4 objection to doing that before lunch. I have to  
5 make sure the speaker is here. Cynthia Wright,  
6 are you in the room?  
7 MS. WRIGHT: No, we can forgo that.  
8 DR. GARBER: Okay. Is there anybody  
9 else who did not sign up who wishes to speak  
10 briefly? Okay. We will break for lunch, and  
11 we're going to start promptly at one, even if  
12 people aren't here.  
13 (Luncheon recess.)  
14 DR. GARBER: I think that we have a  
15 quorum here, and this is actually the committee  
16 deliberation segment of the meeting anyhow, so  
17 we're going to open panel deliberations.  
18 The first question is, does everybody  
19 have a set of the questions in front of them, in  
20 blue. If you have them in front of you, you might  
21 want to just remind yourselves about the questions  
22 and, first of all, I would like to ask if there  
23 are any general questions. This would be, if  
24 there were more speakers in the room, we could ask  
25 them questions now, but we should have

00190

1 opportunities throughout the afternoon, but then  
2 we will go through question by question and you  
3 may have specific inquiries to other speakers at  
4 that time. Mark, did you have a question or  
5 comment?  
6 DR. FENDRICK: Are we open to  
7 deliberation?  
8 DR. GARBER: Yes.  
9 DR. FENDRICK: I would like to, if it's  
10 okay, ask our guest panelist experts a specific  
11 question I have. Thinking about this in the chain  
12 of logic, it appears that the Alc terminology  
13 could be considered a severity marker to the Alc  
14 in your diagnosis. For instance, this term  
15 control that was talked about in a variety of  
16 contexts, at least to me, is not what the Alc is,  
17 but how the Alc changes. And one of, if not two  
18 of the questions ask specifically about clinically  
19 meaningful health outcomes. And I'd like to ask  
20 the three experts to my right, or anyone else,  
21 what should we consider a clinically meaningful  
22 Alc reduction? And particularly, does an Alc  
23 dropping from 11 to nine, or nine to seven, or  
24 seven to five mean the same thing?  
25 DR. MOLICH: I guess I can start a

00191

1 little bit with that. Certainly if you look at  
2 the curves and look at the reduction, you get much  
3 more reduction in risk way out at the far end at  
4 the highest levels, so you reduce risks for these  
5 complications from 11 to nine much more so than  
6 you do from nine to seven, and certainly much more  
7 so than from seven to five. So I think you get  
8 much more bang for your buck at the high end. But  
9 as was mentioned earlier, whether there is a  
10 breakpoint or not, I think is a matter of debate.  
11 Certainly the risk reductions that you get at  
12 substantially below eight, or below seven, it  
13 becomes relatively small, with increasing amounts  
14 of effort as well as increasing amounts of  
15 hypoglycemia.

16 DR. FENDRICK: So the first question  
17 was, the studies show that where Alc reductions  
18 actually occurred, tended to be very small in the  
19 randomized trials, and I'm just wondering when you  
20 see a statistically significant reduction of .5  
21 percent, is that something to get excited about?

22 DR. HAYWARD: One of the difficulties  
23 when you look at an average in a clinical trial is  
24 that that is not the amount of benefit going from  
25 the mean of the control group to the mean of the

00192

1 intervention group. Because of that, because of  
2 the (inaudible) as the speakers suggested, because  
3 most of those very highly sloped things are the  
4 first part of a multi-step process when you find  
5 no disease to early disease, and the same  
6 relationship goes when going from no disease to  
7 moderate disease. Something that wasn't  
8 mentioned, when the person already has advanced  
9 disease, there is very little association, so once  
10 the person has severe disease like severe  
11 neuropathy or severe kidney disease, Alc is no  
12 longer a major progression indicator to end stage  
13 disease in most of these studies.

14 But the multiplicative aspect of it  
15 makes those even more dramatic, so what you can  
16 find is going from a mean of eight percent to  
17 seven percent looks important, and then when you  
18 actually look, almost all the events are occurring  
19 in the mean of eight percent, who have values up  
20 to nine. And often, if 75 to 85 percent of the  
21 events are occurring in the 15 percent with the  
22 worst control, you might be misunderstanding those  
23 studies completely by just looking at the average.  
24 In addition, those people that start in  
25 those studies with values of 11 didn't get a .5 or

00193

1 a one percent reduction. Most often there is an

2 interaction between the two, so the people at 11  
3 often came down to nine and got a lot of benefit,  
4 the person who started at eight might have gotten  
5 less of a reduction, so I think that you really  
6 can't say how important .5 is unless you know that  
7 individual overall risk, and whether going from a  
8 high level to a moderate level, it's a very  
9 complex function.  
10 DR. GARBER: Alex?  
11 DR. KRIST: I agree with what you're  
12 saying, but I want to add to Mark's question just  
13 to make it a little more concrete, because I think  
14 what he's telling me is he's looking at some of  
15 the RCTs on the self-monitoring glycemc controls  
16 with a reduction of .5 percent. And I agree with  
17 you that the benefits occur more likely in the  
18 extremes, but in those randomized control trials  
19 on the individual Alc's, you're going to have  
20 those extremes as well that are going to  
21 contribute to that average reduction of .5  
22 percent, you'll have those people at 9 and 10  
23 percent coming down to 7 percent.  
24 But when I was looking at this, kind of  
25 taking that same logic that Mark was taking,

00194

1 what's the clinically significant reduction, if we  
2 just focus on that when looking at the evidence,  
3 and I look at something like a reduction of .9  
4 percent, 7.9 with intervention was controlled to 7  
5 in the intervention group. Are there examples,  
6 just looking at the VA CSDM where there was a two  
7 percent reduction in hemoglobin Alc, and then I  
8 don't remember what it was in the others, but are  
9 there studies that anyone here knows about? I can  
10 ask the presenters as well, if there's studies  
11 where there are improvements in clinical outcomes  
12 between an intensive and a control group, and  
13 there's a lower reduction on the order of .5  
14 percent?  
15 DR. HAYWARD: What we know from our RCT  
16 is that in ten years of follow-up in people with  
17 reasonable, not even high blood pressure control,  
18 there are no clinically meaningful benefits from  
19 going from eight percent to seven percent,  
20 including the DCCT. What people forget is that  
21 all the eyecare benefit with retinopathy occurred  
22 in the 30 percent of people with baseline  
23 retinopathy, there was no significant difference  
24 in the others.  
25 So we're all going to come to the

00195

1 estimating, which is the other problem that you  
2 have. If somebody has early microvascular  
3 disease, they get much more benefit from tight  
4 control than someone who is 65 without it. So if

5 you have a 70-year-old with early microvascular  
6 disease, they're going to get substantial benefit  
7 or at least a chance of substantial benefit from  
8 tight control than somebody who is 70 who doesn't  
9 have early microvascular disease, who has almost  
10 no chance of getting benefit from microvascular.  
11 And I keep saying microvascular because I believe  
12 the macrovascular jury is out, and that could  
13 change a lot of things if a year or two from now  
14 the VA or ACCORD study suggest that there is a  
15 macrovascular association.

16 DR. GARBBER: I have a question for  
17 Mark, or maybe for Rod or anybody else. In a  
18 post hoc analysis of the DCCT, I think it was by  
19 Davidson and Brownlee, they looked at subgroups of  
20 attained hemoglobin A1c, and in addition to  
21 non-linearity where the benefits occurred, as  
22 we've heard about, i.e., very much concentrated on  
23 people with high hemoglobin A1c's, there was  
24 another fact, which was that the lowest hemoglobin  
25 A1c group data seemed to reflect the highest

00196

1 incidence of hypoglycemic events, and that was  
2 skewed dramatically as you went up in the glycemic  
3 hemoglobin level. So not only does this reinforce  
4 the idea that most of the benefit is concentrated  
5 in the high hemoglobin A1c group, but the risk is  
6 much much smaller in that group from intensive  
7 therapy. The question I have is, have there been  
8 similar studies to UKPDS looking at what they  
9 looked at for the type 2 population? That wasn't  
10 meant to be a conversation stopper.

11 DR. MOLICH: I'm not exactly sure what  
12 your question is.

13 DR. GARBBER: Well, this very clearly  
14 shows in the DCCT population that there was much  
15 greater benefit and lower risk if you treated a  
16 high hemoglobin A1c, and if you instituted  
17 intensive therapy. The question is, in UKPDS, are  
18 there studies showing the same phenomenon, that  
19 most of the benefit is concentrated at the higher  
20 levels?

21 DR. HAYWARD: The bottom line is true  
22 of almost all types of studies. The incidence of  
23 serious hypoglycemia was so that it didn't really  
24 have much power to talk about unusual  
25 hypoglycemia. If you're talking about symptoms,

00197

1 I'm not aware, but there really weren't many  
2 serious hypoglycemic events in the UKPDS, and so  
3 it was not the important outcome that it was in  
4 the DCCT.

5 DR. REIBER: And then in the UKPDS  
6 data, there is an analysis projecting out quality  
7 of life and it compared individuals with the tight

8 control to the comparison group, and there was  
9 only a very modest benefit in terms of improved  
10 quality of life.  
11 DR. MOLICH: As long as we're going  
12 into this in more detail, UKPDS and others, that  
13 was a study where people were just diagnosed with  
14 diabetes and then followed over the course of  
15 time, and one of the things that came out of that  
16 study was that people needed more and more therapy  
17 over time in an effort to keep Alc levels as close  
18 to normal as they could be, and in fact Alc levels  
19 still went up despite add-on therapy.  
20 And I think one of the things that  
21 hasn't been brought out in this conversation is  
22 that type 2 diabetes is a progressive disease, it  
23 gets worse over time, and I would expect this  
24 diabetes to get worse and worse and worse over  
25 time, it's variable from one person to another,

00198

1 but it does get worse. And so that ultimately,  
2 most, or many of these patients will end up on  
3 insulin who have type 2 diabetes, and there is no  
4 particular reason for that process to halt at the  
5 age of 65.  
6 And in fact, those people, as they get  
7 older, more and more will need insulin, and in  
8 fact some of those patients start to act like  
9 type 1 patients as they get very minimal doses of  
10 insulin until they require basal bolus insulin  
11 just like a type 1 patient will after a long  
12 period of time. So we shouldn't think of this as  
13 a static picture but as a progressive picture, so  
14 you shouldn't just look at them as early patients,  
15 but think about these patients as they continue to  
16 progress over time.  
17 DR. GARBBER: Yes.  
18 DR. PUKLIN: I would just like to ask  
19 Dr. Hayward, I wanted to ask you, perhaps I  
20 misunderstood you, when you were referring to the  
21 DCCT and the Alc level, did I --  
22 DR. HAYWARD: I was referring to the  
23 UKPDS with the comments about the relative  
24 progression from baseline retinopathy.  
25 DR. PUKLIN: So the comment was, I

00199

1 think, that patients who are younger who get  
2 effective metabolic management early on would do  
3 better and develop less changes than a 70-year-old  
4 diabetic who is recently diagnosed and put on  
5 tight metabolic management going forward; is that  
6 what I heard you say?  
7 DR. HAYWARD: I think the comment, if  
8 somebody has early retinopathy, their chance of  
9 benefitting from tight control over short periods  
10 of time is much higher than if they start out with

11 no retinopathy, because you have these progression  
12 steps. Once you have moderate control, going from  
13 no retinopathy, to the laser therapy, if you have,  
14 unless you have poor blood pressure control, is a  
15 long process. And so you'd have to think the  
16 70-year-old would get different if they had  
17 moderate proliferative retinopathy or severe  
18 background retinopathy, as compared with somebody  
19 with normal, that was the only point I was making.  
20 DR. PUKLIN: But what do you do with a  
21 type 2 diabetic who is recently diagnosed at the  
22 age of 70 with moderate background retinopathy?  
23 DR. HAYWARD: That person has a chance  
24 of getting benefit from tight control. If they  
25 have normalized at that point, their chance of

00200

1 getting benefit from tight control is almost zero.  
2 DR. PUKLIN: If they have normalized,  
3 but that's an usual scenario at the age of 70.  
4 Aren't most people who are diagnosed with that  
5 disease at that age, don't they have frequently  
6 some sort of diabetic retinopathy?  
7 DR. HAYWARD: No, most don't. But if  
8 you look at it cross-sectionally, most 70-year-  
9 olds who have had it for 15 years will, but the  
10 recently diagnosed, the vast majority would not.  
11 DR. GARBBER: Any other general  
12 questions before we proceed to the voting  
13 questions? All right. We can have discussion of  
14 each question one by one. Don, did you want to  
15 make a comment?  
16 DR. RUCKER: Yeah, I had one question  
17 maybe for Dr. Lurvey, if he's here. You know, it  
18 looks to me like we have had a lot of discussion  
19 about what seems to be a fairly motivated group of  
20 human beings, someone who's getting frequent blood  
21 strip testing. But it looks like from those  
22 comments that there's also, Medicare is also  
23 paying for a lot of folks who are just, you know,  
24 where there is no real intent to do tight control,  
25 that the system's being game, what I got from the

00201

1 one presentation.  
2 And I was just curious if that was in  
3 fact, if we're talking about two separate  
4 populations here, if there's a whole group of  
5 people who are getting frequent tests that aren't  
6 in fact, you know, getting any kind of management.  
7 DR. LURVEY: The population that I  
8 described tend to be institutionalized people or  
9 people in home health, who are senior seniors, and  
10 having reviewed a large number of those charts for  
11 utilization problems, we would note that these  
12 individuals all have type 2, all are on what  
13 anybody in this room would call very, very sparing

14 therapy, with maybe one oral agent not at maximum  
15 dose. As an endocrinologist, I can assure you  
16 that there are five, six, seven agents available,  
17 but if they are on insulin, the majority of the  
18 insulin is at minimal dose, like split ten units  
19 of mixed insulin.  
20 But the most important part is, it's  
21 written down there's a blood glucose but nothing  
22 is done about it. There's no documentation, the  
23 doctor is called, there's no change from month to  
24 month in the treatment. If it's 350, there is no  
25 examination of why that happened, or if it's 62,

00202

1 why it happened that way. And those particular  
2 types of individual circumstances have been  
3 denied, because the tests have not been used for  
4 any reason.  
5 We acknowledge in our policies that a  
6 normal test gives information, important  
7 information when people want to know have they  
8 reached a certain level, and as was spoken  
9 earlier, for any indication like a change in  
10 recent hospitalization, a change in any situation,  
11 frequent testing above the limits that are  
12 normally done are always good.  
13 DR. RUCKER: Even, there was a comment  
14 that \$450 million, did that sort of fit into it?  
15 DR. LURVEY: That wasn't -- someone  
16 else made that comment, I think in 2002. I said  
17 that the total cost for strips that we found  
18 through our medical records was just under a  
19 billion dollars for diabetic strips in the year  
20 2003, and it's probably hit a billion by now.  
21 DR. RUCKER: Do you have any sense of  
22 what percentage of that is spent on people who are  
23 managed in sort of like the way that you're  
24 describing?  
25 DR. LURVEY: No. The only information

00203

1 we had was total expenditures and so there was no  
2 way we could look behind it, but thank you for  
3 asking.  
4 DR. KOLLER: I can answer part of that  
5 question. CMS conducts surveys on its various  
6 populations. They do look at skilled  
7 nursing facilities, and they look at community  
8 dwellers who are 65 or older, and the disabled  
9 population which is 64 and under. And we don't  
10 have all of the information that you're asking for,  
11 but what we do know is that those people who are  
12 65 and older are testing only, I would say about,  
13 there is a slide actually in Dr. Lurvey's materials,  
14 that about 40 percent of patients are testing, and  
15 the numbers are not particularly different by  
16 therapy. They're a little bit higher if you're on



17 insulin or insulin and an oral agent, but there  
18 are a substantial number of patients who simply  
19 aren't testing at all dwelling in the community, and they  
20 are somewhat similar figures for the others, but I  
21 don't know that precisely.  
22 DR. GARBER: Mark.  
23 DR. FENDRICK: This may be a question  
24 for Steve, but it looks like I'm treading on thin  
25 ice. I was moved by the comments about this idea

00204

1 of potential benefit for glucose monitoring, but  
2 at the same time it is very clear that there are  
3 probably some people who are Medicare  
4 beneficiaries who do not receive any or minimal  
5 benefit and are putting themselves at high risk  
6 for hypoglycemia or other types of things by doing  
7 certain types of treatment patterns.  
8 Given that we now have for the first  
9 time Medicare pharmacy claims data, and we've  
10 heard an argument that maybe, particularly the  
11 intensive glucose monitoring may be most  
12 beneficial to individuals on insulin, is there any  
13 chance ever to think about decisions to consider  
14 certain interventions based on other patterns of  
15 care, in other words, if the monitoring can be  
16 very useful in individuals on insulin, are we  
17 making a recommendation that would be minimally  
18 wasteful for people who are not on insulin, if you  
19 think about that, or is that crossing the  
20 boundary?  
21 DR. PHURROUGH: It's not uncommon that  
22 we do, when we do national coverage  
23 determinations, that we select populations that we  
24 believe benefit from a technology and exclude  
25 populations that we believe do not benefit from

00205

1 the technology based upon the evidence presented  
2 to us. In making those decisions, we do have to  
3 be cognizant of other guidance that we get from  
4 Congress, so there are specific rules that they  
5 tell us to follow, and we will follow those rules.  
6 And to clarify one of the comments from  
7 one of our speakers earlier, we currently do not  
8 have in place a national coverage determination to  
9 look at whether we should modify the use of  
10 glucose testing or not. The purpose of this  
11 meeting was to address the issues involved in  
12 treatment of diabetics in our population, with  
13 glucose monitoring being one of those issues.  
14 Is there an ability within the current  
15 law that says cover glucose strips for type 1 and  
16 type 2 diabetics for the Agency to have  
17 nationally, or the DMERCs at a lower level to  
18 modify volumes abuse, yes. Is there ability to  
19 prohibit use of glucose strips, that would be a

20 challenge.  
21 DR. FENDRICK: Thanks.  
22 DR. GARBER: Maybe we could use that to  
23 segue into our voting questions, and I would ask  
24 Steve if he wants to make any comment before we  
25 begin that discussion.

00206

1 DR. PHURROUGH: For those of you who  
2 have not been at these meetings before, when we  
3 get to the voting time, it becomes somewhat of an  
4 interesting spectacle from our end, watching you  
5 to try to write down all the numbers, because  
6 everyone is holding up cards. You won't get to do  
7 that today, we're going, because there is a lot of  
8 numbers to write down, the panelists have ballots,  
9 they're going to write the numbers down, they're  
10 going to be taken up, and then you will see the  
11 results posted on the screen shortly after that,  
12 the totals will be posted on the screen. And then  
13 the individual totals for the entire panel will be  
14 posted on our web site tomorrow. So stay in your  
15 seats, be comfortable, you don't have to jump up  
16 and down to see who's holding up a five or a one.  
17 DR. GARBER: And although I know we  
18 will be making every attempt to do this real time,  
19 I know that based on past experience, if there is  
20 this many questions and this many votes to be  
21 compiled, it's going to take a little while. So I  
22 know they have to go through some steps to get the  
23 numbers compiled and displayed as quickly as  
24 possible, but we will presumably be moving on from  
25 one question to another as they compile the voting

00207

1 themselves.  
2 So let's open then with Question 1,  
3 this has been modified from the question that was  
4 originally distributed, we have columns for  
5 relative prevalence and clinical severity, and  
6 we're being asked to rate the following  
7 complications according to their burden,  
8 prevalence times severity, in Medicare patients  
9 with type 2 diabetes, rank each of them. So  
10 you're going to use a one to eight ranking for  
11 prevalence and clinical severity. So, this is  
12 probably pretty clear to you, but if you think  
13 there's something that's highly prevalent, but has  
14 relatively minor health effect, you would give the  
15 prevalence a low number, meaning a high ranking,  
16 and the clinical severity, you would give --  
17 DR. KRIST: I think you reversed them.  
18 DR. GARBER: Right, sorry. Eight is  
19 what we call highest, okay. Let's just reverse  
20 what I said, but it's clear that you understand  
21 better than I do. What I was trying to get  
22 across, if you give it the highest, you could give

23 it a very high ranking on prevalence but a very  
24 low ranking on severity. Conversely, if something  
25 has very serious health consequences but it's

00208

1 unusual, you would reverse the rankings. Any  
2 questions about Question 1, and then we will open  
3 it to discussion, or any questions about how we do  
4 the voting? Maggie.  
5 DR. MOLICH: So is this just our guess,  
6 or are these when the numbers are actually known?  
7 DR. GARBER: There are some estimates  
8 in the readings we were given, if you want to take  
9 a minute. This is an open book test, but it's not  
10 a take-home exam.  
11 DR. HAYWARD: Although I would  
12 interpret it as absolute risk increase rather than  
13 relative.  
14 DR. GARBER: The relative refers to  
15 your ranking, I believe, your ranking on that  
16 basis.  
17 DR. REIBER: If anyone needs them, I  
18 looked them all up on the CDC web site, so just  
19 ask me.  
20 DR. GARBER: Well, we could start with  
21 a discussion which might enlighten everybody what  
22 the CDC web site says. Jonathan.  
23 DR. WEINER: If one were to look them  
24 up on the CDC web site, what would you find?  
25 DR. REIBER: I'm glad you asked. In

00209

1 terms of fatal and non-fatal cardiovascular  
2 disease including CHF secondary to ischemic  
3 disease and non-hemorrhagic stroke, for 65 to 74  
4 it would be 135 per 1,000, and for 75-plus it  
5 would be (inaudible) per 1,000.  
6 In terms of retinopathy resulting in  
7 legal blindness, that would be about one in 6,000.  
8 In terms of other retinopathy, that  
9 would be about 21 per hundred in the group from 65  
10 to 74, and (inaudible) in the group 75-plus.  
11 In terms of nephropathy resulting in  
12 dialysis or transplantation, this is now per  
13 hundred thousand, and that would be 405 in the  
14 group from 65 to 74, and 383 for the group that's  
15 75 and above.  
16 In terms of other nephropathy including  
17 micro or macrovascular disease, about five per  
18 thousand.  
19 Amputation, that would be about 6.6 per  
20 thousand in the 65 to 74, and 7.9 in the group  
21 75-plus.  
22 And then in terms of abnormal  
23 neuropathy testing, that would be about 40 percent  
24 -- excuse me. That would be about 16.8 in the  
25 group 65 to 74, and 29.3 in the group 75-plus.

00210

1 DR. PUKLIN: Per thousand or percent?  
2 DR. REIBER: No. The only one that was  
3 per hundred thousand is the end-stage renal  
4 disease, everything else is per thousand, and then  
5 was per hundred, and that was other retinopathy.  
6 DR. PUKLIN: How about all cause of  
7 mortality?  
8 DR. REIBER: I can't give you that one.  
9 DR. GARBER: Of course the relevant  
10 question here is all cause mortality among  
11 diabetics, what the incidence is.  
12 (Members voted and staff collected the  
13 votes.)  
14 MR. QUEENAN: While they're collecting  
15 those, did you review the protocol for the  
16 questions that appear below.  
17 DR. GARBER: Yes, we're just going to  
18 have discussion, we intended to use these  
19 discussion questions in part to make sure that you  
20 considered these things as you voted, so one of  
21 them is, to this particular question, do the kinds  
22 of chronic diabetes-associated complications that  
23 occur with type 2 differ qualitatively and  
24 quantitatively from those that occur with type 1  
25 diabetes, and are there any other important

00211

1 chronic complications in type 2 diabetics over the  
2 age of 65.  
3 MR. QUEENAN: So just to be clear, this  
4 question as it's worded relates solely to type 2,  
5 but the discussion question compares the two.  
6 DR. GARBER: Right. We had decided in  
7 the conference call in the interest of time not to  
8 go point by point through the discussion questions  
9 unless they came up, but anybody who wants to  
10 discuss one of these should say so. Maggie.  
11 DR. PIPER: One of the discussion  
12 questions is should other outcomes be considered.  
13 Do you want to talk about that now?  
14 DR. GARBER: Yes.  
15 DR. PIPER: They mentioned something  
16 about overall quality of life. Is that something  
17 we ought to consider, in the context of an overall  
18 assessment with validated instruments?  
19 DR. GARBER: So what you're asking is,  
20 should one of the outcomes considered be sort of a  
21 global quality of life rather than solely being  
22 one that's a complication?  
23 DR. PIPER: Right. We have some that  
24 are very fractured, but on the other hand, quality  
25 of life is hard to do.

00212

1 DR. GARBER: Okay, discussion of that

2 point? That's certainly a valid consideration.  
3 DR. REIBER: From a patient's  
4 perspective, it is a very important consequence, I  
5 applaud you for that.  
6 DR. GARBER: If people would like to  
7 add to that, let me make sure Steve agrees, it's  
8 not exactly a parameter, but if you want to add an  
9 extra row for, let's just say a global quality of  
10 life measure without necessarily specifying them  
11 any further. We all know there are many that  
12 exist.  
13 MR. QUEENAN: Wouldn't it be just as  
14 well to get the sense of the panel that that's a  
15 good idea to add that, but it's not in the  
16 request.  
17 DR. GARBER: Well, there's sort of  
18 mechanical problems that we're not set up for,  
19 doing this one to five scale on Question 2 to do  
20 it. But what would be most useful to you, Steve?  
21 The suggestion is to either get the sense of the  
22 panel whether a global quality of life would be an  
23 important outcome to follow qualitatively, or we  
24 could ask them to score that just like the other  
25 ones.

00213

1 DR. KRIST: We could add this to  
2 Question 2, but that's also a bullet for  
3 Question 1, are there other important  
4 complications.  
5 DR. GARBER: Yeah, but you already  
6 voted on Question 1. Charlie, is that what you  
7 meant, for Question 1, to ask the sense of the  
8 panel?  
9 MR. QUEENAN: Yes. I mean, I though we  
10 voted on Question 1.  
11 DR. GARBER: Which did you mean, two?  
12 MR. QUEENAN: That's fine.  
13 DR. GARBER: So we can make that, if  
14 people agree with me, just put a line at the  
15 bottom, I don't have the same form as you, I  
16 think. I do now. So stick in Q of L under  
17 Question 2.  
18 DR. KRIST: Well, I mean, we're adding  
19 other outcomes for Question 2. I mean, there's a  
20 host of other outcomes to add to this, you can add  
21 all the outcomes looked at in UKPDS or DCCT, or  
22 get into cardiovascular disease with continuous  
23 monitoring, I'm sure there are various  
24 complications that aren't listed here as well, so  
25 that gets more complicated. I think our purpose

00214

1 is more to give guidance for those who are funding  
2 future research on these monitors, so we might not  
3 have to vote on that, but we're able to say our  
4 comments and what we think would be important

5 outcomes. This list is not all-inclusive for all  
6 the outcomes I would want to see for the  
7 effectiveness of continuous monitoring.  
8 DR. MOLICH: I think what we're talking  
9 about in Question 2 would be more like surrogate  
10 outcomes for the clinical outcomes, and these  
11 other things are much more short term, and I think  
12 that quality of life could very well fit into the  
13 short-term as well as the long-term process. I  
14 think they're different categories.  
15 DR. BLACK: Alan, again, I agree with  
16 those comments, but I think that the presentations  
17 this morning, thinking about the use of these  
18 devices is if it is early in the stage of disease  
19 or is it more with chronic, the way I read this  
20 question is where in the care of the patient with  
21 ongoing diabetes, which of these measures should  
22 it be. And I actually think it would be helpful  
23 just to clarify what we're talking about, I  
24 presume we're talking about essentially monitoring  
25 in the ongoing care of the patient with diabetes,

00215

1 rather than anything that might be done for a  
2 newly diagnosed diabetic.  
3 DR. GARBER: Maggie.  
4 DR. PIPER: Since we're talking about  
5 outcomes, I just want to point out something that  
6 has concerned me, and it changes if Alc is one  
7 outcome. But one question I had in relation to  
8 continuous monitoring is how have daily changes  
9 in -- well, that's not, I guess, how do you get  
10 from daily changes (inaudible) to overall changes  
11 in hemoglobin Alc, and how do you assess what is  
12 clinically meaningful versus what is there on  
13 paper but may have no relation to symptoms, and  
14 that's something that we haven't really touched  
15 on.  
16 DR. GARBER: Well, I'm not sure this is  
17 exactly your point, but some of the presentations  
18 show that if you took spot measurements of glucose  
19 with continuous measurement, you might see a lot  
20 of variability, and similarly, hemoglobin Alc is  
21 not a particularly good measure of variance, it's  
22 more like an integral measure of average blood  
23 glucose, but you could have people with similar  
24 hemoglobin Alc that have a very different pattern  
25 of blood sugar. Is that part of what you're

00216

1 getting at?  
2 DR. PIPER: Yeah, that is what I'm  
3 getting at, but how do you go from those  
4 measurements to, you know, clinically significant  
5 exclusions? And I think there is a body of data  
6 that might have been clinically validating a  
7 biomarker, but what are the limits that are

8 important and where does it become important, and  
9 how is that related to overt symptoms of, for  
10 example, hypoglycemia, either minor symptoms or  
11 the more severe symptoms, and I'm not sure that  
12 our answering these questions is going to  
13 highlight the need for that kind of information.  
14 DR. GARBER: Well, that can be a  
15 discussion point. As I understand it, these are  
16 not intended, no one of these questions is  
17 intended to be all-encompassing. We're really  
18 being asked to rate how important these measures  
19 are, but that doesn't mean they have to be  
20 comprehensive, and you're saying that there are  
21 other --  
22 DR. PIPER: Well, I'm just saying these  
23 are all good, and yes, I understand they're not  
24 all-inclusive. I'm just pointing out a gap that I  
25 have noticed, that I think is important, and I'm

00217

1 not sure if it's being brought out by just voting  
2 on these.  
3 DR. PUKLIN: Are you asking to include  
4 things such as the continuous subcutaneous glucose  
5 monitoring and other data points for glucose  
6 parameters as opposed to Alc?  
7 DR. PIPER: I'm suggesting an item for  
8 other data, which is not really what we're voting  
9 on, but there are some other things.  
10 DR. GARBER: Well, I think the  
11 transcript will mark your point, no matter what,  
12 and we can say that there are other aspects that  
13 need to be determined, maybe if you're going to  
14 assess continuous glucose monitoring, you may need  
15 better measuring than we have today that are  
16 surrogates for things such as Alc.  
17 DR. PIPER: Or daily glucose levels.  
18 DR. GARBER: Right. Are people ready  
19 to vote on that? As I hear the sense of the  
20 panel, and let me just make sure this is truly a  
21 consensus. There will be a voting rating on  
22 quality of life in addition to the ones listed  
23 here, and it was noted that these measures are not  
24 fully comprehensive, and others may need to be  
25 developed.

00218

1 MR. QUEENAN: Just to follow up on the  
2 discussion there, it might be possible to include  
3 as an additional voting item without at this time  
4 trying to define it too precisely, (inaudible),  
5 but again, giving CMS the sense of the committee  
6 to say that's another element that would be noted,  
7 so we can, the suggestion, and I'm not sure, but  
8 the suggestion would be to add that as a global  
9 comment as well.  
10 DR. PIPER: Or even to qualify the

11 clinical significance.  
12 MR. QUEENAN: Well, I think, yes.  
13 DR. GARBER: Right. Ed.  
14 DR. BLACK: Well, I also wondered what  
15 folks think about something about compliance. I  
16 keep thinking that this is going to be a  
17 comparative study of either no monitoring or one  
18 type of monitoring or another, if you keep the  
19 issue about compliance, both compliance with  
20 detection regimen, but also compliance with the  
21 diabetic treatment regimen. And again, because  
22 the hypothesis might be that the reason Alc gets  
23 better is because of sort of some increased  
24 attention to numbers, changing diets, maybe again,  
25 trying to figure out the linkage, so I think that

00219

1 would sort of be another possible outcome,  
2 something about measures of compliance.  
3 DR. RUCKER: Wouldn't that be included  
4 in Alc measurements? Wouldn't measures of  
5 compliance go with the Alc?  
6 DR. BLACK: I guess it may be or it may  
7 not be, and it sort of relates to are there  
8 changes made in the medication regimen that drive  
9 changes from Alc or do patients -- I mean, I  
10 understand if Alc changes, that's one of the main  
11 drivers of that change, and this may be getting  
12 too deep.  
13 DR. MOLICH: I think maybe as it's  
14 being used, at least currently in clinical  
15 practice in that these continuous glucose  
16 monitoring devices are not for the non-compliant  
17 patient; the non-compliant patient's home glucose  
18 monitoring is not going to be continuous  
19 monitoring. This is for really the patient who is  
20 already doing three, four, five, six times a day  
21 and is still having periods of hypoglycemia, and  
22 so that this is a way of getting to those  
23 unexplained values for the crashes from  
24 hypoglycemia all the time, and you get better  
25 indication by looking at these trends before the

00220

1 points have already been measured. And so that  
2 the changes that occur will be to regimen based  
3 upon these trends that really weren't apparent  
4 when he was testing four or five times a day. So  
5 this is not going to assess compliance. These  
6 patients are already compliant. This is to go  
7 ahead and do this next step.  
8 DR. GARBER: I suggest we go ahead and  
9 vote now. We have had a fairly long discussion on  
10 this and we do have five more questions after  
11 number two. So, if there are any suggestions  
12 about stuff to add, how about if the record  
13 reflects the suggestion that compliance be added,



14 but we'll just limit the voting to these?  
15 MR. QUEENAN: So we are voting on  
16 global quality of life?  
17 DR. GARBER: Yes.  
18 DR. PUKLIN: I thought it was stressed  
19 in one of the presentations that measuring blood  
20 glucose multiple times a day or through a  
21 continuous feedback mechanism is one way you could  
22 get to the effectiveness of glucose monitoring  
23 based on the three-month Alc. So I think the  
24 concept of some sort of glycemic measurement on a  
25 frequent basis is an effective way to get to the

00221

1 point that everything we're discussing now. You  
2 can't get the Alc levels, as I recall, without  
3 assessing the right population, right?  
4 DR. MOLICH: Right, but it's not a  
5 closed-door system, it's an open-door system.  
6 Let's make sure everybody understands that.  
7 DR. GARBER: Okay.  
8 (Members voted and staff collected the  
9 votes.)  
10 DR. GARBER: Are people ready to move  
11 on to Question 3A, which is, this is a whole new  
12 section on the relationship of glycemic control  
13 and outcomes in type 2 diabetes.  
14 DR. HAYWARD: There's two ways I could  
15 interpret what it means by glycemic control and I  
16 ask you for some guidance. One is tight glycemic  
17 control, you know, because there is that  
18 distribution, and the other is given the  
19 distribution of people with onset of diabetes  
20 after age 65, that distribution amongst that  
21 category of patient, and the answer may or may not  
22 be different, but I think I want to be voting on  
23 the same conceptual relationship. Most people  
24 with onset after 65 have very mild diabetes as  
25 opposed to a 70-year-old who's had it for 15 years

00222

1 who may have severe diabetes. So the intent of  
2 the question is which of those, tight glycemic  
3 control or given the amount, because I think  
4 everyone here would agree there is benefit  
5 regardless of your age in going from 11 to nine, I  
6 mean, people at 11 feel terrible.  
7 DR. GARBER: Well, I think the  
8 reference is to the DCCT and the UKPDS when they  
9 talk about tight control.  
10 DR. BRADHAM: So the implication is  
11 that the target is in the seven range, or eight  
12 range? There was a good bit of information this  
13 morning that suggested maybe six should be the  
14 range, and that's where some of the current trials  
15 are targeted, and is your question relevant, or is  
16 it important to understand what the target is to

17 answer that question?  
18 (Dr. Garber and Dr. Phurrough conferred  
19 off microphone.)  
20 DR. GARBBER: As Steve says, the targets  
21 are to be the targets in the trial, so we're  
22 talking about the lower range. Mark?  
23 DR. FENDRICK: But Rod's question gets  
24 this idea of control meaning where you set it and  
25 you say seven, or were you ten and became seven.

00223

1 And the speakers, because I'm not an  
2 endocrinologist, used control both to talk about  
3 absolute numbers of where you were as well as  
4 change. And Rod's question very much articulated,  
5 the question pertains to the average Medicare  
6 beneficiary who is an instant diabetic, he's  
7 saying that patient will not have very severe  
8 disease and is likely to have a lowish Alc. Is  
9 that controlled or not?  
10 DR. GARBBER: My interpretation, and  
11 Steve, you can correct me if CMS has something  
12 different in mind, but this is about what the  
13 target hemoglobin Alc starting point is, it's what  
14 the target is. So I just want to direct your  
15 attention to discussion points which apply to  
16 Questions 3 and 4 and appear on the next page, so  
17 things to think about as you address each of the  
18 questions. And Mark, you were trying to get at at  
19 least one of these, what change in hemoglobin Alc  
20 is needed to delay or reverse complications?  
21 Jonathan?  
22 DR. WEINER: One thing that's clear  
23 from many of the speakers is that a lot of people  
24 feel that a cardiovascular event is different than  
25 some of these other chronic diseases, but the way

00224

1 the question is worded says especially  
2 cardiovascular. So I think if you were to poll us  
3 about cardiovascular and then poll us about the  
4 others, it might be a different response. So are  
5 we to answer it based on factors we think is the  
6 most assured, so in other words, if we have some  
7 that we're confident on but some we're not  
8 confident on, do we vote the high or the low? Do  
9 you see my point?  
10 DR. GARBBER: Yeah. I'm cringing a  
11 little bit because the most precise and clear way  
12 to deal with your question is to vote separately  
13 on different complications which, if it's the  
14 committee's pleasure, we could try to do it, but  
15 otherwise you would have to give an overall  
16 assessment.  
17 DR. KRIST: We could divide it into  
18 micro and macrovascular.  
19 DR. GARBBER: I think cardiovascular and

20 non-cardiovascular would be cleaner, but it's up  
21 to the committee. Would you rather vote  
22 separately on cardiovascular and  
23 non-cardiovascular, or would you rather answer  
24 them overall?  
25 DR. KRIST: Although another way to

00225

1 maybe separate out some of this is in part A and  
2 past B of the questions, because the part B, not  
3 exactly, but one is treatment and one is the  
4 complications.  
5 DR. GARBER: How many people would  
6 rather break this up into two, say cardiovascular  
7 and non-cardiovascular, this is 3A, and then how  
8 many would prefer to leave it as it is? First,  
9 how many are in favor of breaking this up and  
10 going to separate votes.  
11 (Panelists raised hands.)  
12 DR. GARBER: Five? Okay. And how many  
13 would rather leave it as it is?  
14 (Panelists raised hands.)  
15 DR. GARBER: Six. So just vote how you  
16 feel. Let me just add, I think those of you who  
17 wanted to break it up, after you turn in your  
18 vote, or you can state right now what your reasons  
19 are for voting for wanting to distinguish them, or  
20 how your answer would differ for cardiovascular or  
21 non-cardiovascular. Does anybody want to speak to  
22 that point? Jonathan?  
23 DR. WEINER: I would rather hear from  
24 the experts, but I think it's very clear that  
25 there is a lot of questions about cardiovascular,

00226

1 but it's also clear that when it says especially  
2 cardiovascular in the question, that I would be  
3 more confident in my question if it didn't say  
4 especially. But I think it's fine, we had a vote  
5 and the experts tended to vote one way, and I  
6 heard them.  
7 DR. GARBER: Okay. Any further  
8 discussion on 3A? Are you ready to vote on that?  
9 (Members voted and staff collected the  
10 votes.)  
11 DR. GARBER: Question 3B is, how  
12 important statistically and clinically is glycemic  
13 control relative to other therapeutic modalities  
14 such as lipid control and blood pressure control  
15 in the prevention and delay of chronic diabetic  
16 complications, especially cardiovascular events  
17 and death, in patients who develop type 2 diabetes  
18 at age 65 or older?  
19 Let me just point out something that's  
20 probably obvious to you. The ratings here, the  
21 words describing the ratings are different from  
22 most of the other questions. This one ranges from

23 very important to very unimportant. This is not  
24 about your confidence, this is how important it  
25 is, so five is very important, one is very

00227

1 unimportant.  
2 Any discussion on that question?  
3 Please go ahead and vote.  
4 (Members voted and staff collected  
5 ballots.)  
6 DR. GARBER: Question 4A, how confident  
7 are you that glycemic control reverses or reduces  
8 progression or pre-existing chronic complications  
9 in a clinically meaningful way in patients who had  
10 type 2 diabetes prior to age 65? So the only  
11 difference here is pre-existing diabetes versus  
12 new onslaught. Any discussion on this question?  
13 (Negative response.)  
14 DR. GARBER: Okay. Please go ahead and  
15 vote on 4A.  
16 (Members voted and staff collected the  
17 votes.)  
18 DR. GARBER: Question 4B, how important  
19 statistically and clinically is glycemic control  
20 relative to other therapeutic modalities in the  
21 reversal and delayed progression of pre-existing  
22 chronic complications, especially cardiovascular  
23 events and death, in patients with type 2 diabetes  
24 prior to 65?  
25 So this is people with pre-existing

00228

1 diabetes and also with pre-existing chronic  
2 complications. Any questions? And this is a  
3 rating of importance, five being very important,  
4 one being very unimportant. Any discussion on  
5 this question?  
6 DR. PUKLIN: I may have missed a point  
7 here, but help me, everybody, if I have. I think  
8 all the evidence indicated that no tight  
9 management, either from the DCCT or the British  
10 study, or any study that's going on, nothing  
11 reverses established complications in diabetics  
12 for micro or macrovascular disease. So in that  
13 regard, you would have to find that there is no  
14 confidence in that. But tight management does  
15 retard the rate of progression of a lot of these  
16 clinical features, certainly in the younger  
17 population, and there's some evidence that it may  
18 ultimately be doing that in the elderly  
19 population. So this question, by lumping together  
20 the concept of reversal or delayed progression,  
21 kind of forces certain answers upon us in my  
22 opinion. Am I correct?  
23 DR. GARBER: Yeah. If you think that  
24 it's important for the rate of progression and not  
25 reversal, you could still rate it as relatively

00229

1 important. So if you think it's very important  
2 for delaying progression, even if it doesn't work  
3 for reversal, you might give that a somewhat  
4 important rating. It doesn't have to do  
5 everything.  
6 DR. PUKLIN: But it's and, it's not  
7 and/or, and all these questions have the concept  
8 of reversal --  
9 DR. GARBER: I think that should be  
10 and/or, is what Steve was saying.  
11 DR. BRADHAM: Should we add and/or?  
12 DR. GARBER: Yeah, change it to and/or.  
13 DR. PUKLIN: And what about the last  
14 question? Then I need my answer slip.  
15 DR. GARBER: Well, Question 3A is an  
16 or, and 4A is an or. This is only 3B and 4B. Is  
17 there anybody that needs to change their vote on  
18 3B?  
19 (Negative response.)  
20 (Members voted and staff collected the  
21 votes.)  
22 DR. GARBER: Okay. Is everyone ready  
23 for Question 5? Question 5 is, can the  
24 information on hypoglycemia in type 1 patients be  
25 generalized to Medicare-aged type 2 patients?

00230

1 More specifically, how confident are you that  
2 hypoglycemic risks, meaning frequency and  
3 severity, for a given level of glyceic control is  
4 similar for patients with type 1 diabetes and  
5 type 2 diabetes, with the definition of  
6 hypoglycemia at the bottom there, less than 30  
7 milligrams per deciliter or requiring third-party  
8 intervention. And note the discussion questions  
9 below there.  
10 MR. QUEENAN: Alan, I have two  
11 questions. For the first discussion question, you  
12 know, we mentioned that we would discuss this  
13 today, but the frequency of hypoglycemia might  
14 differ by the class of therapeutic agent, so do we  
15 want to put that on the table for some discussion  
16 as to whether we want to consider differentiating  
17 that, acknowledging that it may be a little  
18 complicated?  
19 DR. GARBER: Yeah.  
20 MR. QUEENAN: So that's my first point.  
21 But secondly, and related to that, in looking at  
22 the definition of hypoglycemia that's on here,  
23 that's a very stringent definition of  
24 hypoglycemia, and the question that we're voting  
25 on is actually a two-part question. The first one

00231

1 is can the information on hypoglycemia be

2 generalized, and it seems to me that that  
3 information has to stand on its own in terms of  
4 whatever definition you use in a particular  
5 setting has to be what we evaluated. And  
6 secondly, when you talk about whether hypoglycemic  
7 risks for a given glycemic control are similar for  
8 type 1 and type 2, the question is asking us to  
9 consider frequency and severity. So it seems to  
10 me, again, there might be, I don't understand why  
11 we're putting that definition in here as a caveat  
12 to the voting question.

13 DR. GARBER: I think that it's in the  
14 context of asking for more specificity where CMS  
15 came up with this. That sounds like a very  
16 (inaudible) definition of hypoglycemia. But let  
17 me just ask you, Charlie, your first comment maybe  
18 could be combined a little bit with the second,  
19 and that is to say, would one useful distinction  
20 be, rather than type 1 versus type 2, would be  
21 whether they were receiving insulin or not? Is  
22 that what you were sort of driving at?

23 MR. QUEENAN: Certainly within the  
24 subset of type 2, yes, whether they are on  
25 insulin. But I think we could also say whether

00232

1 they are on oral hypoglycemic agents and I think  
2 there was some hypoglycemia observed in those  
3 patients.

4 DR. GARBER: Yeah. Anyway, I think we  
5 have a lot of variables floating around here.  
6 There was the age of the population, there's  
7 type 1 versus type 2, there's the therapy, and  
8 presumably there is also the therapeutic goal,  
9 i.e., whether you are trying to go for a very low  
10 blood sugar or low hemoglobin A1c, that might be a  
11 very different situation. So I think what you  
12 raised in the question was the best way to talk  
13 about generalizability to the Medicare population,  
14 which information applies to the population of  
15 interest to us. And so if you were suggesting at  
16 first, maybe we might want to hear from some  
17 people here. Mark, did you want to say something?

18 DR. MOLICH: There clearly is a  
19 gradation of frequency of hypoglycemia in type 2  
20 patients depending upon the therapy that you're  
21 doing, and also in the glycemic targets in general  
22 with type 2 patients on insulin, or with  
23 hypoglycemia in type 1. But on the other hand, in  
24 the type 2 patient who is over 65, it may be more  
25 fragile or more modest, but a hypoglycemia that

00233

1 might make them a little bit light-headed, may be  
2 far more dangerous than the same development that  
3 might give you a light-headed 18-year-old who will  
4 bounce off the floor, while the 70-year-old will

5 fracture a hip. So I think that we have to think  
6 about the entire situation.  
7 DR. GARBER: Well, Charlie's, I think  
8 suggestion, or what you were floating, I think, is  
9 the idea of should we maybe break this up into  
10 different classes, whatever they might be, or  
11 should we leave this just one overall question.  
12 MR. QUEENAN: I was just raising the  
13 question, which is to differentiate between  
14 insulin and non-insulin, if we had to simplify, if  
15 it's not more beneficially broken apart further  
16 than that, but that would make sense to me.  
17 DR. GARBER: So, let me just make sure  
18 how I understand this would affect the wording of  
19 the question. Maybe it should be, can the  
20 information on hypoglycemia for type 1 patients  
21 who are already on insulin be generalized to  
22 Medicare-aged type 2 patients, and then with two  
23 subgroups, one subgroup being using insulin and  
24 the other group not using insulin. Charlie, is  
25 that how you would break up the question?

00234

1 MR. QUEENAN: That would be fine.  
2 DR. GARBER: So the question is, do you  
3 want to break up the voting that way or just leave  
4 it all together? So, discussion from the  
5 committee?  
6 (No response.)  
7 DR. GARBER: Do you want to just vote  
8 on whether to break it up? Okay. All those in  
9 favor of breaking it up into two subgroups, using  
10 and not using insulin, raise your hand.  
11 (Panelists raised hands.)  
12 DR. GARBER: Those who want to keep it  
13 as one?  
14 (Panelists raised hands.)  
15 DR. GARBER: Okay, the splitters have  
16 it this time. So what I would like you to do is  
17 break up your answers to the question, very  
18 confident to very unconfident, first would be with  
19 insulin use, and the second would be non-insulin  
20 use. Maggie?  
21 DR. PIPER: Well, another way to do it  
22 would be to leave the question as is and then  
23 follow up with another question, or is that  
24 implied in the question?  
25 DR. GARBER: I think that is intended.

00235

1 Mark?  
2 DR. MOLICH: It's not intended. You  
3 said the risk of hypoglycemia. Is that the risk  
4 of becoming hypoglycemic? Those are different  
5 questions.  
6 DR. GARBER: Well, frequency and  
7 severity are both, that is the probability of it

8 occurring as well as severity, should it occur.  
9 DR. PIPER: But is that severity in  
10 terms of numbers or severity in terms of some kind  
11 of event?  
12 DR. GARBER: It's the latter. Severity  
13 is what Mark was just citing, a 70-year-old falls  
14 and breaks his hip, versus the 18-year-old jumping  
15 off the floor and getting right back up. The  
16 prevalence means the probability, or the frequency  
17 that the event will occur, that the hypoglycemia  
18 will occur. You're now voting splitting the two  
19 on the question as I rephrased it, insulin and  
20 non-insulin, the first one is insulin users, the  
21 second one is non-insulin users.  
22 (Members voted and staff collected the  
23 votes.)  
24 DR. GARBER: Are people ready to tackle  
25 Question 6? This is really a one-part question,

00236

1 to start out with anyhow. Question 6, how  
2 confident are you that glucose monitoring improves  
3 by a clinically meaningful degree glycemic control  
4 and decreases the risk for hypoglycemia at a given  
5 level of hemoglobin A1c? The idea here, this  
6 includes the trade-off between the risk of  
7 hypoglycemia and tightened glucose control. And  
8 this question has a large number of discussion  
9 questions, so please take a few moments to review  
10 the discussion questions.  
11 DR. FENDRICK: Is your interpretation,  
12 Steve, we talked about this on the conference  
13 call, so if we believe that it improves glycemic  
14 control but did not lower the rate of  
15 hypoglycemia, or vice versa, your interpretation  
16 is that we should vote on the positive, but I want  
17 to hear from Steve that that's -- this is one that  
18 should probably be split, because you could  
19 directly address how you feel about hypoglycemia  
20 and you could also address how you feel about A1c,  
21 to get rid of any of this confusion about saying  
22 they might be equal. They might be different.  
23 DR. HAYWARD: (Inaudible.)  
24 DR. FENDRICK: You're agreeing, I just  
25 want Steve to confirm that.

00237

1 DR. PHURROUGH: Yes, net health  
2 outcomes.  
3 DR. FENDRICK: Then that's what it  
4 should read, which is what you proposed.  
5 DR. GARBER: Okay. So, is there  
6 further discussion on this question?  
7 MR. QUEENAN: I guess I want to raise  
8 one point, since the discussion questions refer to  
9 changes in medical management, and we've heard a  
10 lot today about the linkage between measuring and



11 actually doing something about it, and in some  
12 cases the difficulty in studies is interpreting  
13 the results. So I guess just to clarify, are we  
14 assuming that all variability as to whether or not  
15 someone reacts to a measurement is imbedded in  
16 this question? In other words, did it help or  
17 could it help.  
18 DR. GARBER: I think this really says  
19 did it help, that is to say it may provide better  
20 information, but it doesn't mean it changed the  
21 management.  
22 MR. QUEENAN: So then, the  
23 interpretation could be in effect one-directional,  
24 that if you add testing or more testing, that it  
25 may or may not improve outcome, but it doesn't

00238

1 necessarily address what would happen if you were  
2 to take testing away, what that would do to  
3 outcomes. At that point I can't do that, I would  
4 be deprived of the ability to do that to improve  
5 management.  
6 DR. GARBER: Alex, did you want to make  
7 a comment?  
8 DR. KRIST: Well, I just wanted to make  
9 sure I understood the distinction so when we talk  
10 about clinically meaningful, because I'm trying to  
11 differentiate Question 6 from 7. I mean, 7 is,  
12 does it decrease chronic complications. When I'm  
13 reading 6 and it says clinically meaningful, I'm  
14 thinking about clinically meaningful meaning  
15 controlling blood sugar, focusing on that as  
16 opposed to decreased long-term complications like  
17 morbidity and mortality. Just to differentiate,  
18 am I right on that or am I misunderstanding that?  
19 I wanted to make sure we're all in agreement.  
20 DR. GARBER: Well, they are not totally  
21 unrelated. If you think that there's a, you would  
22 have to explain, let's say that hemoglobin A1c  
23 falls by .1 percent with no change, no decrease in  
24 hypoglycemic episodes. Whether that, you would  
25 consider that to be clinically meaningful, one

00239

1 thing would be your assessment of whether that  
2 translates into long-term outcomes. So they're  
3 not totally unrelated, the question is whether  
4 there's a clinically meaningful change in glycemic  
5 control, so you have to decide what makes it  
6 clinically meaningful.  
7 DR. KRIST: Then how is 7 different  
8 when I get to 7?  
9 DR. GARBER: You could say that  
10 presumably it's not due to glycemic control, like  
11 if your patient has lost weight, et cetera, you  
12 might have a different answer to 7, regardless  
13 of 6. So, is everybody ready to vote on

14 Question 6? Let me know. Go ahead, Edgar.  
15 DR. BLACK: I just wondered whether  
16 it's worth having any discussion about the second  
17 bullet point, whether particularly our diabetes  
18 experts have some comments about that, the use of  
19 monitoring in someone who is newly diagnosed  
20 versus someone with chronic disease. Again, not  
21 for changing voting, but as a discussion and for  
22 the record.  
23 DR. REIBER: There is a star here that  
24 indicates that we're excluding newly diagnosed in  
25 this question, because I think we saw the evidence

00240

1 this morning how important it is for newly  
2 diagnosed individuals to get both education and  
3 how to use the blood, monitor the blood glucose.  
4 DR. GARBER: I think that, but if  
5 people, maybe the experts want to address the  
6 answer to the question, that is the discussion  
7 question for excluding newly diagnosed from the  
8 voting column, so if anybody has any comments,  
9 please feel free. Are there established benefits  
10 for increased glucose monitoring for six months,  
11 so yes, we've not seen any data on that. Any  
12 other comments on that? Okay, Dr. Davidson.  
13 DR. DAVIDSON: It will be a short  
14 comment. (Inaudible.) I'm not sure it's  
15 monitoring per se that leads to tremendous  
16 improvement. What happens is (inaudible).  
17 DR. REIBER: Isn't that the same as  
18 what we want to do when we give them information  
19 on what their lifestyle choices will do to their  
20 blood glucose? It continues to teach them how to  
21 deal with blood glucose, so just that self-  
22 awareness is critical.  
23 DR. GARBER: Any other discussion?  
24 Mark.  
25 DR. MOLICH: The other thing, as I

00241

1 mentioned before, is patients get worse over time,  
2 so periodic monitoring is certainly worthwhile to  
3 give them an idea of what's happening to their  
4 diabetes. If they're under control, if their  
5 blood sugar, Alc is under seven, that means they  
6 only have to measure their Alc so many times a  
7 week.  
8 SPEAKER: At the risk of taking the  
9 opposite position, I just want to point out that  
10 the new guidelines for type 1 and type 2 strongly  
11 suggest that these moments are absolutely  
12 critical, and the frequency (inaudible) may be  
13 much greater than the possibility of (inaudible)  
14 type 1 or 2 is critical during that time. So I  
15 would say that that's one of the stronger  
16 (inaudible) very special to get very good control,

17 monitoring.  
18 DR. GARBER: Thank you. I just want to  
19 remind you all that you are being asked to vote on  
20 the frequency of monitoring to give these  
21 confidence ratings. That includes diet therapy  
22 all the way to using insulin for type 2, and then  
23 type 1 separately.  
24 DR. FENDRICK: I presume the fifth  
25 option is not applicable for type 2 diet and

00242

1 non-insulin?  
2 DR. GARBER: Yeah. That's right. If  
3 there's no further discussion, please go ahead and  
4 vote.  
5 (Members voted and staff collected the  
6 votes.)  
7 DR. GARBER: You're almost at the end  
8 of the marathon, so we'll see if you have that  
9 last wind. Question 7, does increased glucose  
10 monitoring in type 2 patients improve clinical  
11 outcomes? More specifically, how confident are  
12 you that, 7A, an increased frequency of outpatient  
13 glucose monitoring translates to decreases in  
14 chronic complications, specifically here,  
15 cardiovascular morbidity and mortality, in  
16 Medicare-aged patients with type 2 diabetes? And  
17 then the second, 7B is whether the optimal  
18 frequency is known. Any discussion on 7A? Mark.  
19 DR. MOLICH: An increase implies a  
20 process; where are we starting from? And it does  
21 make a difference whether they're on insulin, not  
22 on insulin, and the presumption is that whatever  
23 the increased frequency may be, that that  
24 information will be utilized by the patient and  
25 the caregiver to change care when indicated; is

00243

1 that the implication?  
2 DR. GARBER: I think in theory what  
3 would happen is what would happen in practice.  
4 And with regard to whether they're on insulin or  
5 not, I think once again that if it's really  
6 important to draw the distinction, we could break  
7 this up into two separate questions.  
8 DR. MOLICH: What's the starting point,  
9 increase from what?  
10 DR. HAYWARD: Also, I'm having  
11 difficulty deciding what 7 adds to Question 6, so  
12 the purpose, after answering 6, what am I  
13 answering in 7 that would give something different  
14 than averaging my answers to 6?  
15 DR. GARBER: Well, if you think that  
16 the only measurement by which this increases  
17 frequency, if you think the only measurement for  
18 glycemic control is frequent measures based  
19 on hypoglycemia is --

20 DR. HAYWARD: But what's the difference  
21 if we said high clinical significance in 6, so  
22 what's the difference?  
23 DR. GARBER: Let me just add, by the  
24 way, what we learned from several of these centers  
25 is that the important thing is really the whole

00244

1 diabetes management program, of which this is an  
2 element. So if you think it's an essential  
3 element to the program, increasing the frequency,  
4 and it doesn't specify what the baseline is or the  
5 targets are, but if you think it's an essential  
6 element and that increasing frequency will improve  
7 it, then you should say that you're confident, or  
8 whatever your response would be. I don't know how  
9 to answer this question about frequency, or about  
10 baseline frequency. Steve, do you want to give  
11 that a shot?  
12 DR. PHURROUGH: The intention was,  
13 whether correct or not, was that it increased from  
14 whatever is currently being performed to whatever  
15 the next level is, so twice a week to once a day,  
16 once a day to four times a day, recognizing that  
17 that is fairly indistinct, but in fact we did not  
18 intentionally want to specify what you were going  
19 from and to.  
20 DR. HAYWARD: Would it be fair then to  
21 summarize the question as how often would a  
22 clinician in regular practice increase the  
23 intensity to lead to clinical benefits, would that  
24 be the nature of the question?  
25 DR. PHURROUGH: I think that's a decent

00245

1 assumption.  
2 DR. GARBER: Mark, did you want to make  
3 a comment?  
4 DR. MOLICH: This is really a vague  
5 question. If a patient is doing well with an A1c  
6 of 6.0 and has glycemic control, I'd say great and  
7 wouldn't change anything at all, so it very much  
8 depends on the clinical situation. I would vote  
9 to stay with 6 and delete 7 also.  
10 DR. GARBER: Well, 7B is a very  
11 different question.  
12 DR. MOLICH: Not really.  
13 DR. GARBER: Well, you might still  
14 think you can't answer it, but it's a different  
15 question. 7B, part of what you're saying is that  
16 you might say that the optimal frequency varies  
17 with the patient or some sort of algorithm, and  
18 this is a general question about what we know  
19 about how often it should be done.  
20 DR. MOLICH: But didn't we apply that  
21 to 6 when we said how many times we were going to  
22 testing, one time, two times, four times?

23 DR. GARBER: No, no. I mean, if you're  
24 highly confident, basically if you're not  
25 confident that glucose monitoring improves

00246

1 glycemic control with a particular frequency, the  
2 answer may or may not be due to your concern about  
3 what the optimal frequency is, so there is a  
4 difference.  
5 DR. HAYWARD: I withdraw my objection  
6 to 7B. 7B is my rating of how confident I am of  
7 my rating on 6.  
8 DR. GARBER: That's a fair  
9 interpretation. So first of all, we have heard  
10 one, I guess not quite a motion, but a suggestion  
11 that we strike 7A. So, the committee can choose  
12 not to vote on 7A, so let me see a show of hands  
13 of the people who do not want to vote on 7A.  
14 (Panelists raised hands.)  
15 DR. GARBER: Those who do.  
16 (Panelists raised hands.)  
17 DR. GARBER: Okay, so it's six to four  
18 for voting. So, has everybody voted on 7A? Vote  
19 now if you haven't already, please.  
20 (Members voted and staff collected the  
21 ballots.)  
22 DR. GARBER: Okay. 7B, further  
23 discussion of 7B?  
24 DR. REIBER: Accepting Rod's comment.  
25 DR. GARBER: You just told us how you

00247

1 were going to vote.  
2 DR. WEINER: I view it a little bit  
3 differently from my perception of health plans and  
4 Medicare and Medicaid, so this might be time for a  
5 plug. We're scientists and well-intentioned  
6 advocates here, but when you translate it for  
7 insurance plans or for Medicare or Medicaid, or  
8 are people planning to do the right thing for what  
9 needs to be, I think of the gentleman from  
10 Minnesota that had the algorithm, taking the  
11 science and trying to translate it, the previous  
12 matrix needs to be filled in for the clinician,  
13 for the payer, and so that's why I look at this  
14 question a little bit different. I don't know if  
15 that's what you wanted right now.  
16 DR. GARBER: Yes, Jonathan, I think  
17 that's a perfect segue and Steve may have some  
18 comments and questions for the group, but any  
19 further questions?  
20 (Negative response.)  
21 DR. GARBER: Has everyone voted on 7B?  
22 (Members voted and staff collected the  
23 votes.)  
24 DR. GARBER: Well, this is a shockingly  
25 needy performance, but this issue of research

00248

1 guidance is very important, and we need to know  
2 some of these research challenges to be able to  
3 help us assist CMS in making their decisions.  
4 Steve, did you want to add some things?  
5 DR. PHURROUGH: Yes. I would like to  
6 spend a few minutes, since we have an hour and a  
7 half left in the day, what I would like to hear  
8 both from the panel and from the audience is sort  
9 of a twofold question. What are those questions  
10 that we need to answer? We talked to some of the  
11 speakers, most of the speakers talked about that,  
12 but in a type 1 continuous monitoring pump  
13 setting, what are those questions that need to be  
14 focused on? And then for the type 2 patients,  
15 particularly the older Medicare patients, there's  
16 a different set of questions, and what's the best  
17 way to answer those questions. That's sort of the  
18 first set.  
19 And then the second set is, what can  
20 CMS do to help with that? What role should CMS  
21 assume in not just its typical role of attempting  
22 to ensure the patients get what they need, but  
23 more in the role of fostering, answering some of  
24 the questions that are left to be answered.  
25 So let me throw that out, and perhaps

00249

1 it's just a summary of things we've already heard,  
2 but since we have a good number of people, it  
3 would be helpful for us to hear what you as a  
4 panel have digested along with what we've heard  
5 today.  
6 DR. PIPER: Just to repeat what I was  
7 talking about earlier regarding continuous glucose  
8 monitoring, and I think there is a strong need to  
9 go beyond reporting numbers of daily sticks, and  
10 numbers and graphs, and to really get to  
11 clinically meaningful outcomes such as falls or  
12 other measures of hypoglycemia.  
13 DR. PHURROUGH: And I was going to ask  
14 that question, particularly of those who have  
15 presented that information or made some point, are  
16 there measures of glucose excursion that are  
17 validated or, let's just ask that question, what  
18 are the measures of glucose excursion that are  
19 validated, and if the answer to that is none, what  
20 are those that are being utilized in research, or  
21 should be utilized in research? Number of times  
22 below 200, number of times below 50, do we need a  
23 continuous monitor, whatever? What kind of  
24 specificity do we have in this data?  
25 SPEAKER: Well, I could just add a

00250

1 little bit about the strips that are used, we talk

2 about standard deviations. To get an average of  
3 124 at breakfast is fine (inaudible) 220 or 230,  
4 so you're not bouncing around too much. But when  
5 you see patients, you get a third of the numbers  
6 above 230, so that is a number we use all the time  
7 in research looking at this. And although the  
8 organizations don't have the exact postprandial  
9 goals, I think that everyone agrees that 200 is a  
10 good general baseline, above 200 is too high  
11 whether before or after eating. And then of  
12 course with hypoglycemia, below 60 is the  
13 standard, I'm not sure where you all got 30, but  
14 certainly below 60 with or without symptoms is a  
15 real cut point for measuring.  
16 DR. PHURROUGH: You're writing a  
17 protocol and in the protocol you're going to write  
18 down, here are our outcomes, so we need a very  
19 short phrase, here's what we're going to measure,  
20 the number of times or the ratio of, or --  
21 SPEAKER: Well, you could say the  
22 percent of time patients are in the hyper and  
23 hypoglycemia range, what is the percentage of time  
24 blood sugars are above or below the percent of  
25 numbers above or below these two numbers, the hypo

00251

1 and the hyper range.  
2 And the third one is the ability to see  
3 where, if you have a study that's at least three  
4 months, you're obviously going to (inaudible) so  
5 that's the gold standard.  
6 DR. GARBER: I think one of the issues  
7 is that a standard deviation is simple to measure,  
8 but what this points out is why that is not that  
9 meaningful by itself. A standard deviation is not  
10 that difficult to measure, but if you go 70 points  
11 below --  
12 SPEAKER: Then you would have a mean  
13 plus or minus a standard deviation.  
14 DR. GARBER: But the point is that  
15 wouldn't be helpful, if you have measures where  
16 you could have percent of time with comparable  
17 health risks, and you may be coming up with sort  
18 of a second measure only in more detail where you  
19 define severe hypoglycemia, moderate hypoglycemia,  
20 and then come up with a hyperglycemia which could  
21 be a totally different size range.  
22 DR. PIPER: The question has to be in  
23 relation to clinically significant events and  
24 whether the data is there, that's kind of what I  
25 was getting at.

00252

1 DR. RUCKER: Well, one question that  
2 you may want to comment on, I think it was in one  
3 of our questions around, for example, the wound  
4 healing. In terms of Medicare policy, it may be

5 interested to look at the effects of glucose  
6 monitoring on some of these patients. I mean, our  
7 discussion today has really been about chronic  
8 things, but it may be that there is an economic  
9 impact on Medicare just the way some of these  
10 things, and I don't know if that's within the  
11 purview of the group here. I think that in terms  
12 of wound healing, the last set of subquestions  
13 sort of got at that, and I don't know that we were  
14 shown that today or in the materials.  
15 DR. PHURROUGH: I would like to not go  
16 there, Don. I want to keep it honest here. One  
17 of the reasons that we've heard today about the  
18 need for continuous glucose monitoring is to  
19 prevent these hypoglycemic and hyperglycemic  
20 events, and my question is what should we do to  
21 determine whether that is in fact a benefit from  
22 this continuous glucose monitoring. You've got to  
23 have a trial and the trial has to measure  
24 something. What is that measurement that is the  
25 outcome, the number of times below 60, the number

00253

1 of times above 200, the square root of the sum of  
2 the participle? What are you going to put in the  
3 trial to measure?  
4 DR. HAYWARD: This literature is very  
5 complex and I think the answer to what you really  
6 want is not determined. Ultimately you would want  
7 to know how dispersion is done in a prospective  
8 study before complications affects these. I think  
9 you're going to want to confer with the  
10 investigators from the DCCT and look at dispersion  
11 to get the best answer, and not from me.  
12 But you want to be very careful. A lot  
13 of the work that's being mentioned by several  
14 members of the audience here are confusing an  
15 outcome, ridding us of diabetes, that is due to a  
16 history of poor glycemc control with an  
17 assessment of variability of control in somebody  
18 who does not yet have complications but may have  
19 them in the future. The DCCT had a devil of a  
20 time applying that dispersion or variation when it  
21 was associated with outcomes. I think they have  
22 the best studies and the best look at this, but  
23 there is no doubt that people that have the most  
24 dispersion and variation have the worst outcomes.  
25 And it's not clear that those studies

00254

1 are, at least epidemiologically they are invalid  
2 for answering that question. So I don't think  
3 anyone knows, and in fact there's a lot more doubt  
4 in the epidemiological literature that dispersal  
5 is as important as many people believe in their  
6 heart. I think it's good theory but there's  
7 something else going on.



8 I would really recommend, rather than  
9 trying to get the answer here, to talk to those  
10 investigators that have done the most detailed  
11 work.  
12 SPEAKER: I need to jump in here and  
13 disagree because it's not that complicated. You  
14 can be a numbers person and really confuse the  
15 issue. We know what normal people do throughout  
16 the day, we know that they hardly ever get below  
17 70 and they hardly ever get above 140. We know  
18 that high Alc's can be damaging. There have been  
19 studies to show, and I showed one today, that a  
20 certain subset of the population can lower Alc.  
21 The hard numbers that you're looking for are right  
22 there in front of you, low blood sugar. There is  
23 nothing more complex than that. And yeah, we can  
24 argue, and I agree with you that --  
25 DR. HAYWARD: I just repeat that those

00255

1 studies are --  
2 SPEAKER: I didn't interrupt you.  
3 DR. HAYWARD: -- not really  
4 epidemiologically valid because those people  
5 already have brittle diabetes, and you want people  
6 before they develop brittle diabetes.  
7 SPEAKER: I disagree a hundred percent  
8 on that. So what I'm saying is that the outcomes  
9 are quite simple, it's the number of times that  
10 people spend outside the normal range. You can  
11 play with these numbers all you want to make it  
12 really complicated, but it's not that complicated  
13 when it comes down to practicality.  
14 DR. PHURROUGH: And since those numbers  
15 are not yet, that particular, that is not a  
16 validated measure, then I think any of these  
17 trials we're looking at for continuous glucose  
18 monitoring needs in some manner or fashion, if  
19 it's going to measure that, to validate that as an  
20 appropriate surrogate outcome for the hard  
21 outcomes that are a problem, falls, break your  
22 hip, run into your neighbor backing out of the  
23 driveway.  
24 SPEAKER: If I could make a couple of  
25 comments. (Inaudible, not speaking into

00256

1 microphone.) -- looking at their blueprint  
2 measurement and looking at dispersion or  
3 instability, and I think you'll find real  
4 differences between that versus CGM. And the  
5 third thing is the suggestion which, I think what  
6 you have to do is to do a study in which you're  
7 doing preprandial or usual monitoring, and compare  
8 it to continuous and see if there is a difference  
9 in outcomes. There has been a study published in  
10 a small number of patients showing there was a

11 difference in that, but future studies need to  
12 show that.  
13 DR. PHURROUGH: So, did I understand  
14 that you are not sure that dispersion is something  
15 that's necessarily needed to be measured in CGM  
16 studies, that we can just use the standard Alc and  
17 other outcomes?  
18 SPEAKER: The data are that dispersion  
19 in in vitro systems do set off a string of  
20 metabolic abnormalities, stress, a whole bunch of  
21 things related to surrogates in vitro. I don't  
22 think it's been shown in vivo that it makes any  
23 difference, but I think as Dr. Hayward said, it  
24 has never been shown in vivo, so this may be a way  
25 to get at it.

00257

1 DR. PHURROUGH: Back to my question.  
2 We've heard a lot today that the benefit of CGM is  
3 to avoid these dispersions. Is that in fact  
4 something that needs to be measured, that's  
5 Maggie's question, is it something that needs to  
6 be measured and what is a validated measure to  
7 use?  
8 SPEAKER: I would say yes to the first  
9 one, and you could use (inaudible) or could use  
10 the number of times they spin low and the number  
11 of times they spin high, but I'm not a  
12 statistician.  
13 SPEAKER: I think part of the reason  
14 why it's so difficult to answer your excellent  
15 question is what Dr. Garber was talking about,  
16 which is the asymmetry of the numbers in terms of  
17 their clinical significance. If we look at the  
18 results with Alc, a straight mathematical model is  
19 not a very difficult thing to construct. I know  
20 in diabetes care (inaudible) of using a  
21 mathematical model to express some of the  
22 advantages of continuous glucose monitoring. But  
23 there are models, they are very valid, and I think  
24 they are fairly reasonable and it's a good way of  
25 measuring the percentage of time below the target,

00258

1 but the area above that is also, I think we do  
2 need to look at it.  
3 However, on the issue of safety, it's a  
4 whole different matter. If all you do is look at  
5 the percentage of time the person spends under 60  
6 or 70 milligrams percent, that really is  
7 clinically not terribly as important as the number  
8 of minutes or the number of times that a person's  
9 glucose is so low that they are incapacitated  
10 because their cognitive function is zilch, and at  
11 different times it has great importance. A  
12 moderate episode of hypoglycemia when a person is  
13 traveling down the freeway is very, very different

14 than in the evening, and likewise at night. Some  
15 of the others that are reported are scary.  
16 I think technology for this entire area  
17 is in flux, so there's some of these data reported  
18 in ones less active than others, there are  
19 conditions where this trait is not going to be  
20 accurate as well. But from the point of view of  
21 what I would suggest is, I would suggest that  
22 there should be a separate measure that relates to  
23 the safety issue, and in that case we should have  
24 a disproportionate emphasis on the hypoglycemia as  
25 it results to safety, which is powerfully

00259

1 different for different age groups and for  
2 different ways of measuring. That is something  
3 that has not yet been constructed and we would be  
4 happy to work with you on it, I think it's  
5 important.  
6 But in addition, you have several  
7 benefits. One is the ability to lower the Alc,  
8 the other is the ability to avoid hypoglycemia.  
9 And the others are significant safety issues, and  
10 that is separate and I would say it is a third  
11 separate measure that you should consider, and one  
12 of the enormous potential advantages of this is it  
13 could help us in areas where we would otherwise  
14 not be able to do it because if someone is  
15 disabled, they cannot check their own.  
16 DR. PHURROUGH: I want to quickly  
17 summarize this and then move to type 2 continuous  
18 monitoring. What is a potential to happen in the  
19 Medicare world is that we have no national  
20 decisions around monitoring, continuous  
21 monitoring, it's done at contractor discretion  
22 currently, and there's the potential for our  
23 contractors to do some local decisions that may  
24 not be consistent across the country, and there's  
25 the potential for our DMERCs to make broader

00260

1 decisions on the DNE aspects of it that may in  
2 fact not make many of you comfortable. I have no  
3 prediction as to whether that's going to occur or  
4 not, but those kinds of things happen.  
5 So if someone then comes to me and says  
6 we don't like what's occurring with our local  
7 contractors or our DMERCs, we want you to make a  
8 national decision. So when you come to me to say  
9 I want you to make a national decision, you're  
10 going to have to come to me with some of this  
11 evidence that we're talking about. So it's not  
12 necessarily I'm the one who needs to know what  
13 measure is an appropriate measure in determining  
14 whether continuous glucose monitoring is worthy of  
15 coverage or not, it's your issue. So you need to  
16 have this discussion so that you as researchers

17 are able to produce evidence that allows experts  
18 to become comfortable that in fact it does make a  
19 difference in a patient's life and, therefore, we  
20 have the evidence that says if we're asked  
21 nationally to make that decision, we can  
22 rationally make that decision. So I'm trying to  
23 stimulate a discussion that you need to have  
24 within your field to answer those questions that  
25 you as clinicians need to answer.

00261

1 DR. MOLICH: I agree with Dr. Hellman  
2 that a reduction in Alc of .4 percent is at the  
3 same time occurring as with a reduction in  
4 hypoglycemia, so the overall feeling is that it  
5 affects the benefits-risk ratio. And I think a  
6 reasonable study that the manufacturers may want  
7 to do is to have a study that randomizes patients  
8 to improve the hemoglobin Alc level with or  
9 without CGMS, but then looking at hypoglycemia  
10 (inaudible) trying to reduce frequency because for  
11 all of us clinicians, as we try to get better  
12 data, what we're really looking at is hypoglycemia  
13 and to try to get better control. So you look at  
14 control of hypoglycemia, that is an outcome.  
15 SPEAKER: (Inaudible, not speaking into  
16 microphone) bringing their mean down, so I think  
17 this discussion is actually looking to a composite  
18 of Alc and hypoglycemia.  
19 Now getting to your question, and so  
20 until we actually get to more use of the actual  
21 technology, only then will we be able to sort of  
22 validate what these discussions mean. The  
23 American Diabetes Association has come out with a  
24 guideline working with a 30 percent reduction in  
25 hypoglycemic events, and that is what they were

00262

1 specifically referring to as meaningful for new  
2 technology.  
3 DR. FENDRICK: I want to ask a quick  
4 question. What we've seen before in Medicare  
5 coverage decisions is that when you're basing your  
6 decision on individual relative risk reductions  
7 like the likelihood a person might have a slightly  
8 lower risk of hypoglycemia, but at the same time  
9 there's a widespread availability and the  
10 potential media frenzy, like we saw in the New  
11 York Times yesterday about glucose monitoring,  
12 that so many more people will start doing this  
13 that don't need it. Then your absolute numbers of  
14 hypoglycemia will go up significantly, because  
15 although you get a small reduction per person,  
16 because I assume the rate of hypoglycemia even  
17 with tighter control is not zero. So there is the  
18 potential to have this population effect that  
19 actually does greater harm than good. Are you

20 following me?  
21 DR. MOLICH: Not quite. It's a  
22 widespread use of this technique that's going to  
23 occur?  
24 DR. FENDRICK: Well, that's because  
25 they're promoting the use of this unproven

00263

1 technology outside of diabetes. Alan, are you  
2 following what my point is on this?  
3 DR. GARBER: I am, but I'm not sure  
4 that it's going to work out the way you're  
5 stating.  
6 DR. FENDRICK: I actually think because  
7 we have not been convinced about the value of  
8 going from seven to six, that we know that the  
9 attempt, I think we heard from all the experts,  
10 the more people try to go from seven to six, while  
11 the clinical benefits may be small, the likelihood  
12 of hypoglycemia may be large.  
13 DR. PHURROUGH: A lot of people are not  
14 attempting tight control because they think they  
15 have to stick their finger too many times a day.  
16 If they don't have to stick their finger too many  
17 times a day when they have a continuous monitor,  
18 then there will be more people trying tight  
19 control and, therefore, more hypoglycemia, I think  
20 is your argument.  
21 DR. FENDRICK: You've heard that  
22 before, okay.  
23 DR. GARBER: Yes, Bob.  
24 SPEAKER: The research that we're going  
25 forward with in a prospective fashion is to take

00264

1 advantage of the technology that for the first  
2 time provides us with information we've never had.  
3 So even if it sounds redundant, it's different,  
4 because we want to understand excursions or  
5 dispersions and what the impact is. The anecdotal  
6 data which you saw some of is very striking in  
7 that you can take wide excursions and move them  
8 into normal range, and for the people who study  
9 complications who tell us that glucose on an  
10 electronic basis in an elevated fashion is toxic  
11 to tissue, to get rid of that is a wonderful idea.  
12 You started your question and asked  
13 what was the statistical outcome measuring. I  
14 don't know the final answer but you would like to  
15 know everything you possibly could, whether it be  
16 error, the percent in the abnormal range, and if  
17 you can change that, whether it's in somebody who  
18 started with a hemoglobin A1c of seven but now  
19 they never have excursions beyond whatever normal  
20 range is, we may not be able to live long enough  
21 to reproduce the DCCT forever, but if I had the  
22 disease or you had the disease, you would

23 certainly prefer the normal range over glucose  
24 toxicity if you had a choice.  
25 So I think some of those questions are

00265

1 unanswered and what we need to do, perhaps is not  
2 necessarily vote on the correct answer to the one  
3 measure that we should engage in, but understand  
4 where this new technology is taking us and sort of  
5 work together on what measures we could be  
6 bringing to bear to answer the real question.  
7 DR. PHURROUGH: The whole goal of this  
8 conversation is to stimulate that thinking and to  
9 recognize that our interest is that you're going  
10 to come to us potentially and ask us to do  
11 something on a coverage basis, and we're going to  
12 want to see what the evidence is, and so you have  
13 to know what you will need.  
14 Let's talk type 2 for just a minute.  
15 What questions should we as CMS be insisting that  
16 you as diabetologists answer in the type 2  
17 Medicare population?  
18 DR. HAYWARD: Just to reiterate, I  
19 think we're close to accepting that as an outcome,  
20 so I think he's right, we need more research, but  
21 improving variance is only interesting now to test  
22 the hypothesis, and I think that CMS should not be  
23 making decisions on coverage based upon that  
24 outcome, you know, Alc, hypoglycemia, quality of  
25 life. So I think the answer is in the research

00266

1 realm and, you know, years from now they might  
2 show that improving that is a good enough outcome,  
3 but that intermediate outcome, I think people will  
4 attempt to say that's worth this intervention.  
5 And I think there is no quality epidemiologic data  
6 that's using it as an outcome, it didn't show up  
7 in our list of outcomes for these studies, and I  
8 think rightfully so.  
9 MR. BOYER: My name is Tom Boyer, I've  
10 worked in the diabetes field for 23 years and  
11 actually lobbied on behalf of the American  
12 Diabetes Association about 10 to 12 years ago.  
13 I would like to share with the  
14 committee a couple of thoughts as a person with  
15 type 1 diabetes. Number one, I hope that any  
16 barrier or any guideline you use to determine the  
17 efficacy of continuous glucose monitoring is not  
18 restricted in nature and that it is consistent  
19 with what you use to examine other benefits that  
20 are out there. Because I just have to say, if  
21 this was a tool that was used to fight cancer or  
22 some other disease where outcomes were actually  
23 improving right now, CMS would probably cover it  
24 in a heartbeat.  
25 It's very disturbing to hear the tenor

00267

1 of the conversation right now, at least on the  
2 staff side, and as a person who lives out there,  
3 it's improving people's lives, and it's really  
4 frustrating to me. I have been in this place for  
5 23 years and never before in my life have I  
6 actually heard the DCCT challenged the way in  
7 which I heard it challenged this morning, and  
8 that's really frustrating and of concern to me.  
9 The second thing that I would like to  
10 share with the group is, I'm concerned with the  
11 focus on glucose monitoring and not necessarily  
12 Alc control. If you look at the measures that  
13 exist in the Medicare program today of the people  
14 over age 65, most people are not having Alc tests  
15 done per recommendations by ADA, by NCQA, or by  
16 any other reasonable standard or measure that's  
17 out there.  
18 There are some rather significant  
19 impediments that exist that are preventing people  
20 in the Medicare program to take care of themselves  
21 and my hope is that in the future that this  
22 committee will address those impediments and make  
23 some recommendations both to Congress, to the  
24 Secretary of HHS, and to others in the community  
25 about what we can do collectively to help those

00268

1 folks. Because if we don't, based on the data  
2 that just came out from CDC this week that we are  
3 going to have 40 million people living with type 2  
4 diabetes within our lifetime in America, we're  
5 going to have a rather substantial problem in the  
6 Medicare program. This is not just about one  
7 benefit, this is about continuity of care for  
8 people with diabetes. And with that, I will turn  
9 the microphone back over, and I would hope that  
10 the research would examine what those folks need  
11 over the days ahead. Thanks.  
12 DR. PHURROUGH: Question. For our type  
13 2 older Medicare population, what questions do you  
14 think are left to be answered, what do we need to  
15 encourage from our viewpoint from the diabetic  
16 community? We voted on some things, I don't know  
17 what all the answers to those were, but what  
18 should we be focusing on in our operation?  
19 DR. HAYWARD: Just in terms of the last  
20 questions, I strongly encourage those people  
21 interested in continuous glucose monitoring to  
22 select cohorts of older patients who are the most  
23 likely to benefit, and demonstrate it. These are  
24 really dramatic improvements. You don't need a  
25 big study. We're not talking about asking for,

00269

1 you know, \$15 million studies. If you look at

2 brittle older type 2 diabetics, if this adds  
3 information, it should be fairly easy to  
4 demonstrate that in a clinical trial, and I can do  
5 the power calculation for people.  
6 But although it may seem logical that  
7 more information does good, we know from lots of  
8 industries that more information can do harm. If  
9 you monitor INRs too frequently, you will make  
10 worse decisions than if you monitor them less  
11 frequently; you can overcorrect. You give fighter  
12 pilots too much information, they make worse  
13 decisions and can make a lot of mistakes in  
14 combat. These things that seem logical are not  
15 always logical.  
16 If these are beneficial, do those  
17 studies, select your patients better, and, you  
18 know, I'm all for it. I have a nephew with type 1  
19 diabetes; one of my closest colleagues, Will  
20 Manning, has type 1 diabetes; we've written half  
21 these papers together. You know, my father, my  
22 grandmother and two of my sisters have diabetes.  
23 But I think we underestimate how much you can do  
24 harm from untested anecdotal evidence. And we've  
25 done it many times in medicine, you know, from

00270

1 hormone replacement therapy to Vioxx, where  
2 everyone was sure that it was malpractice not to  
3 do that. So do the studies, and we just do not  
4 have any high quality evidence now.  
5 DR. RUCKER: I think if you look at the  
6 numbers that I think Gayle cited on the cardiac  
7 things, the big issues to me for Medicare, the  
8 core, it sounds like they answered sort of the  
9 monster questions that had to do with cardiac  
10 disease, how much of it has to do with blood sugar  
11 control, how much is done by blood pressure or  
12 lipid control. And I think one of the big issues  
13 is just to factor the timetable, having that  
14 information, into these decisions about type 2,  
15 because it looks, just from reading and doing the  
16 math, that's the biggest point of impact in terms  
17 of life years.  
18 DR. KRIST: I was going to make a  
19 similar comment, and that is with all the studies  
20 going on right now, I think they're going to be  
21 very helpful, I think cardiovascular disease seems  
22 to be a big area we have to look into, and tied in  
23 with that, we should take a look at opportunity  
24 costs. So when there's an older diabetic type 2,  
25 is there an opportunity cost to lower his Alc's

00271

1 along with hypertension and other things, so this  
2 is something we should look at as well.  
3 DR. BLACK: We've talked a lot about  
4 the need for clinical trials, but I think there



5 are also some questions that would be in our  
6 interest to learn just from descriptive studies.  
7 I was impressed by the results of, the preliminary  
8 results we saw from the VA diabetes trial and what  
9 seems to be their sense of keeping Alc's in what I  
10 think most people would say is a very good target  
11 range, so maybe some descriptive studies of what  
12 is it taking, you know, what medications, what  
13 severity, what level of monitoring. Again, I  
14 think the idea that it isn't just testing but how  
15 it's used, and it's a team approach, so sharing  
16 information about how close was that study to  
17 actually attaining good control of diabetes, and  
18 what is the role of self-monitoring blood glucose  
19 in achieving those target ranges.  
20 SPEAKER: Since the ACCORD trial has  
21 been brought up, what CMS might do is think about  
22 helping the ACCORD trial to look at continuous  
23 glucose monitoring in that population, because it  
24 actually is a perfect study. There is a group  
25 that's intensively trying to get these very good

00272

1 controls, and some are making it and others  
2 aren't, or having difficulty running up against  
3 hypoglycemia or not achieving goal. Could this  
4 therapy actually improve those patients' ability  
5 to get there, and although the studies have  
6 already been done, this may add another element,  
7 but it may be a very good way to look at this.  
8 SPEAKER: I wanted to underscore what  
9 Rod Hayward said. I think the issue of  
10 (inaudible) beyond the capability of someone  
11 comprehending, it is highly likely that the new  
12 technology will spawn certain solutions which will  
13 cause errors just by the complexity or the way in  
14 which they come to fruition, and there may be  
15 winners and losers, and not all the products that  
16 are being proposed will probably be ones that  
17 achieve long-term preference.  
18 Having said that, I'm reminded of the  
19 fact that the reason why we don't have a DCCT for  
20 type 2 diabetes to my understanding was primarily  
21 the cost, the estimate was that the proposed  
22 expense was something a little bit too much for  
23 NIH to deal with. And that is actually one of the  
24 reasons why a lot of the data that ultimately used  
25 and not necessarily from RCTs, the staggering cost

00273

1 of doing multiple studies, resulted in mixed  
2 populations. There is, it would be unfortunate  
3 here if the payment issues kind of stood the  
4 science on its head.  
5 And the last thing I wanted to comment  
6 on is that we had made a decision when we started  
7 our study, which I shared some data on, that given

8 that the information suggested that a multiple  
9 target approach was more likely to be effective,  
10 we were willing to sacrifice the fact that we  
11 couldn't figure out which was more important,  
12 whether it be hypertension control or cholesterol  
13 control or sustenance management, or glucose  
14 control, but doing them simultaneously, although  
15 not comprehensive, was appropriate. And it may be  
16 a less important question as to whether  
17 hypertension is more important than glucose, and  
18 it may well be in many groups, and whether or not  
19 we can get the best possible outcomes for  
20 patients.

21 DR. PHURROUGH: All right. Well, I  
22 think we've had some good discussion on that. I  
23 appreciate your input. Panel, any last comments  
24 before we let you head your way?  
25 (Negative response.)

00274

1 DR. PHURROUGH: Thank you very much for  
2 your attendance and your input and spending the  
3 time reading the vast amount of work that's here.  
4 Thank you to the audience, those who presented and  
5 attended, and you can look at our web site  
6 sometime tomorrow for all the results. Thank you  
7 very much.  
8 (Whereupon, the committee adjourned at  
9 3:09 p.m.)

10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25