

00001

1  
2  
3  
4  
5  
6  
7  
8  
9

10 CENTERS FOR MEDICARE AND MEDICAID SERVICES  
11 Medicare Evidence Development & Coverage  
12 Advisory Committee

13  
14  
15  
16

17 January 27, 2010

18  
19 Centers for Medicare and Medicaid Services  
20 7500 Security Boulevard  
21 Baltimore, Maryland

22  
23 Reported by:  
24 Paul Gasparotti

25

00002

1 Panelists

2

3 Chairperson

4 Clifford Goodman, Ph.D.

5

6 Vice-Chair

7 Saty Satya-Murti, M.D., F.A.A.N.

8

9 Voting Members

10 Phyllis Atkinson, R.N., M.S., GNP-BC

11 Catherine Eng, M.D., F.A.C.P.

12 John Cox, D.O., F.A.C.P.

13 Josef E. Fischer, M.D.

14 Daniel F. Hayes, M.D.

15 Nora A. Janjan, M.D., M.P.S.A.

16 Karen Kaul, M.D., Ph.D.

17 Karl Matuszewski, M.S., Pharm.D.

18 Maren T. Scheuner, M.D., M.P.H.

19 Steven Teutsch, M.D., M.P.H.

20

21 Industry Representative

22 Peter Juhn, M.D., M.P.H.

23

24

25

00003

- 1 PANELISTS (Continued)
- 2
- 3 Guest Panel Members
- 4 Elaine K. Jeter, M.D.
- 5 Elizabeth Mansfield, Ph.D.
- 6 William Pao, M.D., Ph.D.
- 7
- 8 Guest Speaker
- 9 Andrew N. Freedman, Ph.D.
- 10
- 11 CMS Liaison
- 12 Louis Jacques, M.D.
- 13
- 14 Executive Secretary
- 15 Maria A. Ellis
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

00004

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks	
5	Maria Ellis/Louis Jacques/Clifford	
6	Goodman	6
7		
8	CMS Presentation and Voting Questions	
9	Lisa Eggleston/Jeffrey Roche, M.D.	17
10		
11	Guest Speaker Presentation	
12	Andrew N. Freedman, Ph.D.	24
13		
14	Presentation of Technology Assessment	
15	Thomas A. Trikalinos, M.D., Ph.D.	43
16		
17	Scheduled Public Comments	
18	Diane J. Allingham-Hawkins, Ph.D.	89
19	Mitchell I. Burken, M.D.	94
20	Jeff Voigt	97
21	J. Russell Teagarden	102
22	August J. Salvado, M.D.	107
23	Michael C. Dugan, M.D.	112
24	Bruce Quinn, M.D., Ph.D.	115
25	Jan A. Nowak, M.D., Ph.D.	120

00005

1	Scheduled Public Comments (Continued)	
2	Steve Brotman, M.D., J.D.	124

3		
4	Open Public Comments	
5	Scotti Hutton	130
6	Volker Wagner, M.D.	133
7		
8		
9	Questions to Presenters	134
10		
11	Initial Open Panel Discussion	165
12		
13	Formal Remarks and Voting Questions	234
14		
15	Final Open Panel Discussion	263
16		
17	Closing Remarks/Adjournment	297
18		
19		
20		
21		
22		
23		
24		
25		

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at  
3 8:20 a.m., Wednesday, January 27, 2010.)  
4 MS. ELLIS: Good morning and welcome,  
5 committee chairperson, vice chairperson,  
6 members and guests. I am Maria Ellis, the  
7 executive secretary for the Medicare Evidence  
8 Development and Coverage Advisory Committee,  
9 MedCAC. The committee is here today to discuss  
10 the evidence, hear presentations and public  
11 comment, and make recommendations concerning  
12 whether the results of pharmacogenomic testing  
13 affect health outcomes of patients with cancer  
14 when used as a guide for certain drug  
15 treatments.  
16 The following announcement addresses  
17 conflict of interest issues associated with  
18 this meeting and is made part of the record.  
19 The conflict of interest statutes prohibit  
20 special government employees from participating  
21 in matters that could affect their or their  
22 employers' financial interests. Each member  
23 will be asked to disclose any financial  
24 conflicts of interest during their  
25 introduction. We ask in the interest of

00007

1 fairness that all persons making statements or  
2 presentations also disclose any current or  
3 previous financial involvement in development  
4 or marketing of testing supplies, kits or

5 testing equipment that provides testing  
6 services intended for clinical or research use  
7 in pharmacogenomic testing of human tissues,  
8 including tumor tissue from patients with  
9 cancer, or that develop policies for such  
10 testing. This includes direct financial  
11 investment, consulting fees and significant  
12 institutional support. If you haven't already  
13 received a disclosure statement, they are  
14 available on the table outside of this room.  
15 We ask that all presenters please  
16 adhere to their time limit. We have numerous  
17 presenters to hear from today and a very tight  
18 agenda and therefore cannot allow extra time.  
19 There is a timer at the podium that you should  
20 follow. The light will begin flashing when  
21 there are two minutes remaining and then turn  
22 red when your time is up. Please note that  
23 there is a chair for the next speaker, and  
24 please proceed to that chair when it is your  
25 turn. We ask that all speakers addressing the

00008

1 panel please speak directly into the mic, and  
2 state your name.  
3 For the record, voting members present  
4 for today's meeting are: Dr. Saty Satya-Murti,  
5 Phyllis Atkinson, Dr. Catherine Eng, Dr. John  
6 Cox, Dr. Josef Fischer, Dr. Daniel Hayes,  
7 Dr. Nora Janjan, Dr. Karen Kaul, Dr. Karl  
8 Matuszewski, Dr. Maren Scheuner, and Dr. Steven  
9 Teutsch. A quorum is present and no one has  
10 been recused because of conflicts of interest.  
11 The entire panel, including nonvoting  
12 members, will participate in the voting. The  
13 voting scores will be available on our website  
14 following the meeting. Two averages will be  
15 calculated, one for the voting members and one  
16 for the entire panel.  
17 I ask that panel members please speak  
18 directly into the mics, and you may have to  
19 move the mics since we have to share. If you  
20 require a taxicab, there is a sign-up sheet at  
21 the desk outside of the auditorium; please  
22 submit your request during the lunch break.  
23 Please remember to discard your trash in the  
24 trash cans located outside of this room.  
25 And lastly, all CMS guests are only

00009

1 permitted in the following areas of the CMS  
2 site: The main lobby, the auditorium, the  
3 lower level lobby, and the cafeteria. Any  
4 persons found in any other area other than  
5 those mentioned will be asked to leave the  
6 conference and will not be allowed back on CMS

7 property again.  
8 And now I would like to turn the  
9 meeting over to Dr. Louis Jacques.  
10 DR. JACQUES: Thank you, Maria, and  
11 good morning. Just as a brief comment, this  
12 meeting today is a follow-up from a meeting  
13 that we had in the MedCAC in February of 2009  
14 where we asked the committee to make  
15 recommendations to us about the desirable  
16 characteristics of evidence related to  
17 diagnostic genetic testing. This particular  
18 meeting is taking some pharmacogenomic test  
19 contexts that are somewhat more developed than  
20 some others and essentially saying gee,  
21 compared to what we recommended a year ago, in  
22 fact does it look like the evidence generation  
23 is in fact consistent with what the MedCAC's  
24 recommendations had been.  
25 Just as a reminder, we do not have an

00010

1 open national coverage determination today on  
2 any of the drugs that we're talking about or  
3 any of the test platforms that will be  
4 discussed today. So, I would nonetheless  
5 advise everyone to, you know, heed the MedCAC's  
6 recommendations carefully.

7 Now I'll turn things over to Dr. Cliff  
8 Goodman.

9 DR. GOODMAN: Thank you, Dr. Jacques.  
10 I do need to reiterate the importance of our  
11 speakers staying on time. We have the little  
12 light system, as Maria Ellis mentioned, and I  
13 will not hesitate to give you a warning when  
14 there are about one or two minutes left, and we  
15 certainly need to stick to that, and of course  
16 our panelists are cognizant of the importance  
17 of getting to the point as well. We've got a  
18 lot of ground to cover today, we need to make  
19 sure that all the questions are heard, all the  
20 invited speakers are heard, and all the other  
21 speakers that have signed up to speak are heard  
22 as well.

23 I will start off and introduce myself,  
24 and have a brief statement about potential  
25 conflicts of interest. I apologize ahead of

00011

1 time if mine tends to be a little longer.  
2 Cliff Goodman, vice president of the Lewin  
3 Group. I should mention, the Lewin Group is a  
4 subsidiary of Ingenix, which is a health  
5 information and analytics firm. Ingenix is a  
6 wholly owned subsidiary of United Health Group.  
7 United Health Group has a bunch of  
8 subsidiaries, one of which happens to be United

9 Health Care.

10 I have no personal financial interests  
11 to declare but I do want to disclose that as a  
12 salaried employee of the Lewin Group, as a  
13 salaried employee of the Lewin Group, I am  
14 involved in projects having to do with  
15 preparations and papers to some organizations  
16 that are supported at least in part by some of  
17 the industry companies involved in this field.  
18 We've done white papers on such subjects as the  
19 value of laboratory medicine, how to determine  
20 that, the relationship between laboratory  
21 medicine and health care effectiveness  
22 research. I've done work for the Association  
23 of Community Cancer Centers, the National  
24 Conference of Cancer Networks, both of which  
25 are supported in part by some of these

00012

1 companies. I should also disclose that we're  
2 currently under contract to the HHS Office of  
3 the Assistant Secretary for Planning and  
4 Evaluation, to do a study on genomic data  
5 sharing. Those are my disclosures. Dr.  
6 Satya-Murti.

7 DR. SATYA-MURTI: Saty Satya-Murti.  
8 I'm a neurologist and I have been a contractor  
9 medical director for a number of years. I  
10 consult for industry, academic societies, and  
11 on this panel as well. I have no conflicts of  
12 interest.

13 MS. ATKINSON: Phyllis Atkinson,  
14 gerontological nurse practitioner. I have an  
15 independent health care practice and I have  
16 nothing to disclose, no conflicts.

17 DR. COX: John Cox, medical  
18 oncologist, practicing with Texas Oncology in  
19 Dallas, Texas. No personal conflicts.

20 DR. ENG: I'm Catherine Eng, a medical  
21 director at On Lok Senior Health Services, and  
22 a clinical professor of medicine and geriatrics  
23 at UCSF. I have no conflicts of interest and  
24 no disclosures.

25 DR. FISCHER: Josef Fischer, a

00013

1 practicing surgeon at Harvard Medical School.  
2 I have no conflicts, no contracts, no  
3 disclosures.

4 DR. HAYES: I am Dan Hayes, I'm a  
5 medical oncologist from the University of  
6 Michigan Comprehensive Cancer Center. I serve  
7 on the AEB and have stock options for two  
8 diagnostic start-up companies, neither of which  
9 has a product being evaluated at this meeting.  
10 I receive research funding from Veridex, which

11 is the diagnostic part of J&J, but none of  
12 their products are being evaluated here.  
13 I am cochair of the ASCO college of  
14 medical pathologists review committee for many  
15 markers, including HER2, which is being  
16 discussed here. I'm also on the National  
17 Comprehensive Cancer Network committee for  
18 breast cancer and breast cancer markers, which  
19 has reviewed several of the markers being  
20 reviewed here today, and I'm also on the  
21 National Academy of Biochemists guidelines  
22 panel, which again has reviewed several of the  
23 markers being reviewed today. And finally, I  
24 participated in research involving at least  
25 three of the markers being presented today, but

00014

1 I have no specific financial conflicts of  
2 interest related to today's discussions.  
3 DR. JANJAN: My name is Nora Janjan.  
4 I'm a radiation oncologist from M.D. Anderson  
5 Cancer Center. I do consulting work with Ikon  
6 and Accuray, but none of my consulting consists  
7 of anything related to pharmacogenomics, and I  
8 have no personal financial conflicts of  
9 interest.

10 DR. KAUL: I'm Karen Kaul, a clinical  
11 professor of pathology at University of Chicago  
12 and an anatomic pathologist and molecular  
13 pathologist at North Shore University Health  
14 System. I do periodic speaking in the area of  
15 molecular diagnostics, but have no conflicts of  
16 interest pertaining to the things being  
17 discussed today.

18 DR. MATUSZEWSKI: Karl Matuszewski.  
19 I'm a pharmacist by training. I'm a vice  
20 president of Gold Standard, that's a subsidiary  
21 of Reed Elsevier. I am also the editor in  
22 chief of Clinical Pharmacology, an online drug  
23 information resource.

24 DR. SCHEUNER: I'm Maren Scheuner, I'm  
25 a clinical geneticist. I work at the VA

00015

1 Greater Los Angeles, I work at the RAND  
2 Corporation. I have funding from the VA, the  
3 CDC and NIH, and have no conflicts, no  
4 disclosures.

5 DR. MATUSZEWSKI: I forgot to mention,  
6 I also have no financial conflicts or  
7 disclosures.

8 DR. TEUTSCH: I'm Steve Teutsch. I'm  
9 a retiree of Merck and have certain stock  
10 options, but I'm unaware that they have any  
11 conflicts with this. And I was on the EGAPP  
12 program which did evaluate irinotecan and

13 UGT1A1, and I chaired the Secretary's Advisory  
14 Committee on Genetics, Health and Society, but  
15 not involving these specific issues.  
16 DR. JUHN: Peter Juhn, president of  
17 Therapeutic Resource Centers and Medco Health  
18 Solutions. I'm the industry rep on the panel  
19 today, and my conflict is I'm employed by Medco  
20 Health Solutions and we do have a set of  
21 services that provide testing, pharmacogenomic  
22 testing to various clients.  
23 DR. JETER: Hi, I'm Elaine Jeter. I'm  
24 a pathologist and I am a contract medical  
25 director for Palmetto GBA.

00016

1 DR. GOODMAN: Any conflicts? I'm  
2 sorry.  
3 DR. JETER: I'm sorry. No conflicts.  
4 DR. MANSFIELD: I'm Elizabeth  
5 Mansfield, I'm the director of personalized  
6 medicine in the Office of In Vitro Diagnostics  
7 at FDA. I have no conflicts and no financial  
8 interests.  
9 DR. PAO: I am William Pao, an  
10 associate professor of medicine and cancer  
11 biology at Vanderbilt University Medical  
12 Center. I'm also the director of Personalized  
13 Cancer Medicine there, in which we are  
14 interested in performing mutational profiling  
15 of tumors prior to therapy. I'm also a  
16 consultant with a molecular diagnostics company  
17 which does sell kits for this area of testing,  
18 and also has the rights to a patent application  
19 for testing certain mutations.  
20 DR. GOODMAN: Thank you, panel. And I  
21 would remind the panel, for the sake of our  
22 highly devoted court reporter, always please  
23 speak directly into the microphone, don't speak  
24 faster than at least I can understand, and this  
25 also goes for all the people present here today

00017

1 at this meeting. If you do want to say  
2 something, and we do want to hear what you've  
3 got to say, especially if it's concise, please  
4 do wait to be recognized and come to a  
5 microphone. Otherwise, our court reporter may  
6 not see you and may have difficulty hearing  
7 you, and if it's important enough for you to  
8 say, it's important enough for us to have this  
9 recorded in the transcript of the meeting.  
10 I believe I will now turn it over for  
11 the CMS preparation and voting questions, is  
12 that correct, Maria?  
13 MS. ELLIS: Yes.  
14 DR. GOODMAN: All right. So this is

15 Lisa Eggleston, who will begin the  
16 presentation.  
17 MS. EGGLESTON: Good morning. My  
18 name's Lisa Eggleston, and once again, welcome  
19 to our MedCAC on pharmacogenomic testing for  
20 anticancer therapy. I am serving as lead  
21 analyst for this project along with Dr. Jeff  
22 Roche, and I also at this time would like to  
23 acknowledge the director of the Division of  
24 Items and Devices, and that is Dr. Jim Rollins,  
25 if he would raise his hand. Thank you.

00018

1 At this time I'm actually going to  
2 skip to our Medicare voting scale to apply,  
3 because prior to reading the MedCAC questions,  
4 I would like to explain the voting scale. The  
5 panel will vote on the questions using a  
6 confidence scale with a range of one to five,  
7 with one being low confidence and five being  
8 high confidence.

9 The first voting question is, number  
10 one: How confident are you that there is  
11 sufficient evidence to determine whether  
12 pharmacogenomic testing affects health  
13 outcomes, including benefits and harms, for  
14 patients with cancer whose anticancer treatment  
15 strategy is guided by the results of testing as  
16 described below? Please consider this question  
17 separately for each test in the following  
18 clinical situations.

19 CYP2D6 for breast cancer patients who  
20 are candidates for tamoxifen.

21 UGT1A1 for colon cancer patients who  
22 are candidates for irinotecan.

23 HER2/neu for breast cancer patients  
24 who are candidates for trastuzumab.

25 BCR-ABL for CML patients who are

00019

1 candidates for imatinib.

2 KRAS for metastatic colorectal cancer  
3 patients who are candidates for cetuximab or  
4 panitumumab.

5 Number two. For those items where the  
6 answer to question one is at least in the  
7 intermediate range, a mean score of greater  
8 than or equal to 2.5, how confident are you  
9 that pharmacogenomic testing improves health  
10 outcomes for patients with cancer whose  
11 anticancer treatment strategy is guided by the  
12 results of testing as described below? Again,  
13 please consider this question separately for  
14 each test in the following clinical situations.

15 CYP2D6 for breast cancer patients who  
16 are candidates for tamoxifen.

17 UGT1A1 for colon cancer patients who  
18 are candidates for irinotecan.  
19 HER2/neu for breast cancer patients  
20 who are candidates for trastuzumab.  
21 BCR-ABL for CML patients who are  
22 candidates for imatinib.  
23 And KRAS for metastatic colorectal  
24 patients who are candidates for cetuximab  
25 and/or panitumumab.

00020

1 Number three. How confident are you  
2 that these conclusions are generalizable to  
3 community-based settings and the Medicare  
4 beneficiary population?  
5 Number four. Please discuss any  
6 important evidence gaps and recommend how they  
7 should be addressed.

8 Questions one through three will be  
9 voted on using the scale on the following  
10 slide, that I will show you again, and question  
11 four will be discussed during the MedCAC  
12 proceedings.

13 At this time I will turn the  
14 presentation over to my colleague, Dr. Jeffrey  
15 Roche.

16 DR. ROCHE: Good morning, everyone,  
17 and thank you very much for your service.  
18 Today the MedCAC panel is going to look at a  
19 question that has been increasingly important  
20 for the Medicare program in general, and that  
21 is to find better ways to successfully treat  
22 cancer on behalf of Medicare beneficiaries and  
23 their physicians.

24 As we consider this questions that  
25 Lisa has just looked at with us, in many ways

00021

1 it boils down to perhaps a more simple  
2 question. Can we say with confidence based on  
3 the available evidence that pharmacogenomic  
4 testing can guide cancer treatment decisions to  
5 improve patient outcomes?

6 When physicians consider a treatment  
7 strategy, and perhaps this is a comment that  
8 goes beyond anticancer treatments, they must  
9 try to balance benefits and harms that might  
10 result to an individual patient from the  
11 effects of therapy. Given that anticancer  
12 drugs can be toxic to noncancer cells or other  
13 organs, and also that response to a given drug  
14 varies, at times widely among individuals,  
15 achieving this balance can be challenging.  
16 This slide lists some of what are a  
17 whole number of nongenetically-based tools that  
18 physicians use to evaluate a patient's

19 potential response so they can maximize overall  
20 benefit to each individual. Today we are going  
21 to consider some additional tools,  
22 pharmacogenomic tests that may, depending on  
23 the evidence that will be reviewed today, stand  
24 as additional assessment tools so that  
25 physicians can make the following decisions

00022

1 with confidence, selecting patients for whom  
2 the cancer drug will be effective,  
3 individualizing treatment regimens for  
4 indicating risks, or potentially treatments  
5 limiting adverse events for each individual.  
6 As we will discuss further and as some  
7 of the guest presenters will inform you,  
8 pharmacogenomic testing results ideally would  
9 help physicians predict from among the central  
10 group there of the patients with the same  
11 cancer diagnosis which patients are likely to  
12 benefit when given agents, which patients would  
13 in contrast have more of a combination of  
14 toxicity with relatively low benefit. Such  
15 consideration, such information would help  
16 physicians better plan treatment regimens and  
17 hopefully consider potentially the best  
18 treatment strategy for the individual.  
19 Now as was mentioned earlier, there  
20 are a great many genes whose variation does  
21 affect individual responses to cancer therapy,  
22 again, as part of the patients' overall  
23 response to therapy, which includes nongenetic  
24 factors. This slide focuses on colorectal  
25 cancer and the response of patients to therapy

00023

1 for that disease. We are not going to talk  
2 about all of these different genes or about the  
3 multiple interactions or pathways that are  
4 known to govern gene action. Instead, we have  
5 tried to choose a few hopefully well selected  
6 examples from which the MedCAC can consider the  
7 question of evidence confidence in the  
8 improvement of outcomes of patients with  
9 cancer.  
10 This slide shows five examples of the  
11 specific combinations of a gene to be tested, a  
12 cancer to be treated, and a drug being  
13 considered for therapy. Let me mention that  
14 we certainly hope the panel will use it's  
15 discretion to generalize beyond any one  
16 particular of these examples to look at more  
17 general questions or crosscutting issues that may  
18 be of importance in looking at potential future  
19 coverage decisions.  
20 Finally, as some of today's panelists

21 may recognize, members of a prior MedCAC panel  
22 have already looked at some of the issues  
23 involved in deciding which outcomes are the  
24 ones that really make an impact in assessing  
25 the benefit of a diagnostic test. As shown

00024

1 here and as is recorded for that 2009 meeting,  
2 MedCAC panelists expressed high confidence that  
3 improvements in patient-centered direct health  
4 care outcomes were most indicative of the  
5 benefits of such testing.

6 Among the direct outcomes that CMS  
7 favors, and I think this is true over a number  
8 of decisions over the years, better survival  
9 after diagnosis, or improvement in symptoms and  
10 function, are among the types of outcomes that  
11 CMS considers more impressive. I recognize  
12 that for many of the panelists this is already  
13 well known.

14 In conclusion, Lisa and I together  
15 with the rest of the CMS coverage team thank  
16 each of you for participating today, and we  
17 look forward to learning much from your  
18 discussions.

19 DR. GOODMAN: Thank you very much,  
20 Dr. Roche. And now we're going to move to  
21 Dr. Andrew Freedman, who is the chief of the  
22 Clinical and Translational Epidemiology Branch  
23 of the National Cancer Institute.

24 Dr. Freedman.

25 DR. FREEDMAN: Thank you very much. I

00025

1 want to thank Dr. Roche for inviting me here,  
2 and first I want to say that I have no  
3 financial disclosures and no conflicts of  
4 interest to report. The customer has asked me  
5 to give probably an overview of this area and  
6 some of the research that's going on at NCI,  
7 some of the approaches that, scientific  
8 research that NCI is financing, and some of the  
9 ways it's translating into practice. I want  
10 to, I think this panel would be very  
11 informative to NCI to see how some of the  
12 research that we're funding is either getting  
13 into practice or not getting into practice and  
14 getting covered. So I'm just going to give an  
15 overview of the entire area and note that I'm  
16 trained as a molecular epidemiologist so I kind  
17 of see it through epidemiology eyes. So just  
18 to say, I'm at the Division of Cancer Control  
19 and Population Sciences at NCI.  
20 So, let's start out with a quote from  
21 then Senator Barack Obama where he said,  
22 personalized medicine represents a

23 revolutionary and exciting change in the  
24 fundamental approach and practice of medicine  
25 and holds unparalleled promise for public

00026

1 health. This is of great interest in the new  
2 administration. Certainly with the appointment  
3 of Dr. Francis Collins, there is certainly a  
4 big push to look at this concept of  
5 personalized medicine or stratified medicine.  
6 I'm now going to talk about a little  
7 bit of background of genomic  
8 pharmacoepidemiology, some approaches we use to  
9 look at this, and then some of the things to  
10 consider when we try to translate this evidence  
11 from discovery to practice.

12 So, this is a graph that comes from  
13 the Journal of the National Cancer Institute  
14 just to show that in the last ten or 15 years,  
15 there has been an explosion of anticancer  
16 therapies, and a lot of these have been  
17 molecularly driven therapies, and certainly  
18 that's one of the reasons we're all here.  
19 And obviously as we all know, some of  
20 these therapies can be extremely expensive, and  
21 you can see that for colon cancer, for six  
22 months of therapy it gets as high as \$50,000,  
23 and of course it would be helpful to know who  
24 it's good for, when, at what point in their  
25 treatment, and how they should be used. So

00027

1 what we're really talking about is personalized  
2 or predictive medicine, some people call it  
3 stratified medicine, but if we have a group of  
4 patients with a certain cancer, we want to know  
5 who's going to respond to that treatment, who's  
6 not going to respond to that treatment in order  
7 to control the expense, and that's going to  
8 help inform us. We also want to know which  
9 patients will remain compliant and stay on the  
10 drug. So the various scientific research can  
11 inform us on how to determine which individuals  
12 will respond, who will have an adverse event.  
13 This first slide shows the discipline  
14 of pharmacoepidemiology, and it is the study of  
15 benefits and risks of drug therapy outcomes  
16 among groups and subgroups of cancer patients,  
17 so we're really talking about age, diet,  
18 lifestyle factors, health status, and response  
19 to drugs.  
20 Pharmacology is the other scientific  
21 discipline, for which I didn't put up a slide.  
22 And then the third discipline or area  
23 is cancer pharmacogenomics, so here we're  
24 really talking how variation in the

25 individual's either germline or tumor genome

00028

1 are related to their metabolism and  
2 physiological response to drugs used in the  
3 cancer treatment, so we're talking about a  
4 number of different genomic variations.  
5 So, the way I like to think of it is  
6 that we have all these genes, immune as well as  
7 drug metabolism or drug receptors, we have the  
8 alterations in the tumor characteristics, we  
9 have the drugs or treatments, and then the  
10 clinical, environmental and lifestyle factors  
11 where a patient or a group of patients will  
12 report that the drug has an adverse event or a  
13 different outcome.  
14 And the example that I like to give  
15 is, certainly we're talking a lot about CYP2D6  
16 today, tamoxifen is a drug that has been used  
17 for many years for breast cancer treatment.  
18 We've known for, clinicians for years have been  
19 using ER status to determine whether  
20 individuals should go on tamoxifen. Certainly  
21 we're talking about whether or not CYP2D6  
22 genotypes inform us about responsiveness to  
23 tamoxifen, and there are some studies that  
24 indicate that antidepressants may interact with  
25 CYP2D6 and tamoxifen, and may be less

00029

1 effective. So all of these can each inform us  
2 as a clinician about survival, recurrence, and  
3 adverse events.  
4 So obviously, the goal is to optimize  
5 therapy so that the benefits will outweigh the  
6 risks, and this is just a slide sort of putting  
7 it all together.  
8 So, the scientific approach that has  
9 been looked at, certainly if we're talking  
10 about hereditary germline mutations, we're  
11 talking about alterations in DNA inherited from  
12 one of your parents and found in the DNA of  
13 virtually all of your cells. And then there's  
14 the acquired or somatic mutations; these are  
15 alterations in DNA that develop throughout a  
16 person's life, specifically in the tumor.  
17 So common approaches for, to look at  
18 the germline, the candidate-gene approach is  
19 one approach, and I'll just give you quick  
20 examples of each one of these. There's the  
21 candidate-pathway approach and then more  
22 recently in the last few years, a genome-wide  
23 association approach to try to identify genomic  
24 variation that can inform us of who might  
25 benefit and who might have an adverse event.

00030

1 So, the candidate-gene approach  
2 examines whether a particular DNA sequence  
3 variation is more frequent in patients who have  
4 a better or worse response, and we usually know  
5 something about these DNA sequence variations  
6 that can inform us, and certainly the example  
7 we're talking about today, with CYP2D6 we know  
8 that some people can be classified as extensive  
9 metabolizers, intermediate metabolizers or poor  
10 metabolizers, based on their genomic variation.  
11 In the case of tamoxifen, one of the active  
12 metabolites in tamoxifen, depending on the  
13 genotype of CYP2D6, the metabolites can vary  
14 and there can be quite a difference in the  
15 metabolism of this drug depending on your  
16 CYP2D6 genotype.  
17 And one study that just came out in  
18 October in JAMA was very interesting because it  
19 was a very large study of over 1,300 patients,  
20 a retrospective study looking at time to  
21 recurrent events, pre-survival and disease-free  
22 survival looking at CYP2D6 and tamoxifen. And  
23 you can see there's an indication, at least  
24 from this study, that this study actually has  
25 the power to look at some of the issues that

00031

1 will be discussed later today, that depending  
2 upon your CYP2D6 genotype you can have  
3 definitely different outcomes.  
4 The pathway-based approach examines  
5 biologically plausible associations between  
6 certain individuals and inconsistent clinical  
7 outcomes. It really supports the potential of  
8 looking at a range of genetic profiles to  
9 predict clinical outcomes. And I'm not going  
10 to go through this slide, but this is just to  
11 show you that 5-FU, one of the chemotherapy  
12 drugs that is used quite commonly, is involved  
13 with folate metabolism and different genes are  
14 involved in the metabolism of that drug.  
15 And you know, here you can see, this  
16 is a study by Wu, and in the top graph you can  
17 see, just looking at one simple gene, you get  
18 some separation as far as survival outcome, but  
19 as you add additional genotypes, and you can  
20 see in the last one you have five different  
21 genotypes, you can see you have quite a wide  
22 spread. So instead of looking at one specific  
23 polymorphism on a specific gene, looking at  
24 combinations and looking through the pathway  
25 may be a more powerful approach, so that's

00032

1 coming this way.  
2 The last one is the GWAS approach, and

3 that examines common variations for drug  
4 response by genotyping the entire genome and  
5 the SNPs across it. You don't have to look at  
6 every single SNP type polymorphism, all 10  
7 million, but you can use tag SNPs or haplotype  
8 blocks. This is really a hypothesis-generating  
9 mechanism to identify genomic variations that  
10 we would not have identified in any other way,  
11 and this is what they usually look like. They  
12 call them Manhattan blocks, and this is really  
13 the first GWAS of cancer treatment, it was done  
14 out of St. Jude, and they looked at acute  
15 leukemia, and you can see that they had quite a  
16 few hits at the top here, but just to show you  
17 that one of these SNPs, there was a hematologic  
18 response and they actually saw some association  
19 for a specific marker. So that's coming this  
20 way as well as we try to identify more and more  
21 genes and their polymorphisms or their  
22 variations and how they relate to cancer  
23 outcomes.

24 So somatic alterations, we can also  
25 look at single gene alterations and we can look

00033

1 at protein expression. So for single gene  
2 alteration, one of the classic examples is  
3 HER2/neu trastuzumab as a receptor. This has  
4 been tested actually with the drug, and one of  
5 the major studies that came out of this was a  
6 study published in 2001 that showed that in  
7 those that were HER2 positive and had  
8 trastuzumab did much better as far as survival  
9 than those that did not. And I'll stop right  
10 there, because I know we're going to discuss  
11 that later.  
12 The other one that we're going to  
13 discuss later is KRAS and EGFR inhibitors for  
14 metastatic colon cancer, and I will skip right  
15 to, just to show you that one of the first  
16 studies to show that KRAS, to indicate that if  
17 you were, if your tumor had a mutation in KRAS,  
18 you might not do as well for cetuximab.  
19 Actually it started with a study of just 40  
20 patients and expanded to a hundred patients,  
21 and then we're going to see the data later  
22 today, what the evidence is to show what the  
23 relationship is between KRAS mutation and  
24 outcome in those patients treated with  
25 cetuximab and panitumumab.

00034

1 The other one I'd like to put up is  
2 Oncotype DX, and it doesn't quite fit with  
3 these groups, but it's a test that I believe  
4 now has been used in over 50,000 patients in

5 the U.S., I think I read that somewhere in the  
6 paper the other day. It's a diagnostic test  
7 that quantifies the likelihood of disease  
8 recurrence in women with early stage breast  
9 cancer, and it assesses the likely benefit from  
10 certain types of chemotherapy. And what it  
11 does is it looks at 21 genes and gives you a  
12 recurrence score, and based on that recurrence  
13 score, physicians may decide to advise  
14 chemotherapy or not to have chemotherapy.  
15 The middle range of the recurrent  
16 score clinicians are not so sure about, so  
17 actually NCI has started the TAILORx trial, I  
18 think they started enrolling in 2006, that's  
19 really looking at that middle range recurrence  
20 score, and whether or not patients would  
21 benefit from chemotherapy or not. NCI has also  
22 started other biomarker-based studies like the  
23 MARVEL trial looking at lung cancer, and EGFR  
24 biomarkers.

25 So, I wish I could end it there and

00035

1 say that a patient walks into the pharmacy and  
2 says here's my sequence and here's my drugs,  
3 but it's a lot more complicated than that  
4 obviously, and that's why we're here today. So  
5 clinical utility, analytical validity and  
6 clinical validity, are key issues that  
7 obviously are going to be discussed today.  
8 This is a slide where I took the words  
9 right from Steve Teutsch from EGAPP, and the  
10 question we do want to answer is, does testing  
11 for genomic variations lead to an improvement  
12 in outcomes or are testing results useful in  
13 clinical decision-making? In terms of  
14 analytical validity, we want to know how good  
15 is the test in identifying the genomic  
16 variation?

17 Clinical validity refers to how well  
18 does the variation predict metabolism or drug  
19 efficacy. But really the bottom line is  
20 clinical utility and does the testing, this  
21 genetic testing, whatever it is, influence  
22 clinical decision-making, can it improve or  
23 worsen outcomes, does it improve clinical  
24 outcomes compared to not using the test, are  
25 the tests useful for medical, personal or

00036

1 public health decision-making, and really what  
2 are the harms associated with testing and  
3 subsequent management options.  
4 And this is just a diagram to  
5 illustrate how this really works, and I adapted  
6 this from a diagram in Steve Teutsch's paper,

7 where you can certainly see where analytical  
8 validity is looked at, whether or not you can  
9 detect the variation. Clinical validity is in  
10 a sense the association to the prediction, and  
11 clinical utility, do the benefits outweigh the  
12 harms.  
13 So you know, many people have talked  
14 about the levels of evidence that we need to  
15 make decisions to translate this into practice  
16 or coverage. Certainly randomized controlled  
17 double blind studies, everybody would like to  
18 see that. A lot of times we don't have that,  
19 sometimes we have to do retrospective analysis  
20 or clinical studies, sometimes cohort studies,  
21 and sometimes there's modeling and  
22 meta-analysis. We may not always have a new  
23 prospective trial to test for a new genetic  
24 variation, whether that affects outcomes, and  
25 we're going to have to think about new ways to

00037

1 examine the evidence and to interpret it.  
2 So here's a couple sentences that I  
3 took from David Atkins' paper, which was a very  
4 nice paper in Medical Care in 2007 and he  
5 really talks about what a clinician would need  
6 to make a decision for an individual patient.  
7 Certainly to find out if a drug works there's a  
8 need for randomized controlled trials, but for  
9 a specific patient they may need data from  
10 trials and registries, or cohort studies, to  
11 see if this patient is really going to be able  
12 to tolerate the drug or adhere to the drug.  
13 Cohort studies and subgroup analysis will tell  
14 them if their particular patient and their  
15 particular patient's genomic profile and  
16 clinical profile would benefit them, whether  
17 the harms would outweigh the benefits or the  
18 other way around, and we get that from case  
19 control studies as well and in the end,  
20 sometimes modeling or qualitative studies of  
21 patient preferences. So the point of this is  
22 that there's a lot of data that we need to  
23 consider, or the clinician needs to consider in  
24 making those decisions. I think, you know,  
25 there's been a lot of discussion over the last

00038

1 few years of what evidence is needed and for  
2 who.  
3 So I tried to put together a  
4 comparison of the pharmacogenomic markers in  
5 cancer treatment and I'm obviously still  
6 working on this and trying to get some  
7 clarification. You will see on the left-hand  
8 column, those markers that are in orange, those

9 are somatic alterations that are based on the  
10 tumor, and the first one, estrogen receptor has  
11 been useful for years, I'm not sure there's  
12 anything really different in the test for  
13 estrogen marker from these other markers,  
14 especially of somatic alterations. And then  
15 the ones in white are germline alterations, and  
16 you can see that some are mentioned as having  
17 an FDA label required or recommended, and some  
18 are used in practice, some are not labeled but  
19 used in practice, or the other way around. And  
20 certainly the column that's missing here is  
21 coverage by Medicare, by HMOs and so forth.  
22 So, this is another slide I stole from  
23 Steve Teutsch, thank you, that really looks at  
24 decisions, stakeholders and translational  
25 medicine, and this is just to show you that

00039

1 each one of these stakeholders looks for  
2 different evidence, you know, each one is  
3 focusing on a different aspect. Certainly the  
4 FDA focuses on efficacy and safety for drugs  
5 and so that's in green, the green is where they  
6 really focus on. NIH is interested in a range,  
7 they're not as interested in how much the drug  
8 costs or necessarily the clinical situation or  
9 the legal situation, or less so, but again,  
10 very interested in efficacy, safety,  
11 effectiveness and comparative effectiveness.  
12 And you know, if you skip to clinicians and  
13 patients, they really want to know if the drug  
14 is going to work in the general population or  
15 general practice, and certainly they're worried  
16 about costs in a clinical situation. So it's  
17 just to show you that each one of these  
18 stakeholders is interested in different  
19 evidence and that we need to design our studies  
20 that will certainly satisfy most of these, and  
21 where there are gaps, try to fill those gaps.  
22 So, I just wanted to take one slide to  
23 mention at NCI we are trying to address some of  
24 these gaps, and two years ago we started a  
25 Trans-NCI Pharmacogenomics and

00040

1 Pharmacoepidemiology Working Group, and this,  
2 you know, NCI is very partitioned, but we tried  
3 to bring together the basic scientists, the  
4 clinical trialists and the population  
5 scientists all together in one room to try to  
6 make recommendations on what, how should we  
7 fund research, what should move forward. And  
8 it was very interesting and we're finalizing  
9 our recommendations right now of where we need  
10 to go in this field to translate these great

11 discoveries we're making into practice.  
12 So, everybody I'm sure is aware that  
13 the IOM came out with the comparative  
14 effectiveness research report, I believe close  
15 to, in June of last year, and these are some of  
16 the cancer priorities. I want to skip right to  
17 the third one and one of our major priorities,  
18 I think they had a hundred priorities, but this  
19 was one of the major ones, was to compare  
20 genetic and biomarker testing and usual care in  
21 preventing and treating breast, colorectal,  
22 prostate, lung, and ovarian cancer, and  
23 possibly clinical outcomes. They saw this as a  
24 very important issue that needs to be  
25 addressed.

00041

1 And thanks to stimulus funds, we were  
2 able to recently fund seven GO grants, that's  
3 grand opportunity grants, specifically to look  
4 at genomic personalized medicine technologies  
5 in cancer. And you can see some of these sites  
6 and the information on some of the research  
7 that they've been doing is on line at the  
8 website, where you can find out exactly the  
9 types of things they're doing. And it's really  
10 the first step where NCI is really trying to  
11 push the agenda to try to compare the genomic  
12 technologies to standard of care, and what  
13 improvement.

14 And just to give you a little taste of  
15 some of the projects, many of these funded  
16 studies are going to look at proof of principle  
17 studies. We're also going to do some evidence  
18 synthesis and some modeling, really to find a  
19 roadmap for comparing effects and research in  
20 genomic personalized medicine.

21 And I think that's all I have, so I  
22 finished ahead of time so we can get back on  
23 schedule.

24 DR. GOODMAN: Thank you very much,  
25 Dr. Freedman. If you would just stand at the

00042

1 podium just for a moment, please, that's a  
2 splendid presentation. And we just of course  
3 wanted to remind all of our panelists that as  
4 we sweep through the day, including your  
5 presentation this morning, to keep in mind what  
6 our questions are that we'll have to answer,  
7 and those questions deal primarily with impact  
8 on health outcomes or, as you put it, clinical  
9 utility, as well as the accompanying evidence,  
10 the adequacy of that accompanying evidence.  
11 Do we have our next folks here? So we  
12 might proceed to that. Dr. Freedman, you're

13 here until, is it noon?  
14 DR. FREEDMAN: No, I'm here all day.  
15 DR. GOODMAN: So knowing that, we  
16 promise to get back to you today, and if you'll  
17 have a seat back down on the floor, again,  
18 thank you for a superb presentation.  
19 And we will now move to our  
20 presentation of the technology assessment, I  
21 believe, and that will be from Dr. Tom  
22 Trikalinos. He's the assistant director of the  
23 Tufts New England Medical Center EPC, that's  
24 evidence-based practice center, EPC, and  
25 assistant professor of medicine at Tufts. As

00043

1 he may well tell you, the Tufts New England  
2 Medical Center EPC is one of about 13 or 14  
3 EPCs under contract to the Agency for  
4 Healthcare Research and Quality. As part of  
5 their broader effective healthcare program, the  
6 EPCs are often tasked under contract to  
7 generate technology assessments and evidence  
8 reports in response to requests from CMS, other  
9 agencies and stakeholders, if I understand that  
10 correctly. Dr. Trikalinos, welcome.

11 DR. TRIKALINOS: Thank you, it's very  
12 nice being here. I don't think it's reflected  
13 here, but I recently became co-director of the  
14 EPC, and I'm going to speak about our  
15 systematic reviews on selected pharmacogenetic  
16 tests for cancer treatment, and this is work  
17 that has been led by Dr. Teruhiko Terasawa, but  
18 I will be doing the presentation on his behalf.  
19 This is an obligatory disclosure  
20 statement. All the authors of this report have  
21 no financial, business or personal interests to  
22 disclose, and none of the investigators has any  
23 affiliation or financial involvement related to  
24 the material we are presenting here.  
25 A bit about the background to this

00044

1 presentation. The coverage and analysis group  
2 at CMS has requested a systematic review from  
3 the AHRQ on pharmacogenetic tests that may be  
4 pertinent to the Medicare beneficiary  
5 population. And after discussions between  
6 AHRQ, CMS and Tufts, three pharmacogenetic  
7 tests were selected for the review. These  
8 tests were selected because they are perceived  
9 to be relevant to the Medicare population and  
10 they mostly evaluate relatively common disease  
11 conditions, as you will see.  
12 So, we're talking about genetic tests,  
13 and I will start with a definition of a genetic  
14 test according to the relevant National

15 Institute. So according to NHGRI, a genetic  
16 test is defined very broadly as the analysis of  
17 human DNA, RNA, chromosomes, proteins and  
18 certain metabolites in order to detect  
19 heritable disease-related genotypes, mutations  
20 and so on for clinical purposes. So this is a  
21 very very broad definition and encompasses a  
22 lot of things.

23 Here we're talking about  
24 pharmacogenetic tests, so essentially we are  
25 especially interested in tests that identify a

00045

1 patient's differential response to specific  
2 therapies, and thereby they guide patient  
3 management. The word phenotypes here may be  
4 strange, but I think they refer to, let's say  
5 things like enzymatic expression would be the  
6 phenotype expression of some genetic  
7 alteration, that's what I presume. This is  
8 provided from part of the definition that they  
9 give.

10 So essentially here we discuss three  
11 pharmacogenetic tests. We have to do with  
12 CYP2D6 and tamoxifen for breast cancer, KRAS  
13 and anti-EGFR antibodies for colorectal cancer,  
14 and BCR-ABL1 mutations with the drugs being  
15 tyrosine kinase inhibitors, and chronic myeloid  
16 leukemia, CML, is the third condition. So CML  
17 was not as prevalent as the other conditions,  
18 however it was deemed of interest to the  
19 Medicare population.

20 This set of three systematic reviews  
21 posed common generic matters and there are  
22 variations of the matters that are particularly  
23 applicable to each one of the topics, so I'm  
24 going to describe to you the generic matters  
25 first.

00046

1 All three topics try to address the  
2 same four key questions, and the key questions  
3 are: Does the genetic test result predict  
4 response to therapy? What patient and  
5 disease-related factors affect the test  
6 results, their interpretation or their  
7 predictive response to therapy? How does gene  
8 testing impact on the therapeutic choice? And  
9 what are the benefits and harms or adverse  
10 effects for patients when managed with gene  
11 testing? So for each one of the three topics,  
12 you would imagine instead of talking  
13 generically about generic tests that we would  
14 substitute the specific test, and the same for  
15 the condition of the therapy.  
16 Our systematic reviews are based on

17 electronic research and we searched OVID  
18 MEDLINE from its inception through the last  
19 week of August of 2009. The search parameters  
20 are a bit complex and slightly different from  
21 topic to topic, but in general they are  
22 combinations of key words that have to do with  
23 the gene, the disease and the drugs of  
24 interest. If you are interested, I can give  
25 you the exact search strategies, but they are

00047

1 listed in the appendix of the TA, of the  
2 technology assessment.  
3 So, what sort of studies did we want  
4 to include? First of all, we were principally  
5 interested in both comparative and  
6 non-comparative studies that assess a test.  
7 And what do we mean by comparative? By  
8 comparative studies that would mean studies  
9 where patients were managed, where there's a  
10 direct comparison of a test and treatment  
11 strategy versus no test and conventional  
12 treatment strategy. So you could imagine this  
13 as an RCT perhaps, where people are randomized  
14 into being managed with the test versus usual  
15 care. We didn't have any comparative studies  
16 and essentially we had in reality only studies  
17 that were non-comparative when it comes to  
18 using versus not using the test. So all  
19 patients get the test, and then these studies  
20 are giving us information on the accuracy of  
21 the genetic test to predict endpoints. In the  
22 previous design we would be talking about the  
23 differential, the different clinical outcomes  
24 that we would be able to see with different  
25 management strategies.

00048

1 Further, another thing is that the  
2 test may be applied only in people who are  
3 treated or in people who are both treated and  
4 untreated. And we are talking about  
5 pharmacogenetic tests, and pharmacogenetic  
6 tests are tests that try to predict a  
7 differential response to treatment. So  
8 essentially amongst people who have received a  
9 drug, what studies do is that they can assess  
10 the endpoints, the clinical response perhaps,  
11 among people who have the genetic factor and  
12 people who don't have the genetic factor.  
13 Now the same thing can be contrasted  
14 in people who have not been treated, who have  
15 not received the drug. And why do we need this  
16 information or why do we want to see these kind  
17 of studies, because if I see the differential,  
18 if I see the ability of the drug or of the

19 genetic factor to predict the drug endpoint in  
20 people who have been treated, I should not be  
21 seeing a similar predictive ability of the  
22 endpoint in people who have not been treated.  
23 The point is that if I have  
24 information on the ability of the test to  
25 predict endpoints in both treated and untreated  
00049

1 patients, I can see the interaction between  
2 treatment and genetic information, so I can  
3 really assert whether the predictive ability of  
4 the test is actually related to its ability to  
5 assess effects of treatment rather than to  
6 simply predict a clinical outcome irrespective  
7 of treatment. So studies both in treated-only  
8 people, only treated people, and studies both  
9 in treated and untreated people where we could  
10 assess for interactions were included.  
11 What sort of outcomes are of interest?  
12 We're mainly interested in patient-relevant  
13 outcomes and these outcomes are mortality and  
14 disease progression. However, we soon realized  
15 that we didn't have a lot of literature on  
16 these kinds of outcomes and we decided to also  
17 study outcomes that had clinical importance,  
18 and these outcomes are generally treatment  
19 failure by imaging criteria for one of our  
20 topics, KRAS and colorectal cancer, or  
21 laboratory criteria for another topic, BCR-ABL1  
22 and CML. We wanted studies that had at least  
23 ten patients in total, so that they have at  
24 least some patients and have a minimum sample  
25 size where one could do any calculations. And  
00050

1 at least for key question two, we demanded  
2 interaction analyses, and for key question two  
3 we wanted to see whether there are any  
4 patient-related factors or any disease-related  
5 factors that affect the ability of the test to  
6 predict results or treatment, so for these  
7 kinds of studies we wanted interaction  
8 analysis.  
9 From each study we extracted  
10 information and organized the result by the  
11 type of outcome. So generally we had analyses  
12 of cumulative events, for example, reference to  
13 specific time points or time-to-event analyses  
14 which gave us hazard ratios. And we had these  
15 kinds of analyses for mortality, disease  
16 progression, and treatment failure by imaging  
17 or lab exams.  
18 Especially for the third item,  
19 treatment failure, we decided to also look at  
20 this from a test perspective, and by that I

21 mean that we tried to calculate the sensitivity  
22 and specificity of the genetic test to predict  
23 treatment failure. Essentially this is where  
24 we had the most data and it's not very  
25 meaningful to try to do this for the other

00051

1 outcomes of mortality and progression.  
2 And this is a slide that gives, that  
3 summarizes a bit about how the predictability  
4 of tests can be assessed. So this is the  
5 sensitivity versus one minus specificity plot,  
6 and each point would be a study. Studies that  
7 are perfect in terms of their predictive  
8 ability, they have perfect sensitivity and  
9 perfect specificity, so they would line up,  
10 they would all gather in the upper left corner  
11 of the graph. A study that would fall on the  
12 diagonal would be a study that has no  
13 predictive ability, and you can see it is  
14 indicated there by no better than chance. And  
15 then studies that are very specific but not  
16 very sensitive are in this region and the ones  
17 that are sensitive but not very specific are in  
18 the other region. The shaded areas have a  
19 specific meaning, but I'm not going to go into  
20 that now.

21 Further, we identified reports that  
22 had at least partially overlapping populations,  
23 and this is important because if you're going  
24 to do any meta-analysis and pull the studies  
25 together and pool their effects, you want to

00052

1 avoid duplication of information. It's very  
2 common that many of the studies out there come  
3 from the same centers, they may have the same  
4 patients in different follow-ups, or they may  
5 have collections of patients and many patients  
6 may be common. So we tried our best to  
7 identify studies that are overlapping and  
8 whenever this happened, we generally kept the  
9 larger study, the biggest study. One may argue  
10 that this way we are missing sample populations  
11 that are not reported by themselves in a single  
12 report, so we avoid duplicating information but  
13 we may be missing some information.  
14 Meta-analyses were performed where  
15 appropriate, and we performed meta-analyses of  
16 odds ratios to measure strength of association  
17 and we also performed what is called a  
18 bivariate meta-analysis of sensitivity and  
19 specificity, an advanced process to summarize  
20 diagnostic test performance. And this was done  
21 with proper analysis and basically with random  
22 effect models.

23 So I'm going to go through the results  
24 now, and essentially you don't have to look at  
25 this table carefully. The only thing that you

00053

1 have to see is that the first topic of breast  
2 cancer has 13 studies and the other topics have  
3 31 studies that were eligible in the end. It  
4 will also tell you that we had no studies that  
5 address key questions two, three and four, so  
6 essentially the things that we are discussing  
7 have to do with key question one which is, what  
8 is the ability of the test to predict a  
9 pharmacogenetic response?  
10 So the response from pharmacogenetic  
11 tests are going to be, I'm going to give you a  
12 very very brief background, two words about the  
13 gene and the disease. I'm going to describe  
14 the eligible studies and their characteristics.  
15 And then I'm going to give you the evidence of  
16 key question one, give some topic-specific  
17 conclusions, and then at the end discuss some  
18 cross-cutting methodological issues.  
19 So the first topic is CYP2D6 and  
20 tamoxifen for breast cancer. So tamoxifen is  
21 one of the popular treatments for breast  
22 cancer, and the issue is that tamoxifen itself  
23 is not the active drug. When a patient takes  
24 tamoxifen, it's metabolized in the patient's  
25 body and one of the key enzymes that do this

00054

1 activation, let's say, of tamoxifen is CYP2D6.  
2 Now, CYP2D6 is a gene that has many genetic  
3 variations, it has many single mutations,  
4 polymorphisms and other variations, so there  
5 are a bunch of CYP2D6 alleles, and this is just  
6 to show you that there are many many alleles  
7 that have been identified.  
8 Now these alleles may or may not be  
9 part of the enzymatic activity of the gene.  
10 So some alleles have, you see the first line,  
11 they may have normal enzymatic activity, so a  
12 patient who has these variations would not, it  
13 would not have increasing enzymatic activity.  
14 However, there are other alleles that have  
15 decreased or even null enzymatic activity and  
16 therefore, these are the ones that theory  
17 suggests may play a role in the differential  
18 response to tamoxifen.  
19 This is an obligatory slide. Whenever  
20 we do a systematic review we have to show you  
21 how many abstracts our search returned and how  
22 many texts, how many publications we reviewed  
23 in full text in order to select the final ones.  
24 And I'm not going to go through the numbers; in

25 the end we had 13 studies that were relevant

00055

1 here.

2 Now, as I said before, CYP2D6 has a  
3 lot of alleles, and these alleles can be  
4 analyzed in many ways. Now each one of us has  
5 a genotype, each person has a genotype, and  
6 imagine that there are many many alleles and  
7 there are many many more genotypes that can be  
8 analyzed in many many ways. And what I'm going  
9 to try to show you here is that the same  
10 genotypes have been coded and analyzed in  
11 different ways in the different studies, so let  
12 me try to work you through this process.

13 What we did is that we lined up the 13  
14 studies, so each row that you see here  
15 represents one of the studies. And we felt  
16 a priori we should divide the different  
17 genotypes into three groups. The EM group is  
18 the leftmost and that stands for extensive  
19 metabolizers, and these would be people who  
20 have zero alleles that are slow metabolizing  
21 alleles, and we have a list of the slow  
22 metabolizing alleles from the Cancer Institute.  
23 Intermediate metabolizers would be those who  
24 have only one allele being slow and the others  
25 being okay. And slow metabolizers would be

00056

1 people who have genotypes who have both alleles  
2 being slow.

3 And essentially what we see there is  
4 that we have field cells. The field cells  
5 correspond to the alleles that relates to the  
6 genotypes that were analyzed in the individual  
7 studies, and the color is how the study itself  
8 coded the specific genotype. So green means  
9 that the study coded it as an extensive  
10 metabolizing genotype, the blue means that it  
11 coded it as an intermediate genotype, and red  
12 that it coded it as a slow metabolizing  
13 genotype.

14 Now the point of this graph is this:  
15 If you, if all the studies used exactly the  
16 same definition of what is slow, what is  
17 intermediate and what is fast, then you would  
18 see the same color in all columns. However,  
19 what you can see is for some of the genotypes,  
20 you may have different colors in the same  
21 column. This means that this particular  
22 genotype was treated in a different way in the  
23 different studies. Our groups of intermediate,  
24 extensive and slow is arbitrary. However, this  
25 does not impact on what is to be extracted from

00057

1 this figure. What is to be extracted from this  
2 figure is that different studies do not mean  
3 the same thing when they talk about slow  
4 metabolizing groups and fast or intermediate  
5 metabolizing groups. So there's a lot of  
6 genetic heterogeneity and a lot of different  
7 ways to fix it. These have been, these  
8 genotypes have been analyzed.  
9 Description of the studies. So of the  
10 13 studies, 11 were in women with known  
11 metastatic breast cancer. Ages of interest to  
12 Medicare, so most of the studies have mean or  
13 median ages of over 60 and five of the studies  
14 had mean or median ages over 65. There was  
15 great variability in the disease stage  
16 distribution, the proportion of lymph node  
17 involvement, the estrogen receptor status and  
18 so on, so there's a great variability in the  
19 type of patients that were included in these  
20 studies. And as you can see, there's also  
21 variability in what importance ethnic descent,  
22 if I may use this term, so European or  
23 Caucasian populations, Asian, and only one  
24 study with predominantly African-American  
25 populations.

00058

1 In most of the studies outcome  
2 assessment was retrospective, in five it was  
3 prospective, in eight it was retrospective.  
4 Two of these studies were repurposed RCTs, and  
5 by that I mean that were tamoxifen versus no  
6 tamoxifen, and the investigators went back and  
7 genotyped the women who were in these RCTs, so  
8 essentially they used the RCT to get the  
9 clinical outcomes and they're using the  
10 genotype information to get information about  
11 whether the genotype would predict differential  
12 response to treatment. I think those examples  
13 with both treated and untreated people if you  
14 were to get the opportunity to, in principle at  
15 least, to assess for interactions of  
16 pharmacogenetic interactions between treatment  
17 and genetic status.  
18 Sample sizes ranged widely, the  
19 smallest study of 21 people and the biggest  
20 study was 667. The median follow-up was  
21 between 20 and 150 months, and it was quite  
22 long in most studies.  
23 So for the outcome of mortality, which  
24 is one of our most important outcomes,  
25 essentially five out of 13 studies had

00059

1 information on mortality, and none demonstrated  
2 a significant, a statistically significant

3 relationship between CYP2D6 defined status and  
4 the outcome. Two of the five studies, which  
5 were the repurposed RCTs I spoke of before, did  
6 not report any interaction tests, so although  
7 we had the opportunity to see whether the,  
8 whether any predictive ability of the gene  
9 would be limited to those who got the  
10 treatment, but they just did not do this test,  
11 so we missed that opportunity.  
12 The other area was recurrence, and  
13 recurrence was assessed in 11 out of 13  
14 studies, and most studies did not report  
15 statistically significant relationships between  
16 the CYP2D6 status and recurrence in any  
17 analysis. Four studies reported significant  
18 associations between slower and extensive  
19 metabolizer status, and increased odds for  
20 recurrence or shorter time to recurrence, so  
21 essentially the association was in the  
22 direction that perhaps would be expected by  
23 theory, if you have slow metabolizing status  
24 you have less activation, so you have increased  
25 odds for recurrence because perhaps the drug is

00060

1 not in its active levels. And the comparative  
2 studies did not analyze genotype-by-treatment  
3 interactions.  
4 You know that I don't list any  
5 meta-analysis results because of the  
6 heterogeneity of the definitions of what is  
7 slow, what is intermediate and what is  
8 extensive metabolizer in these studies.  
9 So the overall conclusions, and  
10 actually these were the only two outcomes that  
11 were assessed in the CYP2D6 studies. The  
12 overall conclusion is that there is  
13 inconsistent association between CYP2D6 status  
14 and outcomes. Studies differ in the direction  
15 and statistical significance of findings. It's  
16 unclear whether the CYP2D6 status can predict  
17 differential response to treatment in the  
18 adjuvant setting. We had a single study among  
19 the 13 that was in the metastatic setting, so  
20 12 studies were in the adjuvant setting, one  
21 was in the metastatic.  
22 I did not give you this information up  
23 front, but this one study was on 16 patients,  
24 it was a study from Korea, it was a very very  
25 small study and it just does not have any

00061

1 information because of the small number of  
2 patients, so very limited in the metastatic  
3 setting, and in the adjuvant setting it's  
4 unclear. And these conclusions are in

5 agreement with the ASCO 2009 practice guideline  
6 update.

7 So, the next story is KRAS and  
8 anti-EGFR antibodies for colorectal cancer.

9 DR. GOODMAN: Dr. Trikalinos, just as  
10 a time check, and you're doing fine, I think  
11 you're not quite halfway done with your slides,  
12 and I think you've got until about 10:10, and  
13 it's currently 9:35.

14 DR. TRIKALINOS: I may have one or two  
15 less slides at the end, so I will go on.

16 DR. GOODMAN: Well enough. Thank you.

17 DR. TRIKALINOS: So anti-EGFR  
18 antibodies for colorectal cancer, the anti-EGFR  
19 is a receptor, and this receptor controls by  
20 means of several pathways. Cellular functions,  
21 like the ones that we see at the end,  
22 proliferation, survival, angiogenesis and  
23 metastasis have been shown to have a role in  
24 colorectal cancer pathogenesis and  
25 pathophysiology. So in essence, the anti-EGFR

00062

1 people find the external domain of this  
2 receptor and thereby they stop its effects, and  
3 this is the way that these drugs work.

4 However, for example, as you can perhaps see, is one  
5 of the, causes a process that is one of the  
6 ones controlled by the EGFR receptor, and when  
7 you have mutations in this factor they may get  
8 it to be constituted on, so essentially  
9 irrespective of what is bound on the receptor,  
10 this thing activates the downstream pathway,  
11 these mutations can activate a downstream  
12 pathway, so it can essentially, these mutations  
13 can essentially abrogate the effect of the  
14 drug.

15 Again, these slides about the  
16 literature flow, we started from some citations  
17 and we ended up with 31 studies. And the  
18 description of the studies is that 26 of them  
19 included patients who were pretreated with  
20 cytotoxic chemotherapy, so essentially it's not  
21 in naive patients. 29 of 31 studies are in the  
22 metastatic setting and two studies are in the  
23 neoadjuvant setting, and I'm not going to give  
24 you any results about those two neoadjuvant  
25 studies because of time limitations. Mean or

00063

1 median age was at least 60 in 22 out of the 28,  
2 and racial composition was not reported.  
3 However, what we can say is that most of them  
4 were conducted in Europe, six were  
5 multinational, and none were exclusively  
6 conducted in the States.

7 This is a brief description of all the  
8 studies, so I don't expect you to look at the  
9 table. The only thing that I'm showing you is  
10 the total of 29 which are in the metastatic  
11 setting. And most of them are on cetuximab, a  
12 few on panitumumab, and there are a few studies  
13 of both.  
14 So, only three of the studies  
15 explicitly stated that the sample collection  
16 and KRAS testing was a prespecified aim. Five  
17 presented analyses based on RCTs and evaluated  
18 treatment by genetic status interruptions.  
19 Sample sizes varied widely and in RCT-based  
20 analyses approximately 2,000 patients were  
21 analyzed, a thousand in the anti-EGFR antibody  
22 arms and another thousand in the comparator  
23 arms. Median follow-up was ranging from one to  
24 two years approximately.  
25 Now this is a graph that shows you

00064

1 overlapping studies. This is what we call a  
2 nondirected graph. You see that each  
3 publication is denoted by an ellipse, and there  
4 are edges that connect some of the ellipses.  
5 And the edges imply, the edges stand for  
6 publications where we can trace that there are  
7 common patients. So whenever publications came  
8 from the same centers, or there may be  
9 publications of multicenter results where some  
10 of the patients are included in more than one  
11 of them, and you can see how they are  
12 connected. So essentially for a meta-analysis,  
13 you want only one of the publications that are  
14 in these clusters to avoid duplication of the  
15 same information time and again.  
16 Now this is a minimum of the overlap,  
17 and we are suspicious that there is more  
18 overlap there but we cannot really trace it,  
19 how to detect the overlap, and they are a bit  
20 more stringent than just seeing the same author  
21 or seeing the same census.  
22 So, mortality was assessed in 19 of  
23 the 29 studies and in all of them, all 19  
24 studies, the information would essentially  
25 comport, and the information was in the

00065

1 direction that one would expect, that people  
2 who have the KRAS mutation have worse response  
3 to treatment with anti-EGFR antibodies. So 18  
4 of these studies had time-to-event analysis,  
5 had survival analysis, and as you see, KRAS  
6 positive patients had shorter median survival  
7 compared to wild-type patient. In nine of  
8 these 18 studies the results were statistically

9 significant themselves, so it's not that you  
10 would need to meta-analyze them to get to a  
11 significant result. The findings of the 19th  
12 study did not have time-to-event analysis but  
13 the ratio analysis was in the same direction.  
14 So essentially it was reported in all the  
15 studies in the direction that we expected.  
16 Disease progression was evaluated in  
17 26 out of 29 studies, and all 26 studies  
18 reported progression-free survival or  
19 time-to-progression analysis. The median  
20 progression-free survival or time to  
21 progression was shorter among patients with  
22 KRAS positive tumors as compared to wild-type  
23 patients, that is patients without the  
24 mutations in their tumors, and this difference  
25 was statistically significant in 16 of these

00066

1 studies.  
2 Again, for the treatments for  
3 mortality and progression, for the outcomes  
4 story for mortality and progression we did not  
5 do a meta-analysis because of heterogeneity in  
6 the populations and heterogeneity in the  
7 outcome definitions, but the view is that  
8 everything is concordant and quite a few of the  
9 studies are significant on their own.  
10 However, for treatment failure by  
11 imaging, we did a meta-analysis, and  
12 essentially here we see that failure rates are  
13 higher in patients with KRAS mutations rather  
14 than wild-type patients, again the same  
15 direction, the same view. In studies of  
16 patients who had received prior chemotherapy,  
17 the response rates were typically very low,  
18 often zero, in the presence of KRAS mutations.  
19 And we have 15 known overlapping studies and we  
20 did a meta-analysis of these 15 studies. I'm  
21 showing you only the meta-analysis that is on  
22 the ROC space, and what you see here is these  
23 faint gray circles that essentially stand for  
24 the different studies, and the area of each  
25 circle is proportional to the weight that each

00067

1 study gets in the meta-analysis. If you have a  
2 big circle you have a bigger study generally  
3 speaking, or a study with more events, and  
4 these studies get more weight.  
5 Now this type of meta-analysis tries  
6 to synthesize two quantities at the same time,  
7 both the sensitivity and the specificity, or  
8 one-minus specificity. And the summary is  
9 given by this point, this square, the black  
10 square, and this is the summary estimate for

11 the diagnostic ability of all these studies  
12 together. And this dashed line is what we call  
13 a confidence region or a confidence helix, and  
14 this is actually the envelope of the 95 percent  
15 confidence in the bivariate case, for both  
16 outcomes together, for both sensitivity and  
17 specificity. So you see a sensitivity of .52  
18 and a specificity of .93 in these 15 studies.  
19 Now, we did several subgroup analyses  
20 and we did several explorations of this  
21 heterogeneity, and I'm not going to show all  
22 the analyses to you. The only thing that I'm  
23 showing is the only thing that seems to stand  
24 out somehow, and this is that we have two  
25 studies in people who were not being treated

00068

1 with chemotherapy, so it was people who had not  
2 been heavily treated, and these seemed to have  
3 lower diagnostic ability compared to the other  
4 studies and they have to relate somehow to the  
5 subgroup analysis. And there is some potential  
6 explanation for this and the potential  
7 explanation is perhaps that in these people who  
8 are treatment naive, the chemotherapy itself  
9 has something to offer, so the pharmacogenomic  
10 effect is drowned, the effect of the anti-EGFR  
11 itself.  
12 Repurposed RCTs were found, and one  
13 study assessed overall survival and treatment  
14 by KRAS mutation. And essentially we have a  
15 consistent view, we have an association,  
16 results in the expected direction, it was  
17 significant in one study, not significant in  
18 the other, but in the expected direction.  
19 As for the other outcome of  
20 progression-free survival, we essentially have  
21 four studies and the results are statistically  
22 significant in reality in three. Although the  
23 fourth study is not, it's in the correct  
24 direction but not significant in the fourth, so  
25 again, it's a consistent view.

00069

1 So the conclusions for the KRAS  
2 example is that for all assessed outcomes,  
3 patients with KRAS mutations were less likely  
4 to express treatment benefit compared to  
5 wild-type patients. There is the same  
6 direction of effect in all studies and for all  
7 outcomes. And for most studies we had  
8 significant overall survival and  
9 progression-free survival. Significant  
10 treatment by KRAS mutation interactions were  
11 identified in the RCT-based analyses which are,  
12 let's say some sort of better type of studies

13 for several reasons. And all these results are  
14 in accordance with the guidance that was  
15 provided recently by ASCO, the FDA and the  
16 EMeA, which is the European Agency for  
17 Medicine.  
18 Most of the studies were in the second  
19 line setting. There seems to be lower  
20 predictive ability of KRAS mutations in the  
21 first line setting, these were the studies I  
22 showed you with the two arrows, and perhaps we  
23 cannot say a lot about the first line setting  
24 or we cannot say with a lot of certainty. And  
25 there seems to be that there is similar

00070

1 predictability for cetuximab and panitumumab,  
2 and this is based mostly on studies of  
3 panitumumab monotherapy in pretreated patients,  
4 so we don't have a lot of evidence for, or any  
5 evidence in studies with non-pretreated  
6 patients.  
7 And this is the third topic, BCR-ABL  
8 mutations and tyrosine kinase inhibitors for  
9 CML. CML is a leukemia, a malignancy, and so  
10 essentially the physiology of this disease is  
11 the formation of a protein, and this protein  
12 acts as a tyrosine kinase. And this is an  
13 enzyme, an enzymatic activity that leads to the  
14 pathophysiology of the disease. And there are  
15 some mutations in these genes, and there are  
16 some drugs that we call tyrosine kinase  
17 inhibitors as well as other drugs, and these  
18 drugs bind to a specific site of this enzyme  
19 and they stop it.  
20 These are wonder drugs that have  
21 reversed essentially the clinical phenotype of  
22 CML and they have resulted in this revolution  
23 in CML treatment. There are some mutations in  
24 the binding protein that affect the affinity to  
25 bind, the binding affinity of the drug, so

00071

1 essentially they abrogate the effects of the  
2 drug. And there are several of them, and one  
3 that is very very well known is the T315I  
4 mutation, and this is a rare mutation that is  
5 known to completely abrogate the effect of CML  
6 when it is present.  
7 And here we summarize the results. We  
8 have, again, the literature, 31 studies  
9 included in this topic. Overlapping studies,  
10 we had a hard time trying to distinguish  
11 nonoverlapping populations, and it seemed that  
12 especially for CML, many of the patients came  
13 from the same team time and again, many of the  
14 reports, and what we do here is we separate

15 first line therapy from second line therapy and  
16 third line therapy.  
17 So first line therapy is patients who  
18 have not been exposed to any treatment before,  
19 and these are usually treated with monotherapy  
20 early in their course generally speaking.  
21 Second line therapy is people who have already  
22 failed imatinib treatment, and there are  
23 several options; there are high dose imatinib  
24 regimens, or combinations that can be given,  
25 and you see them organized there. And the

00072

1 third line therapy are people who have failed  
2 both first and second line therapy, so it's  
3 some sort of very very unlucky people.  
4 And what you see here is, again, that  
5 there's a lot of overlap in the various  
6 publications and it's not very easy to  
7 distinguish. Sometimes we have to go to the  
8 appendix and see the actual patients that are  
9 in the samples of the publications, and there  
10 it's shown that they come from a previous  
11 study, and you can sort of corroborate that  
12 there's an overlap. And what we know is that  
13 there are further overlaps that we cannot show  
14 here, and we are very very suspicious that, for  
15 example, most if not all of the patients in the  
16 third line study have been included in the  
17 previous studies as well, but it's not very  
18 easy to show. So there's a lot of overlapping  
19 information, and this is perhaps not unusual  
20 for observational studies in general.  
21 So, all of these studies reported  
22 essentially the same area of the gene, so they  
23 have a lot of mutations and report information  
24 on the T315I mutation, which is the one that we  
25 said this is very known. And this shows you

00073

1 that essentially mutations are not very common,  
2 they're rare. You see from the shading that  
3 most of the studies identified these mutations  
4 in small proportions of the patients. So it's  
5 kind of a different beast compared to let's say  
6 CYP2D6, which was common genetic variations.  
7 This is just the overview.  
8 Essentially there's nothing, there's very few  
9 data on mortality and progression, and most of  
10 the data have to do with treatment failure by  
11 means of blood criteria, hematologic response,  
12 cytogenic response or molecular response.  
13 There are different criteria that the studies  
14 have come up with and followed to judge the  
15 response rates, and most of them are in  
16 dasatinib for a second line treatment rather

17 than the other drugs, so potentially we have an  
18 evidence region that is pieced together from  
19 many different settings, so we have different  
20 lines of therapy and different drugs, and often  
21 different outcomes, and so it's a very very  
22 nonhomogeneous set of studies.

23 For this reason we could not do a  
24 meta-analysis. All these are in different  
25 settings, patients were in all phases of the

00074

1 disease, chronic phase, blastic phase,  
2 accelerated phase. Mean ages ranged between 50  
3 and 62, so they are kind of, not in the 70s  
4 let's say, there are no studies perhaps in a  
5 bit older patients, at least to my knowledge.  
6 Intermediate follow-up between two and 61  
7 months. Maximum sample size not that big, 670  
8 patients. Small sample sizes, I remember.  
9 Clinical outcomes. Essentially we  
10 said there's no data on clinical outcomes, so  
11 essentially associations with overall survival  
12 or progression-free survival were reported only  
13 in one study with first line therapy. This was  
14 a study by Willis done in 2005, and they found  
15 no significant association, so any mutation  
16 with overall or disease-specific survival. Now  
17 this is a bit of a hindrance; they are  
18 basically assessing any mutation and not  
19 specific mutations, so they put everything  
20 together in a single packet and saying whether  
21 there is any mutation associated with the  
22 outcome.

23 And now I'm going to show you just one  
24 of the outcomes, cytogenetic response, and this  
25 is for any mutation, put all the mutations

00075

1 together as if they were equally devastating,  
2 let's say, and trying to see whether they  
3 predict lack of cytogenetic response. And you  
4 see that most of the data are on the second  
5 plot, and there's sparse data on the other  
6 plots, the different types of markers and the  
7 different stages of disease, but we don't  
8 really care. What you have to know is that all  
9 of the studies follow the data, so when it  
10 comes to using any mutation, there's no  
11 predictive ability essentially.  
12 There are some studies that are very  
13 small and essentially have zero counts in the  
14 two-by-two table that we use to calculate  
15 sensitivity and specificity. And this is why  
16 for example in the imatinib-based, you see one  
17 study by Jabbour, a 2009 study, and it appears  
18 to have perfect sensitivity. However, this is

19 a very small study and we can't really believe  
20 that it has a sensitivity of a hundred percent.  
21 This all has to do with the variety of the  
22 mutation and the sample size being very small.  
23 So essentially for any mutation, no good  
24 predictability, we don't really have a  
25 meta-analysis and we don't want to do a

00076

1 meta-analysis here because we have patients who  
2 are very heterogeneous.  
3 However, we find what we expected to  
4 find for the 315 mutation, which is the one  
5 that is known to abrogate the effects of the  
6 drug. And essentially we see that all the  
7 studies are squished down to the left on the  
8 vertical axis, and what this says is this  
9 mutation has a specificity of 100 percent to  
10 identify lack of response, and this is what we  
11 know from the theory already or what we know  
12 from basic science and what is known in the  
13 field. And essentially it was very low  
14 sensitivity to identify non-responders,  
15 sensitivity, the ability to maximize true  
16 positive tests, so the ability to maximize the  
17 number of non-responders that are correctly  
18 identified by the presence of the mutation, and  
19 this number is so low because the mutation is  
20 rare. If you remember from the previous table  
21 that had the prevalences, it was in the five,  
22 seven percent range, and very often lower than  
23 that across the different studies.  
24 So the presence of any BCR-ABL1  
25 mutation does not appear to predict

00077

1 differential response to treatment with TKI  
2 inhibitors. Consistent evidence that presence  
3 of the rare T315I mutation can predict TKI  
4 treatment failure that is not a hematological  
5 or cytogenetic response. I only showed you a  
6 figure for cytogenetic response, lack of  
7 cytogenetic response, but there are similar  
8 figures for hematologic response and molecular  
9 response, and the same matter exists there. So  
10 because of the complexity of this issue, it's  
11 our assessment that analysis on collaborative  
12 registries of CML patients are necessary,  
13 because there is simply no way that you can  
14 actually use the published data and disentangle  
15 all the different factors from the actual  
16 predictive effects. You cannot use published  
17 studies to predict the effects of the different  
18 mutations on treatment response.  
19 Most evidence pertains to short-term  
20 surrogate outcomes of hematologic, cytogenetic

21 and molecular response since we don't have  
22 evidence on clinical outcomes like mortality,  
23 like progression-free survival. Most evidence  
24 is of second line TKI treatments like dasatinib  
25 and nilotinib and from a relatively small

00078

1 number of referral cancer centers. As I said  
2 before, all these studies seem to originate  
3 from the same centers and therefore, there is  
4 unclear generalizability of these findings.  
5 For example, we don't know what is the actual  
6 prevalence of these rare mutations in  
7 quote-unquote garden variety CML patients  
8 throughout the world.

9 So this concludes the presentation  
10 about the evidence in those three topics and  
11 now I'm going to discuss some cross-cutting  
12 methodological issues, and then I will  
13 conclude.  
14 So, one thing that was apparent  
15 throughout these systematic reviews was that  
16 treatment-by-gene interactions were often not  
17 assessed and to some extent this may be  
18 justifiable. If it's completely known that  
19 this gene essentially predicts, whatever the  
20 gene predicts when it comes to the treatment  
21 response is only treated patients, and that the  
22 gene would not predict anything in an untreated  
23 patient. However, it would be nice to show it,  
24 it would be nice to show that the ability of  
25 the gene to predict, or of the genetic

00079

1 variation, I'm sorry, to predict the treatment  
2 response is only among treated patients and  
3 it's not only a prognostic ability of that  
4 genetic variation. The difference between  
5 those two is that you can have a genetic  
6 variation that predicts time to death, let's  
7 say, for all patients irrespective of whether  
8 they're treated or not, and I examined the  
9 genetic variation only among those who have  
10 been treated, then I may think that this is a  
11 pharmacogenetic effect but in reality it's just  
12 a prognostic effect. So although several  
13 studies had the opportunity to do that because  
14 they had both treated and untreated patients,  
15 they just did not do it.  
16 Sample sizes are small and when you  
17 have small sample sizes, you have diminished  
18 power to detect small effects. We know that we  
19 don't actually know how big the pharmacogenetic  
20 effects are expected to be, we don't have a lot  
21 of empirical data on how big pharmacogenetic  
22 effects are in general. What we know, though,

23 is that prognostic effects of genes for  
24 associations with common diseases are small, so  
25 we're not talking about huge effects. And if

00080

1 one thinks that the pharmacogenetic  
2 interactions are likely in terms of magnitude  
3 and strength, it would mean that you would need  
4 a lot of people and big sample sizes to detect  
5 subtle and small pharmacogenetic interactions.  
6 The other thing is that, and this is a  
7 general observation, is that repurposed RCTs,  
8 what we call repurposed RCTs, which is the  
9 ability to take an RCT and genotype the  
10 participants and retrospectively go in and see  
11 whether there is a pharmacogenetic effect.  
12 This seems to be a very neat way to perform  
13 studies with pharmacogenetic effects, because  
14 you are essentially using the whole RCT  
15 machinery and you have a very good adjudication  
16 of outcomes and you have a very good follow-up  
17 of the patients, and for many cases the samples  
18 have been collected from the patients while the  
19 RCT is going on. The actual analytic validity  
20 of tests to detect genetic variations in these  
21 samples are very good, so they are essentially  
22 as good as if they were done prospectively and  
23 during the time of the RCT conduct.  
24 Now this is important for variations  
25 that are somatic. There are two types of

00081

1 variations, there's somatic variations and  
2 germline variations. Germline variations,  
3 genetic variations are heritable, and these are  
4 variations that are stable and do not change  
5 throughout our lives. And this is, for  
6 example, variations from CYP2D6, so the CYP2D6  
7 case was a germline case and these can be  
8 assessed at any time, even after the RCT has  
9 been conducted and concluded, before or after,  
10 it doesn't matter, they will never change.  
11 So, a problem might be with the  
12 somatic ones like the KRAS mutations and the  
13 BCR case, which are more volatile, and they may  
14 evolve during the course of the treatment, and  
15 that's why it's important to have samples  
16 during the RCT conduct. However, if all stars  
17 align, then it's not, you cannot detect a huge  
18 bias that is introduced by using this type of  
19 repurposed RCT. So repurposed RCTs cannot  
20 measure the effects of testing on patient  
21 outcomes or the effects of testing on treatment  
22 decisions, because they are essentially  
23 retrospective exercises.  
24 And this is, the second bullet says

25 what I said before, that genetic analysis from  
00082

1 archived but prospectively collected samples is  
2 generally accurate. The catch is that there  
3 are a lot of pharmacogenetic tests that can be  
4 examined and more will pop up, so there's an  
5 opportunity for that. When you do a lot of  
6 tests in the same population and you examine  
7 the same thing in the same population, you run  
8 into a case of multiple comparisons, and this  
9 multiplicity of comparisons has to be taken  
10 into account in your statistical analysis.  
11 Otherwise you will find spurious results.  
12 And in general when a result is found  
13 in a genetic study, or in a pharmacogenetic  
14 association study is better, it should better  
15 be evaluated in an independent population and  
16 in an independent study to control for the  
17 danger and the rate of false positive findings.  
18 As we said, there is heterogeneity in  
19 genetic exposures, this was particularly  
20 evident in the KRAS studies and the BCR case,  
21 and again, when you have a lot of alleles, you  
22 have a lot of opportunities to group them  
23 together and to analyze them in the way that  
24 you would like, and you can actually get a  
25 statistically significant result from analysis

00083

1 if you play enough with it.  
2 I dare say that we have an example in  
3 mind. There's a particular study that  
4 essentially analyzed a lot of genes and it has  
5 a lot of SNPs in the CYP2D6 case, and it can be  
6 looked at in ways that are not immediately  
7 obvious. We cannot see a logical pattern  
8 behind the proof of these variables, so in  
9 their main analysis they may find nothing, but  
10 then they go on with this exercise of looking  
11 at them differentially and they find a margin  
12 that is a statistically significant result.  
13 This is in my mind a demonstration of data  
14 dredging.  
15 So the heterogeneity with genetic  
16 exposures cannot be really tackled with  
17 meta-analysis of root data, so it's perhaps  
18 important to go on and have meta-analysis from  
19 individual patient data, and this is actually  
20 something that has been done in other cases or  
21 other genetic tests, like in the warfarin  
22 example.  
23 There are several statistical issues.  
24 Adjustments for multiple comparisons were not  
25 documented in the included studies, so we have

00084

1 a large number of possible hypotheses, and  
2 again, this entire issue of multiplicity of  
3 comparisons, and statistical significance  
4 findings are not even at the five percent  
5 level, they are actually much worse than that.  
6 The other thing that is particularly  
7 pertinent to germline mutations and germline  
8 variations, that is variations that we get from  
9 our parents and which don't change through our  
10 lifetime, is that adjustments for potential  
11 confounding factors are too confusing, or are  
12 at least debatable. So let's see what we could  
13 do, or why, what some cases are where you  
14 should not be adjusting for confounding. And  
15 you would not be adjusting for confounding if  
16 you have a factor that is in the causal path,  
17 and this is because if I have the genetic  
18 exposure and I have a confounder or a third  
19 variable that is in the path, it's influenced  
20 by the genetic exposure that might affect the  
21 outcome, I should not be doing naive  
22 adjustments or, in that case, because it  
23 results in essentially conditioning complex  
24 ways, and masking the actual effect of the  
25 exposure on the outcome. I could do more

00085

1 complex things, there are structural equations  
2 or other approaches that are proper, but simple  
3 adjustments are just not given to the story.  
4 You would adjust if you had  
5 confounders, and what are confounders?  
6 Confounders are causes of the outcome that are  
7 also associated with the exposure but are not  
8 affected by the exposure. This is a  
9 mind-boggling thing to provide an understanding  
10 of, what confounders are. However, as you can  
11 see in the causal diagram, confounders would be  
12 affecting the exposure and the outcome, and  
13 they may induce an association, they may make  
14 an association appear that would disappear if  
15 you took the levels of the confounder into  
16 account.  
17 Now, the thing is that when you're  
18 assessing germline mutations or germline  
19 variations, you cannot have this previous  
20 relationship where a different confounder was  
21 affecting the exposure. And this is because  
22 the exposure, our genotypes are protected by  
23 what is known as Mendelian randomization. And  
24 genotypes are essentially randomized to a  
25 meiosis, to information during the formation of

00086

1 the human being. So essentially they cannot be  
2 confounded by something else, they cannot, for

3 example, smoking cannot affect which genetic  
4 variations you have because you can only,  
5 there's temporal comparisons here. You can  
6 stop smoking when you're already an adult, but  
7 your genetic variations have already been laid  
8 out during meiosis.

9 This is my last slide. So essentially  
10 for germline variation, adjustments are  
11 probably not warranted, for two reasons. The  
12 third factor is, if a confounding factor is  
13 affected by your genetic makeup, it's in the  
14 path so it should not be adjusted for, at least  
15 not in naive ways. And if it's not in the  
16 path, it's not in the causal path, it cannot be  
17 a confounder because of Mendelian  
18 randomization.

19 And the final slide is that multiple  
20 studies on each topic frequently originated  
21 from a limited number of specialized centers  
22 and identifying non-overlapping populations  
23 becomes, or can become a problematic issue.  
24 And also, this poses a threat to the  
25 generalizability of findings, this is something

00087

1 that we get from the CML example.

2 So this is where I conclude.

3 DR. GOODMAN: Thank you very much, Dr.  
4 Trikalinos. If I'm not mistaken, you and your  
5 team will be here for the balance of the day;  
6 is that correct?

7 DR. TRIKALINOS: Correct.

8 DR. GOODMAN: Thank you. Panel, it's  
9 time for our break and I think we all need it.  
10 We've noticed that you've been taking a lot of  
11 notes and we have a lot of questions.

12 During the break, Dr. Satya-Murti, I  
13 just want to check with our panel and see  
14 whether or not you want to stick with the  
15 agenda as is, which would have us, following  
16 the break, go directly to our scheduled public  
17 comments and then to our open public comments,  
18 or whether you want to shift the agenda just a  
19 bit in case you have some immediate questions  
20 for our morning presenters, which might help us  
21 focus. So let's just talk briefly about that  
22 while we break and we will do your bidding as  
23 such, and we will confer with the CMS staff  
24 about that.

25 I want to thank very much, Drs.

00088

1 Freedman and Trikalinos and team members, for  
2 superb presentations. I can't promise we got  
3 all that, but we certainly appreciate you  
4 answering some questions and we will certainly

5 have some for you. Whatever your watch says,  
6 add 15 minutes to it and we will start again.

7 Thank you.

8 (Recess.)

9 DR. GOODMAN: We're going to reconvene  
10 right now. If our panelists would have a seat,  
11 we'll reconvene. The panel has many questions  
12 already for our first two speakers. However,  
13 we're going to try to impose some self  
14 discipline and push through the agenda as is  
15 with our scheduled speakers, scheduled public  
16 comments, open public comments, which will  
17 force our panel, including me, to set some  
18 priorities and ask them in an organized way.  
19 We have nine scheduled public  
20 comments, each of which has five minutes, and  
21 not five minutes and one second, but five  
22 minutes for your total presentation. I will  
23 give you a one or two-minute warning if it  
24 looks like you might need that.  
25 First up is Dr. Diane

00089

1 Allingham-Hawkins. Dr. Allingham-Hawkins. And  
2 as Dr. Allingham-Hawkins makes her way to the  
3 podium, just a little reminder to our panel yet  
4 again that we're seeing a lot of material  
5 today. Please do keep in mind what the  
6 questions are that we need to answer this  
7 afternoon, and as we discussed at the break,  
8 those questions really deal with health care  
9 outcomes and adequacy of accompanying evidence,  
10 health care outcomes and adequacy of  
11 accompanying evidence.

12 Dr. Allingham-Hawkins.

13 DR. ALLINGHAM-HAWKINS: Good morning.  
14 My name is Diane Allingham-Hawkins and I am a  
15 molecular geneticist and a cytogeneticist. I  
16 am here representing Hayes, Inc., which is an  
17 independent health care research and consulting  
18 company located in Lansdale, Pennsylvania.  
19 Hayes does not, nor do I personally, have any  
20 financial involvement with the manufacturers of  
21 any products being discussed, and my travel to  
22 this meeting was funded entirely by Hayes.  
23 For more than 20 years, Hayes has been  
24 an industry leader in providing health  
25 technology assessment on a wide variety of new,

00090

1 emerging and controversial health technologies  
2 to our worldwide clients, which include  
3 hospitals and health care systems, managed care  
4 organizations, government agencies and  
5 employers.

6 As we have heard a number of times

7 now, pharmacogenetics is a study of how an  
8 individual's genetic makeup influences their  
9 response to a drug, and pharmacogenetics is a  
10 cornerstone of personalized medicine, which is  
11 a form of medicine that uses information from a  
12 patient's genetic makeup together with  
13 information about environmental exposures to  
14 tailor their care in order to prevent, diagnose  
15 and treat disease.

16 So what evidence is necessary to  
17 evaluate pharmacogenetic tests? Evidence must  
18 address the analytical validity of this test  
19 which is the ability to accurately detect the  
20 change of interest, clinical validity which is  
21 the ability of the test to detect your clinical  
22 outcome of interest, and clinical utility which  
23 is the impact of the genetic test on patient  
24 care. Ethical, legal and social implications,  
25 which are safeguards and impediments of the

00091

1 test, must also be considered in the context of  
2 the other elements.

3 Other considerations, although not as  
4 critical as those from the previous slide,  
5 include the cost of the test, does it make any  
6 financial sense? How does the test impact  
7 current clinical practice, does the use of the  
8 test make a difference in how a particular  
9 clinical situation is approached? Quality of  
10 life and patient preferences, in some cases  
11 it's the use of a given test that may be  
12 preferable over another. And the future of the  
13 technology, is this technology, while perhaps  
14 not yet viable, likely to make an impact in the  
15 future?

16 What kind of studies are we looking  
17 for? Ideally we would like to see large  
18 prospective randomized controlled trials that  
19 clearly show the clinical utility of the test,  
20 but the reality is that such studies are few  
21 and far between. Having said that, most case  
22 studies are retrospective in nature and  
23 relatively small. But having said that, the  
24 existence of a number of smaller studies with  
25 consistent outcomes may be sufficient to make a

00092

1 determination on a given test.  
2 To demonstrate the uses and outcomes  
3 of pharmacogenetic testing, I would like to  
4 talk about the example of KRAS. Sequence  
5 variants in the KRAS gene have been linked to  
6 treatment response in a number of cancers  
7 including metastatic colorectal cancer and  
8 non-small cell lung cancer.

9 In evaluating the evidence related to  
10 variances in response we've seen to treatments  
11 with monoclonal antibodies in metastatic  
12 colorectal cancer, we found that there are no  
13 large prospective trials, and as we heard  
14 examples earlier, there is sufficient  
15 consistent evidence from smaller studies that  
16 KRAS status impacts response to therapy.  
17 For non-small cell lung cancer,  
18 however, the current evidence is less  
19 compelling that KRAS status does impact  
20 treatment response to tyrosine kinase  
21 inhibitors.  
22 It is clear, therefore, that  
23 pharmacogenetic tests, even those involving the  
24 same gene, must be evaluated on an individual  
25 basis, to insure sufficient evidence exists to

00093

1 support the use of the test for that  
2 application. Hayes has evaluated the evidence  
3 associated with 20 different pharmacogenetic  
4 tests to date and we found sufficient evidence  
5 to support the use of just five of these tests.  
6 So the remaining tests, which includes two of  
7 the five under review at this meeting, while  
8 promising in some but not all cases, are not  
9 yet proven to improve patient care.  
10 DR. GOODMAN: About one minute.  
11 DR. ALLINGHAM-HAWKINS: The  
12 conclusion, then, while pharmacogenetics has  
13 the potential to revolutionize drug therapy by  
14 ensuring that the right patient receives the  
15 right drug at the right dose at the right time,  
16 evidence is currently lacking for the majority  
17 of pharmacogenetic tests currently available.  
18 Manufacturers must be encouraged to perform  
19 sufficiently powered prospective studies that  
20 unequivocally demonstrate the benefits and  
21 risks of these tests, and results of the  
22 studies must be evaluated by independent  
23 entities. Ongoing evaluation of the evidence  
24 is essential to the development of meaningful  
25 coverage policy.

00094

1 With that I will conclude my comments  
2 and thank you.  
3 DR. GOODMAN: Thank you very much, Dr.  
4 Allingham-Hawkins, very helpful. As  
5 Dr. Mitchell Burken is making his way to the  
6 podium, just a kind suggestion to all of our  
7 speakers today. If you are thinking about  
8 talking about something you think the panel has  
9 already heard, you don't need to repeat it. If  
10 it's a main point or some issues that we

11 haven't heard yet that are directly germane,  
12 those are the ones we'd like to hear, so that  
13 might help you make your short presentation  
14 even more efficient. Dr. Mitchell Burken,  
15 medical director of IntegriGuard. Sir.  
16 DR. BURKEN: Just a correction for the  
17 record. When these slides were sent to CMS I  
18 was an employee of IntegriGuard. At this point  
19 I will just be representing myself and not the  
20 company, so this disclaimer statement is really  
21 not pertinent.  
22 What are the relevant questions?  
23 Well, the general topic of BCR-ABL and imatinib  
24 may be construed to include two separate issues  
25 in their corresponding sets of questions. One

00095

1 of those issues would be the issue of BCR-ABL  
2 monitoring during therapy. The other issue was  
3 one that was touched upon very nicely previous  
4 to the break on mutations.  
5 I'm going to discuss mutations in a  
6 slightly different context than Dr. Trikalinos  
7 and I'm going to use it to make a greater, more  
8 global point about how we as payers tend to  
9 look at new technologies and how we tend not to  
10 look at new technologies, and this diagram here  
11 shows that there's a pyramid starting out with  
12 test validation leading up to clinical utility.  
13 The important point here is that, and  
14 the reason I drew the pyramid this way is I  
15 wanted to make it look like an iceberg, because  
16 there's a large component called test  
17 validation that we as payers really don't see  
18 very often, which really involves the  
19 mathematics and the biomathematics of internal  
20 validity. And I'm not going to go into detail  
21 on these internal validation techniques, but  
22 again, it's something that we tend to lose  
23 sight of when we're thinking as payers today,  
24 when we're making these types of coverage  
25 decisions.

00096

1 So what I did is posed the question,  
2 well, if you wanted to design a panel of  
3 mutation markers to test, in the last panel,  
4 again, Dr. Trikalinos talked a little bit about  
5 T315I and its role in the mutations, but, you  
6 know, how might we construct a panel. And  
7 again, I just did a quick PubMed search,  
8 started with 33, caught over 3,300 references,  
9 but basically boiled it down to only four  
10 abstractions that warranted a full article  
11 retrieval. I did also a supplemental Google  
12 search.

13 Again, this is just a capsule summary  
14 of the four PubMed studies. You will note in  
15 the Branford study is where it's fewer than ten  
16 common mutants account for 60 to 85 percent of  
17 all mutations. So the question becomes, and if  
18 we go back to our pyramid, you know, are these  
19 articles helpful in test validation, clinical  
20 validity and clinical utility, the answer is  
21 no.

22 But let me actually go back to this  
23 slide and point out that this paradigm is  
24 something I adapted from the Center for Medical  
25 Technology Policy Effectiveness guidance

00097

1 document that's listed here, and I'm just going  
2 to leave this up while I exit the podium,  
3 because I think that's a very very interesting  
4 and compelling document that really helps to  
5 organize the thinking on all the phases of  
6 validation and utility. So thank you.

7 DR. GOODMAN: Thank you very much,  
8 Dr. Burken. Next is Jeff Voigt, principal,  
9 Medical Device Consultants of Ridgewood.  
10 Mr. Voigt.

11 MR. VOIGT: Thank you. My name is  
12 Jeff Voigt, I'm an independent research or  
13 reimbursement consultant. Due to the  
14 five-minute limitation I'm not going to talk  
15 about suggested solutions to the issue being  
16 presented. However, the handout that's been  
17 provided which is entitled Examining the  
18 Evidence For Clinical Utility and Testing does.  
19 I'm going to talk a bit today about  
20 the 800-pound gorilla in the room, which is the  
21 definition of clinical utility, which is rather  
22 troubling to me and some of my clients. I have  
23 no financial ties to making this presentation,  
24 I'm here on my own.

25 I and companies I work with have

00098

1 recently experienced frustration in dealing  
2 with CMS policy developed from inputs provided  
3 at the February 2009 MedCAC meeting. I believe  
4 the policy is flawed and will ultimately hurt  
5 the development of and access to clinically  
6 useful genomic tests. This policy was  
7 developed based on a simple query administered  
8 to the MedCAC group at the February 2009  
9 meeting. The question asked that the panel  
10 relate it to the best type of evidence required  
11 to support a finding of improved patient-  
12 centered health outcomes based on the results  
13 of a diagnostic genetic test. In this question  
14 the answer was provided; the best type of

15 evidence needed to infer that the diagnostic  
16 test improved health outcomes is, surprise,  
17 improved health outcomes.  
18 There are numerous issues that were  
19 not addressed in that query, nor appear to have  
20 been considered in the CMS policy as it was  
21 developed, including the practicality, cost,  
22 timing, ethicality, and patient access to  
23 important medical advances. Many of these same  
24 issues were brought up in public comments and  
25 were reflected in the February 2009 meeting

00099

1 transcript.  
2 MedCAC in its 2006 recommendation made  
3 by its operations and methodology committee in  
4 establishing guidelines for evaluating  
5 diagnostic tests stated the following: The  
6 recommended approach for evaluating diagnostic  
7 tests when direct evidence is not available is  
8 to determine the extent to which there are  
9 changes in patient management, particularly  
10 when the management strategy has been  
11 demonstrated to be effective, such as  
12 improvements with established associations. In  
13 this case, intermediate health outcomes may  
14 also be considered.  
15 Why CMS and MedCAC have not considered  
16 pharmacogenetic tests is troubling, especially  
17 since it's been used by CMS for evaluating the  
18 clinical utility of other diagnostic tests.  
19 The MedCAC's survey back in February 2009 also  
20 appeared not to include the inputs from  
21 important constituencies such as the companies  
22 that actually develop these tests,  
23 statisticians who understand the nuances and  
24 issues surrounding the evidence gathering, or  
25 patients who may have actual benefit from these

00100

1 tests, all with vested interests in seeing that  
2 these tests and technologies are accessible and  
3 clinically useful, and likely have some unique  
4 experience and insights into the practicality  
5 of proving out clinical utility.  
6 What are the issues surrounding CMS's  
7 definition of clinical utility equating to  
8 patient-centered outcomes? First, the  
9 definition will undoubtedly be picked up by  
10 private payers and used as their definition for  
11 clinical utility.  
12 Second, being able to establish the  
13 direct effect of clinical test results on  
14 health outcomes is extremely challenging,  
15 sometimes unfeasible. The impact of a  
16 diagnostic genetic test on health outcomes is

17 very often confounded by the variable effects  
18 of such things as physician behavior and  
19 decision-making, treatments or interventions  
20 employed, patient adherence to treatment  
21 regimens or other patient behaviors which occur  
22 following the diagnostic test. In other words,  
23 it takes a leap of faith to conclude that the  
24 results of a diagnostic test had an, or any  
25 effect on the outcome.

00101

1 Third, the financial ramifications of  
2 having to establish clinical outcomes for payer  
3 coverage can be enormous, costing tens of  
4 millions of dollars. These essentially become  
5 drug-like trials.

6 Fourth --

7 DR. GOODMAN: One minute, sir.

8 MR. VOIGT: Okay. Fourth,  
9 establishing a direct effect of genetics test  
10 result on a health outcome presents enormous  
11 problems for IRB approval. In order to  
12 demonstrate the clinical benefit of a new  
13 diagnostic test over an existing one seldom can  
14 be randomized to a treatment, therapy or  
15 intervention that matches the gene expression  
16 in the new test, and there would be some  
17 randomized treatments known to be ineffective  
18 based on results of the inferior test results.  
19 If clinical outcomes as defined above  
20 by CMS becomes a requirement for establishing a  
21 positive coverage determination, it will reduce  
22 investment in new genetic tests and the market  
23 introduction of these tests, and ultimately  
24 their use. This in turn will have an adverse  
25 effect on the quality, access, and potentially

00102

1 the overall cost for care. If there are others  
2 in the audience who have similar concerns, it  
3 is respectfully requested that they also voice  
4 their opinion and please read the entitled  
5 Examining the Evidence For Clinical Utility and  
6 Testing.

7 DR. GOODMAN: Thank you very much, Mr.  
8 Voigt. Sorry, but your time is up. We  
9 appreciate your input. You may leave the  
10 podium now.

11 I just remind the panel as I think all  
12 of you know, MedCAC is not here about policy,  
13 we're not a policy-making body, we're an  
14 advisory body. Policy in some instances is  
15 made by CMS, not by us, contrary to what you  
16 might have heard. Thank you, sir. Our next  
17 speaker is J. Russell Teagarden, clinical  
18 practices and therapeutics, Medco Health

19 Solutions, Inc. Sir.

20 MR. TEAGARDEN: Thank you. I am from  
21 Medco, and I don't have anything to disclose  
22 other than I'm from Medco and we have some  
23 commercial programming around testing and so  
24 forth embedded in it. I have a more robust set  
25 of slides here than I will be able to get to, I

00103

1 have a beginning, middle and end, and I will  
2 stick with the middle for the most part.  
3 Here, Medco is a PBM, and it's big.  
4 One in five Americans, their pharmacy benefit  
5 is managed by us in some way. And just to give  
6 you a sense, why we're interested in this  
7 question is because we advise payers of  
8 pharmacy benefits on their plan designs, we  
9 implement various utilization programming for  
10 them, and we, at the size we are, whether we  
11 like it or not, and we do like it, we're in the  
12 public health system and so we have an interest  
13 more broadly in the safe and effective use of  
14 drugs, therefore our interest in anything that  
15 can make us more effective and gives us better  
16 precision to do that.

17 I want to focus mostly here on what's  
18 going on in the private sector that addresses  
19 the question the committee is being asked,  
20 mainly about what level of confidence should  
21 you have in evidence and how you should assess  
22 it and so forth. And I'm here to tell you that  
23 there is already some of those assessments  
24 going on in the private market in our domain.  
25 And for example, there are, several of these

00104

1 tests that you are looking at are already  
2 embedded in coverage policies for drugs. In  
3 other words, coverage for drugs are contingent  
4 on some of these tests already, that's quite  
5 common in the private sector.  
6 Furthermore, there are many plan  
7 sponsors signing up for some commercial program  
8 around it, and I want to give you an example of  
9 what I mean by commercial programming. I'm  
10 going to zero in on the tamoxifen program that  
11 we make available for our clients now. You've  
12 heard about the issue with tamoxifen and from  
13 our own data we do collect information on  
14 testing, and we see that something like 20  
15 percent of our patient population are maybe at  
16 risk for less than effective outcomes with  
17 tamoxifen.  
18 So what we do is, we know who's on  
19 tamoxifen in our universe, and with those  
20 payers that are interested in doing this, we

21 will contact the patients who are on tamoxifen,  
22 we'll -- I'm sorry -- we contact their  
23 physician first, describe the situation, and  
24 ask if they're interested in ordering that  
25 test. If so we talk to the patients, the

00105

1 patients go forth, and we facilitate the  
2 testing with our partner labs, they get a  
3 couple swabs, they swab themselves, they send  
4 the samples to the lab, the lab reports the  
5 rules to their doctor and to Medco. And then  
6 if we see something at Medco in our therapeutic  
7 resource centers that indicates that further  
8 elaboration is needed, such as a poor  
9 metabolizer, or make sure the doctor knows this  
10 and what alternative is available. Or is he an  
11 extensive metabolizer and we know that the  
12 patient is on a CYP2D6 inhibitor, we can  
13 further elaborate on that and help them get to  
14 a better therapy regimen with that in mind.  
15 Currently we have over 200 clients in  
16 these programs, they represent over seven  
17 million covered lives, and from what our  
18 account management people tell me, the uptake  
19 on these kinds of programs has been faster and  
20 more expensive than anything we've ever done at  
21 Medco, so there is a lot of interest in this in  
22 the commercial market.  
23 So what might look like a particular  
24 case, you see a prescription, and we get some  
25 lab results for metabolizers and we will follow

00106

1 up, and then we can see drug therapy changes as  
2 appropriate. This might be what a typical case  
3 would kind of look like.  
4 Now what we do with that information  
5 too, we can leverage it, we get this phenotype  
6 in, it may be perfect for other drugs. So just  
7 like if a patient has an allergy, we're able to  
8 notify a pharmacist, physician. So in that  
9 case when a drug comes in, here's the same  
10 thing, we tie this to other drugs where 2D6  
11 phenotypes are relevant and we're able to tell  
12 people, pharmacists, physicians, when we see a  
13 prescription come in for another drug in which  
14 this phenotype is relevant.  
15 This is some of our early findings on  
16 uptake with physician patients. This actually  
17 goes across both our tamoxifen and warfarin  
18 programs, I don't think they're broken out.  
19 But you can see that we get hold of our  
20 physicians, about two-thirds of them say yes,  
21 let's do that, and then 82 percent or so of the  
22 patients are good for it.

23 I'm going to end up here by showing  
24 you some results of a survey we did with AMA  
25 where we got 10,000 surveys back from docs to  
00107

1 give us some sort of sense of what's driving  
2 adoption or not. And we see that many  
3 physicians are of a mind that genetics, will  
4 drugs do, or we will know the drug effects, but  
5 that they don't feel particularly well prepared  
6 for it, but expect to have to be doing it  
7 sooner.

8 We have some other comments that we  
9 provided about evidence gaps and getting to  
10 what we need to establish some external  
11 validity on the data and the research because  
12 our role is external and we need external  
13 validity, and I will leave it at that.

14 DR. GOODMAN: Thank you very much,  
15 Mr. Teagarden. Next we have August Salvado,  
16 from Novartis. Again, I encourage all, if you  
17 could please focus on information that will  
18 help us address our questions, that would be  
19 great. Sir.

20 DR. SALVADO: I am the vice  
21 president -- I'm a hematologist-oncologist and  
22 I am the vice president for clinical  
23 development and medical affairs at Novartis  
24 Pharmacology, and I'm responsible for the  
25 hematology side. What I would like to do is I  
00108

1 would like to thank the panel, first of all,  
2 for allowing me to make a few comments  
3 regarding achieving better outcomes for CML  
4 patients through molecular response monitoring.  
5 And I need to make a clarifying  
6 statement because what I'm addressing in terms  
7 of what is before you is a very different  
8 question than what was brought up by both Dr.  
9 Trikalinos and Dr. Freedman, which has to do  
10 with mutational testing. We're looking at, or  
11 supporting genetic testing and molecular  
12 monitoring of each transcript to follow the  
13 course of the disease and to allow physicians  
14 to make better therapeutic decisions going  
15 forward.

16 I'm not going to go into this slide  
17 very much except to say it was already brought  
18 up by Dr. Trikalinos that the disease results  
19 from a translocation of a portion of the  
20 chromosome nine on the long arm to chromosome  
21 22, and that results in a fusion protein. And  
22 that's the core of what we're supporting here,  
23 that fusion protein is both necessary and  
24 sufficient to produce a phenotype of the

25 disease, and when the disease is adequately

00109

1 treated, that fusion protein disappears. And  
2 when resistance occurs the disease is  
3 reactivated, either through mutational  
4 mechanisms or potentially through  
5 non-mutational mechanisms, the levels of this  
6 fusion protein, again, rise, and therefore are  
7 useful in potentially following the development  
8 of resistance in patients and helping  
9 physicians make therapeutic choices.  
10 In 2001 the FDA approved the first TKI  
11 inhibitor, imatinib, for the treatment of this  
12 disease. Since that time I would like to point  
13 out that imatinib has two other generations,  
14 two second generation models of tyrosine kinase  
15 inhibitors, one from Novartis and one from  
16 another company, have also been approved for  
17 patients who are failing first line therapy.  
18 These TKIs can reduce progressively the disease  
19 burden to a level that is below that that can  
20 be standardly detected and useful by standard  
21 cytogenetic tests, so a more sensitive test is  
22 really needed to monitor patients going  
23 forward.  
24 Monitoring patients, of course, are  
25 important not only in terms of assessing their

00110

1 response to initial therapy but it also, as it  
2 turns out there, the kinetics of that response  
3 and the depth of that response, the durability  
4 of it, and the risk for future progression of  
5 the disease. So when patients are being  
6 followed, early identification of  
7 unsatisfactory treatment response through  
8 identifying molecular transcripts are actually  
9 very important in terms of being able to make a  
10 therapeutic decision for that patient.  
11 Molecular monitoring is done by real  
12 time quantitative PCR, and when you apply that  
13 test it is routinely at least three times more  
14 sensitive than standard cytogenic testing on  
15 bone marrow samples. Additionally, molecular  
16 monitoring is performed on peripheral blood  
17 which is more convenient and less invasive, and  
18 the levels of those transcripts as they rise  
19 can very early detect when patients are  
20 beginning to fail treatment with standard  
21 therapy.  
22 I'm going to skip that slide.  
23 DR. GOODMAN: About one minute.  
24 DR. SALVADO: And I am going to  
25 basically go through to looking at some data to

00111

1 show you that -- let me go back -- data from  
2 the initial study of Gleevec that was done now  
3 over eight years ago. These are results that  
4 were shown recently, that were published at  
5 that seven-year time point, showing that  
6 patients who developed a deep molecular  
7 response, notice on the left-hand column here,  
8 to a level of what is called a major molecular  
9 response, were less than or equal to one  
10 percent of their initial value by an  
11 international standard, versus those who don't  
12 develop that depth of response, have  
13 differences both in event-free survival and in  
14 transformation to blast crisis and to  
15 accelerated stage disease.  
16 This validates that with later data.  
17 This simply says that those patients who  
18 achieved molecular responses were progressive  
19 patients who developed accelerated stage of  
20 disease.  
21 DR. GOODMAN: Thank you very much,  
22 Mr. Salvado, we have to move on. Next is  
23 Dr. Michael Dugan, who is with Genzyme. And to  
24 all, we do appreciate your understanding of the  
25 need for us to go through these promptly. We

00112

1 will do our best to get to many of these issues  
2 during the Q&A period, but we appreciate your  
3 patience with us.  
4 DR. DUGAN: My name is Michael Dugan,  
5 I am the vice president of pathology services  
6 for Genzyme Genetics, representing ACLA,  
7 American Clinical Laboratory Association. The  
8 association represents national, local,  
9 regional, commercial and hospital-based  
10 laboratories. I have been in this capacity for  
11 several years with Genzyme, we have performed  
12 several of these tests, almost all of them.  
13 And I was previously the medical director of  
14 Specialty Laboratories, which performs about  
15 2,500 tests for hospitals across the country.  
16 I want to just briefly speak to a few  
17 points or several of the key points were  
18 already covered. One is that the laboratory  
19 role traditionally has not been one to  
20 establish the clinical outcomes comparison of  
21 the particular tests prior to providing those  
22 tests. We as laboratory directors are  
23 primarily charged with assuring that we can  
24 develop a test which identifies a particular  
25 analyte with a high degree of accuracy and

00113

1 precision, that's our charge. The clinical  
2 utility determination often varies over time

3 and they are the subject of clinical trials  
4 often funded by NIH and other bodies and  
5 organizations that establish the clinical  
6 utility. Hence, it's not directly within our  
7 primary purpose to do that, with rare  
8 exceptions.  
9 I think it's already been mentioned,  
10 the difference between inherited tests, I'm  
11 sorry, inherited genetic alterations and those  
12 acquired. Historically speaking,  
13 pharmacogenomic tests were just supposedly for  
14 things that were inherited genetic variations  
15 in metabolism enzymes in the liver. Thus, the  
16 CYP2D6 and a different related pathway, UGT1A1,  
17 those are traditional pharmacogenomic tests.  
18 The others relate to molecular alterations  
19 specific to the tumor, and as elaborated, there  
20 are many tests with different methodologies  
21 that are used to identify those molecules for  
22 purposes of diagnosis, prognosis, prediction of  
23 response to drug, and also, as our last speaker  
24 just spoke to, the monitoring of the response  
25 to therapy.

00114

1 So it's sort of like having a  
2 speedometer on a car telling you how fast  
3 you're going, but it doesn't really tell you  
4 whether or not you're going to get there. That  
5 depends on whether or not you get a flat tire  
6 along the way. There are various complexities  
7 in testing that have been largely skipped in  
8 these discussions of tests such as KRAS or  
9 BCR-ABL.  
10 We've provided some of that  
11 documentation from other papers provided to you  
12 that are very important. BCR-ABL, for example,  
13 FISH for diagnosis, RCT-CR for monitoring,  
14 stable time for mutation, detection of the  
15 T315I1, very different methodologies, very  
16 different applications.  
17 And finally, just speaking to the  
18 difficulty in using outcomes to establish the  
19 clinical utility of these tests, I would remind  
20 you of one example of really a pioneer in  
21 pharmacogenomic tests that not being discussed  
22 today, and that is HIV genotyping for drug  
23 resistance in retroviral patients. To measure  
24 the effectiveness of the genotyping, you go to  
25 another molecular test, the HIV viral load, to

00115

1 measure whether or not the patient has rising  
2 or falling viral load. But the test doesn't  
3 tell you what the ultimate outcome of the  
4 patient will be, it doesn't predict whether or

5 not the patient is going to get a lymphoma and  
6 die of that or not. So it's akin to, some of  
7 these tests are used to sort of measure the  
8 size of your parachute as you're falling to the  
9 ground, but they don't necessarily tell you  
10 when or if you're going to hit the ground.

11 Thank you.

12 DR. GOODMAN: Thank you very much,  
13 Dr. Dugan. Thank you for those comments. Next  
14 is Dr. Bruce Quinn, from Foley Hoag.

15 DR. QUINN: Thanks. Bruce Quinn,  
16 Foley Hoag. I have no direct financial  
17 conflicts with this meeting. Like Dr. Goodman,  
18 my firm works with hundreds of healthcare  
19 clients but no one supported me to be here  
20 today.

21 At the MedCAC today we've talked about  
22 these five genetic tests with three questions,  
23 sufficient evidence, net health outcomes, and  
24 relevance to the Medicare population. I would  
25 like to talk about ways of viewing the data

00116

1 before answering those questions. We think of  
2 a pipeline from basic research to clinical  
3 trials to meta-analyses to practice, usually  
4 for stuff, for devices or for drugs. But  
5 there's also a similar pipeline for process.  
6 In the thought process of evidence-based  
7 medicine, we've had plenty of testimony for  
8 years about the rules for evidence review, as  
9 we saw this morning. As Dr. Trikalinos said,  
10 there are strict generic rules for the reviews,  
11 but a review is not a policy decision. There  
12 is thought capital that's tremendously  
13 interesting coming out in the last couple of  
14 years, I've got the citations here and I would  
15 be happy to e-mail anyone my talk, by Michael  
16 Rawlins, Lawrence Green and others, about how  
17 to use the matrices after the trial is done.

18 So focusing on diagnostics, I'm going  
19 to lead up to talking about KRAS. Diagnostics  
20 are about reducing uncertainty. What's your  
21 cholesterol? I don't know. It's 185. You've  
22 asked the question and gotten an answer.  
23 William Osler, here in Baltimore, said  
24 ask the patient, the patient will tell you his  
25 disease. One of the things about HER2/neu and

00117

1 KRAS, of course, the patient can't tell you, so  
2 we ask a lab test. We can say what's your  
3 blood type? The lab test says A negative. But  
4 something's missing, there's no clinical  
5 utility, there's no context. In real life  
6 you've been bleeding, your hemoglobin is eight,

7 you need a transfusion. What's your blood  
8 type?  
9 For HIV, what's your T-cell count?  
10 The answer is four. Is your current medication  
11 working? The answer's no. Do we need to  
12 change your prescription? The answer's yes.  
13 So you get the utility by moving to an upstream  
14 question. But look what happens. We've  
15 changed on the left a question that we can't  
16 answer into the T count, or T-cell count, a  
17 question that we can answer. We've changed a  
18 question we can't answer into a question we can  
19 answer, and that means we need to know what do  
20 we need to know to bridge between the question  
21 on the left that we can't answer and the  
22 question we can answer, which is a lab test.  
23 Let's move to KRAS. We asked the lab  
24 test, is the tumor's KRAS wild type or mutated?  
25 The answer is mutated. The critical question

00118

1 is, being EGFR positive, will Vectibix help  
2 you? Now the answer is no. Now, what do you  
3 need to bridge between those two questions? We  
4 don't have time to present the full analysis,  
5 but the two key things are accuracy of the test  
6 in the lab and the population epidemiology to  
7 the response to chemotherapy. Those are the  
8 two key things to know. You could dream up  
9 other questions, you know, what about a one in  
10 a thousand mutation, but they're much more  
11 minor.  
12 Given those two points shown in blue  
13 at the top, neither one of them is addressed by  
14 an RCT. You may need to address them, you do  
15 need to address them, but it doesn't mean that  
16 a prospective RCT would address those  
17 questions. And in fact with mostly  
18 retrospective data, good retrospective RCT type  
19 data, all over the world people decided that  
20 KRAS is a good thing clinically. I think this  
21 is a way to think about why that decision was  
22 made the way it is.

23 DR. GOODMAN: One minute, Dr. Quinn.

24 DR. QUINN: Thanks. Now there are  
25 tests where the bridge between the clinical

00119

1 question and the lab test does require a  
2 prospective RCT, and the same approach will  
3 help show why. What's your CYP and VKOR  
4 genotype for warfarin metabolism? What should  
5 your warfarin dose be? Now here you cannot go  
6 straight backwards from that to the question.  
7 There are all those other blue boxes that would  
8 need to be filled in, and a randomized

9 prospective trial is the perfect way to do  
10 that, because you take one variable, knowing  
11 the genotype, you randomize everything else  
12 away, and you get the result or the impact of  
13 that one variable. And in fact, CMS said that  
14 for warfarin safe testing, a prospective  
15 randomized trial was the right answer. CMS did  
16 not say that for KRAS, and this is just a  
17 graphic way of presenting the difference.  
18 So I think by framing the questions  
19 forwards and backwards in this manner, I think  
20 helps focus the decision, so people know what  
21 they're talking about, and if they know what  
22 you're talking about, people can agree or  
23 disagree, and move the process forward. Thank  
24 you.

25 DR. GOODMAN: Thank you very much,

00120

1 Dr. Quinn. Next is Dr. Jan Nowak from  
2 Molecular Diagnostics Laboratory at Evanston  
3 Hospital, representing the Association for  
4 Molecular Pathology and the College of American  
5 Pathologists. Dr. Nowak.

6 DR. NOWAK: Thank you. I'm here on  
7 behalf of the College of American Pathologists  
8 and the Association for Molecular Pathology.  
9 CAP has a membership of nearly 17,000  
10 pathologists, board certified pathologists and  
11 pathologists in training. More than 6,000  
12 laboratories are accredited by the CAP, and  
13 approximately 23,000 laboratories are enrolled  
14 in the college's proficiency testing program.  
15 Many of our members serve as medical directors  
16 of clinical laboratories and have had something  
17 to say as part of their keener repositories  
18 about appropriate test usage. So in fact  
19 whether a laboratory offers a test or not does  
20 go through the medical director of the  
21 laboratory.

22 AMP has nearly 1,800 physicians and  
23 doctoral scientists who perform molecular  
24 diagnostic testing, and most of the molecular  
25 diagnostic laboratories in this country are

00121

1 directed by AMP members. So I'm not going to  
2 give any clinical data here, but I am going to  
3 give you some usage data on these five tests.  
4 CAP offers proficiency testing for  
5 each of these five analytes, and you can see  
6 the various surveys, you can see the  
7 enrollments in these proficiency tests, so  
8 1,200 laboratories participate in some kind of  
9 HER2 proficiency tests. BCR-ABL, and now this  
10 is BCR-ABL quantitation, and it has been

11 pointed out, that this is a test that's needed  
12 to determine major molecular response, this  
13 isn't the mutation test, which is very  
14 esoteric, and we don't even have a proficiency  
15 test for that mutation, so I'm not sure what  
16 the issue is there.  
17 And you can see the enrollments in  
18 these other tests. CYP2D6, as was pointed out,  
19 how complicated a test that is, so there are  
20 relatively fewer laboratories doing that, that  
21 does not surprise me. Likewise, the BCR-ABL is  
22 relatively low because it is not an easy test  
23 to give, it requires some expertise.  
24 In preparation for this meeting we  
25 performed an impromptu survey of AMP members.

00122

1 There were 75 respondents and you can see the  
2 breakdown of reference laboratories and  
3 non-reference laboratories. You can see the  
4 number of beds served by the non-reference  
5 laboratories, it's all over the place from  
6 small hospitals to large major medical centers.  
7 The question, do you perform these  
8 tests in-house, and you can see for HER2,  
9 BCR-ABL and KRAS, the vast majority do perform  
10 the test in-house, and I think that's a  
11 reflection of the medical directors'  
12 assessments of clinical utility. The numbers  
13 are a little bit less for in-house performance  
14 of CYP2D6 and UGT1A1, where the test is  
15 provided through reference laboratories.  
16 Of these non-reference laboratories,  
17 here's an assessment of the volume of testing,  
18 and you can see that it's very high for HER2,  
19 BCR-ABL and KRAS, and it's somewhat less for  
20 CYP2D6 and UGT1A1, possibly reflecting the more  
21 limited clinical situations in which those  
22 tests are performed.  
23 We asked that same question of the  
24 reference laboratories and the numbers are high  
25 all across the board. There's a lot of this

00123

1 testing going on.  
2 So on this survey I took the  
3 opportunity to ask the very same questions that  
4 the panel is going to address this afternoon  
5 about their confidence, whether there's  
6 sufficient evidence to determine whether  
7 testing affects health outcomes. And so in  
8 response to that, you can see that for HER2,  
9 BCR-ABL and KRAS, there is huge confidence that  
10 there is sufficient evidence to answer these  
11 questions. There's somewhat less confidence  
12 about CYP2D6 and UGT1A1, but then fewer people

13 actually perform these tests. I point out the  
14 black bars, where people simply said they  
15 didn't know, and that points out that there's  
16 an educational component in understanding what  
17 these tests are and how they're used, and  
18 that's important to remember. Clinicians are  
19 not aware, or pathologists, we're just simply  
20 not aware of how these tests can be used, and  
21 that doesn't really reflect on our lack of  
22 clinical utility.

23 DR. GOODMAN: One minute, Dr. Nowak.

24 DR. NOWAK: In answer to this question  
25 whether, their confidence level regarding

00124

1 improved health outcomes, you can see again for  
2 HER2, BCR-ABL and KRAS, the 70 responders to  
3 this question were overwhelmingly confident.  
4 They were somewhat more guarded in their  
5 confidence about CYP2D6 and UGT1A1. And again,  
6 I'll point out the green bars, people who  
7 simply said that they did not know, means that  
8 they're simply not educated about this, they  
9 simply aren't in a position to make a decision.

10 So in summary, I think these five  
11 tests represent a spectrum of tests, they vary  
12 in their clinical applications, their clinical  
13 impact and their clinical usage. I think one  
14 needs to evaluate each one of these tests on  
15 their own in their own clinical situation, as  
16 the evidence will not be uniform across the  
17 board. In the judgment of molecular  
18 diagnostics laboratory directors, the  
19 confidence for affecting outcomes is strong to  
20 very strong for all five of these tests.

21 DR. GOODMAN: Thank you, Dr. Nowak, we  
22 appreciate your comments. Next is Dr. Steve  
23 Brotman, from AdvaMed. Dr. Brotman.

24 DR. BROTMAN: Thank you. My name is  
25 Steve Brotman, I'm a pathologist by training,

00125

1 and I'm here on behalf of AdvaMed, the Advanced  
2 Medical Technology Association. AdvaMed's  
3 member companies produce diagnostic products  
4 that are transforming health care by enabling  
5 earlier disease detection and improved patient  
6 management. Our tests are used in clinical  
7 laboratories, physicians' offices and homes  
8 throughout the world, and our members range  
9 from the largest to the smallest in vitro  
10 diagnostic technology innovators and companies.  
11 Thank you for holding this MedCAC  
12 meeting to consider and make recommendations on  
13 the evidence that supports the use of specific  
14 pharmacogenomic tests in the diagnosis and

15 treatment of several particular cancers. This  
16 issue is an especially important one for  
17 Medicare's 44 million beneficiaries. Today the  
18 panel will have to evaluate the level of  
19 evidence in each of five pharmacogenomic tests  
20 and their uses as companion diagnostics,  
21 providing information critical for appropriate  
22 use of highly potent anti-cancer drugs that  
23 must be deployed carefully.

24 The questions posed to the panel focus  
25 on the use of these tests in guiding the use of

00126

1 specific therapies for particular cancers.  
2 These tests offer the hope of using genetic  
3 information to speed cancer detection and  
4 treatment, to monitor more effectively cancer  
5 tumor development, to identify those patients  
6 most likely to respond to available anti-cancer  
7 regimens, to head off adverse events, and to  
8 reduce costs. These tests give us the ability  
9 to personalize how medicine is practiced by  
10 tailoring care to individual patient needs. We  
11 are pleased to see the research efforts of our  
12 members bear fruit in the laboratory cancer  
13 tests that offer patients the possibility of  
14 earlier detection, more effective treatment,  
15 better case management and improved patient  
16 outcomes.

17 I would like to leave you with three  
18 points today as you consider the evidence  
19 bearing on a number of pharmacogenomic tests  
20 for cancer. First, AdvaMed members support  
21 evidence-based decision-making. The needs of  
22 patients, including Medicare beneficiaries, are  
23 paramount. And better evidence will result in  
24 improved patient outcomes and enhanced  
25 beneficiary access to high quality care.

00127

1 However, we should all be aware that  
2 generating evidence on diagnostic tests and  
3 other new technologies and procedures is  
4 challenging. Tests vary significantly in  
5 number and purpose, and the pace in innovation  
6 and product development for diagnostics is much  
7 quicker than in, for example, the  
8 pharmaceutical area. For many diagnostic  
9 tests, isolating the impact of the test on  
10 health outcomes can be particularly difficult  
11 because the patient outcomes typically depend  
12 on many factors that go well beyond the  
13 information that the diagnostic test provides.  
14 Evaluators should be careful not to conclude  
15 that the absence of direct evidence means lack  
16 of effectiveness.

17 Secondly, you have to acclimate the  
18 research community as a whole to recognize the  
19 diversity of the test and the application.  
20 Diagnostic tests can be used to detect diseases  
21 before symptoms appear, enabling earlier and  
22 improved treatments and cures, and when used  
23 rationally, can be used to improve patient  
24 outcomes and reduce cost of care by determining  
25 which patients do or do not require more costly

00128

1 interventions, and evaluating which physicians  
2 are practicing in accordance with  
3 evidence-based best practices.  
4 They can manage patient care, they can  
5 reduce the management of patient care in  
6 hospitals where clinical lab tests can be used  
7 to determine whether a patient should be  
8 admitted and what treatment options should be  
9 used, or whether a patient should be  
10 discharged. They can also be used to measure  
11 or assess quality of care provided to patients  
12 with specific conditions.  
13 Additionally, they can be used to  
14 predict benefits or harms of taking specific  
15 medications, moving drug treatment away from a  
16 one size fits all approach to the right drug  
17 for the right patient or the right dose for the  
18 right patient approach. They can also be used  
19 to provide patients and physicians with  
20 increased control over chronic conditions  
21 through personalized realtime treatment and  
22 disease management regimens, yielding rapid  
23 results tailored to a patient's unique  
24 circumstances.  
25 They can also be used to allow

00129

1 providers to conduct a wider variety of tests  
2 at a patient's bedside, including point-of-care  
3 testing and rapid and accurate response to  
4 drugs that will improve health outcomes.  
5 DR. GOODMAN: One minute, Dr. Brotman.  
6 DR. BROTMAN: They can provide  
7 critical public information on individual  
8 population models by identifying appropriate  
9 interventions, enabling physicians and patients  
10 to make decisions regarding critical  
11 biomarkers, or identifies statistically  
12 significant populations for continued research.  
13 These different types and uses of  
14 diagnostic tests demonstrate the multiple  
15 applications of these tests and the importance  
16 of the assessment of these technologies in  
17 light of these varied applications.  
18 Third, the development of

19 pharmacogenomic tests is a rapidly moving area  
20 with enormous potential. Pharmacogenomic tests  
21 may be able to stratify patient populations  
22 based on the risk of suffering a disease,  
23 targeting these potent and expensive treatments  
24 for those at greatest risk, and minimizing  
25 adverse patient events from ineffective

00130

1 therapy.

2 DR. GOODMAN: Dr. Brotman, thank you  
3 very much for your comments. I'm sorry.

4 DR. BROTMAN: I have really only 30  
5 more seconds.

6 DR. GOODMAN: That would be a minute  
7 too long. We appreciate your comments very  
8 much, sir, and our court reporter appreciates  
9 your testing his limits.

10 (Laughter.)

11 He may have a word with you about that  
12 later, I don't know. He's a former Marine, I  
13 might add.

14 Thank you all to our nine speakers.

15 We have two people who have, I believe, two  
16 minutes each to offer what we're calling open  
17 public comments, and these are two minutes  
18 each. And since these speakers do not have  
19 slides prepared, I'll ask them to make their  
20 way to the podium that's in the center of the  
21 room for their two-minute presentations, which  
22 will also allow our court reporter to change  
23 his angle to see you and hear you better.

24 And the first name that is on the list  
25 is Scotti Hutton, from the Colon Cancer

00131

1 Alliance, I believe I said that right. Is  
2 Scotti Hutton present? Keep it to two minutes.

3 MS. HUTTON: Thank you. Good morning.

4 My name is Scotti Hutton and I am with the  
5 Colon Cancer Alliance. I thank the committee  
6 for allowing us to speak today. The Colon  
7 Cancer Alliance is the oldest and largest  
8 national patient advocacy organization in  
9 America. It's dedicated to colorectal cancer,  
10 which is the second leading cause of cancer  
11 death in the U.S. Colorectal cancer takes  
12 50,000 lives each year, with 150,000 being  
13 diagnosed. One in 19 Americans will be  
14 diagnosed with colorectal cancer, with someone  
15 being diagnosed with colorectal cancer every  
16 four minutes. 1.2 million Americans are  
17 currently battling colorectal cancer and  
18 because it is primarily an elderly disease, as  
19 the current population ages, those numbers will  
20 rise.

21 Personalized medicine is already  
22 having an impact on the colorectal cancer  
23 patients' treatment. Molecular testing is  
24 being used right now to identify those colon  
25 cancer patients likely to benefit from new

00132

1 treatments, and newly diagnosed patients with  
2 early stage colon cancer can now be tested for  
3 the likelihood of recurrence. Some day soon we  
4 will know which therapies to give to which  
5 patients.

6 The evidence is already there. As we  
7 have seen with Erbitux and Vectibix in  
8 colorectal cancer patients with a mutant KRAS  
9 gene. Thanks to new technology, we are now  
10 spared those who will not benefit from  
11 unnecessary therapies or ineffective therapies.  
12 Such an idea seemed an unattainable dream only  
13 a few years ago.

14 Personalized medicine promises many  
15 medical innovations and has the potential to  
16 change the way treatments are discovered. It's  
17 already clear that personalized medicine  
18 promises three key benefits: Better diagnoses  
19 and early intervention, more effective drug  
20 development, more effective therapies. We all  
21 have one goal, an integrated policy framework  
22 that balances the interest and health of the  
23 patient, protects industry and investment, and  
24 scientific interest, without hindering  
25 advancement of this tremendously important

00133

1 sector.

2 DR. GOODMAN: Thank you very much, Ms.  
3 Hutton. We appreciate your comments. Next is  
4 Volker Wagner, from AmGen. Mr. Wagner.

5 DR. WAGNER: Thanks for the  
6 opportunity to speak. My name is Volker  
7 Wagner, I am a medical oncologist and  
8 hematologist, and medical director at AmGen's  
9 clinical development oncology.

10 We would like to make the panel aware  
11 of data in previously untreated metastatic  
12 colorectal cancer patients that was presented  
13 after the cutoff date of Dr. Trikalinos'  
14 analysis, data from a randomized study in those  
15 patients, that was presented at the European  
16 Cancer Conference and also at the ASCO GI in  
17 Orlando a few days ago.

18 In the so-called prime study, more  
19 than a thousand patients with previously  
20 untreated colorectal cancer were randomized to  
21 either a standard chemo or a standard chemo in  
22 combination with panitumumab. The trial was

23 designed to prospectively analyze the treatment  
24 effect by KRAS, and in this study in patients  
25 with KRAS wild-type tumors, in two months

00134

1 significantly improved medium progression-free  
2 survival in those previously untreated  
3 colorectal cancer patients, and so the trial  
4 confirmed the predatory nature of KRAS in this  
5 setting, and we would be happy to provide  
6 further details if needed. Thank you.

7 DR. GOODMAN: Thank you, Mr. Wagner.  
8 Mr. Wagner, has that study made it to the, has  
9 it been accepted yet in a peer reviewed  
10 publication?

11 DR. WAGNER: The data from this study  
12 has been submitted for publication to the  
13 Journal of Clinical Oncology.

14 DR. GOODMAN: Submitted. Thank you,  
15 sir, very much.

16 Maria, I believe those are our  
17 nonregistered speakers; is that correct?

18 MS. ELLIS: Yes.

19 DR. GOODMAN: All right then. At this  
20 point it would be helpful to the panel if  
21 Dr. Freedman and Dr. Trikalinos and team could  
22 come to the front so that we can shine the  
23 bright light of enlightenment upon you.  
24 Okay, MedCAC. We very much appreciate  
25 your ability to drink from a fire hose thus far

00135

1 today, and we will see what sort of feedback we  
2 can give. The time now is for questions to our  
3 presenters, and our presenters were Drs.  
4 Freedman, Trikalinos and team. Dr. Trikalinos,  
5 you have at least one team member with you, I  
6 understand?

7 DR. TRIKALINOS: Yes.

8 DR. GOODMAN: Thank you. I know all  
9 of this, at least appearing from your jottings  
10 this morning, a lot of you, a lot of us have  
11 questions we would like to bring to bear in  
12 here, and what we will try to do if at all  
13 possible in a concise way as much as we can,  
14 let's anticipate the need for our having to  
15 address some questions about outcomes and the  
16 adequacy of the available evidence. With that  
17 in mind, I want, we will start taking  
18 questions. Please be concise with them, please  
19 let us know to whom if at all possible they are  
20 to be directed, and keep in mind what we are  
21 trying to do here. First question,  
22 Dr. Satya-Murti.

23 DR. SATYA-MURTI: Dr. Trikalinos, your  
24 tamoxifen metabolites paper, your TA concluded

25 before the Schroth paper in October JAMA, that  
00136

1 seemed to have further evidence from archival  
2 tissue too. Would you have changed any of your  
3 conclusions based on that? That paper hasn't  
4 been included in the material given to us.

5 DR. TRIKALINOS: I have not reviewed  
6 that paper in detail so I cannot tell you how  
7 the conclusions would change if I had reviewed  
8 that paper. My conclusions are based on the  
9 totality of the evidence with this set of  
10 studies, so you would have to integrate this  
11 study yourself into the context of the papers  
12 that I described.

13 DR. GOODMAN: Thank you, Dr.  
14 Trikalinos. Dr. Trikalinos, I do want to  
15 clarify one thing. This panel is addressing  
16 the evidence accompanying five tests and your,  
17 I believe I noticed this earlier when reading  
18 the materials, that your technology assessment  
19 did not assess all five tests; is that correct?

20 DR. TRIKALINOS: No. The technology  
21 assessment addresses only the three tests that  
22 are described in the title and these were the  
23 tests that were set in the beginning.

24 DR. GOODMAN: Thank you for that  
25 clarification. Next is Dr. Mansfield, and Dr.

00137

1 Kaul. Dr. Mansfield.

2 DR. MANSFIELD: Dr. Trikalinos, I was  
3 curious. At the end of your slides you listed  
4 some statistical issues in which you pointed  
5 out the possibility of errors due to multiple  
6 comparisons as well as that confounding factors  
7 are not a problem for germline mutations.

8 However, I was not able to determine to what  
9 you were referring when you were discussing  
10 those problems. Were there particular studies  
11 that had those problems and was it widespread  
12 or was it something that we should concern  
13 ourselves with?

14 DR. TRIKALINOS: The general comments  
15 about multiplicity of comparisons and  
16 assessment of association with outcomes in  
17 treatment response, I think that these are  
18 perfectly general in all genetic studies and in  
19 the totality of this body of evidence. And my  
20 personal opinion is that this is also pertinent  
21 to pharmacogenetic tests and genetic  
22 associations beyond the three ones that we  
23 reviewed.

24 In particular, though, we were  
25 motivated to bring this up, especially from

00138

1 studies that we evaluated in the CYP2D6  
2 example, and as I briefly alluded to in my  
3 presentation, it was an opportunity to slice  
4 and dice this piece of evidence the way that  
5 one sees fit, so one can essentially identify  
6 associations. There is no problem in trying to  
7 address many many statistical hypotheses, but  
8 one has the obligation to properly account for  
9 them in the list of comparisons.

10 When it comes to the germline  
11 mutations and the fact that methodologically  
12 there is not a need to perform adjustments for  
13 germline mutations, this is pertinent only to  
14 the CYP2D6 example, and this is a theory, this  
15 is epidemiologic principles that dictate this.

16 DR. MANSFIELD: One more question.  
17 Should we assume that the studies that you  
18 discussed are flawed in this way?  
19 DR. TRIKALINOS: What the effect of  
20 overadjusting is on the actual treatment  
21 effects that are described in these studies is  
22 not easy to pinpoint. There are methodological  
23 papers that show us that overadjusting,  
24 especially in the presence of rare outcomes or  
25 rare events, may result in associations that

00139

1 are perhaps even in the wrong direction than  
2 one would expect.

3 DR. GOODMAN: Thank you, Dr.  
4 Trikalinos. Next is Dr. Kaul, followed by Dr.  
5 Matuszewski.

6 DR. KAUL: This is for Dr. Trikalinos  
7 again, and being a pathologist, I can't help  
8 but think about the assay type issues that go  
9 into performing these tests. So when you're  
10 looking at the KRAS, for example, do you  
11 consider, when you're comparing your different  
12 studies, how tissue is selected and what  
13 assays, the issues of assay analytic  
14 performance that might make these results quite  
15 disparate amongst the various studies, do you  
16 ever get into that level of detail?

17 DR. TRIKALINOS: I did not get into  
18 the level of detail. However, for extractions  
19 where information on how the samples were  
20 collected and which methods were used to obtain  
21 the genetic information. However, we did not  
22 find anything in our sample for sensitivity  
23 analysis that suggests that there is a  
24 difference according, at least with respect to  
25 the items that we have extracted.

00140

1 Is there something that -- Dr.  
2 Dahabreh is my colleague, a physician by

3 training, and he's also part of the team.  
4 DR. GOODMAN: Do you have a specific  
5 answer to this?  
6 DR. DAHABREH: The vast majority of  
7 studies or data was collected in the direction  
8 of sequencing, so we cannot for sure say that  
9 if we can't find the difference between the  
10 methods because we don't have enough data or  
11 there is data out there, but as far as we can  
12 actually tell, there is no difference.  
13 DR. GOODMAN: Dr. Kaul, did you have a  
14 point to make in light to the response you just  
15 heard?  
16 DR. KAUL: I think this is an area  
17 that we need to be cautious about, some of  
18 these analytic issues, because if one is  
19 selecting an entire section of tumor or tissue  
20 from a tumor and using a less sensitive  
21 analytic method, one might miss KRAS mutations  
22 that could be there, so there are some  
23 technical issues worth considering.  
24 DR. GOODMAN: Thank you, Dr. Kaul.  
25 Dr. Matuszewski is next.

00141

1 DR. MATUSZEWSKI: This is a question  
2 for Dr. Trikalinos. This is a question as it  
3 relates to performing the health technology  
4 assessment. When you're doing the assessment  
5 and categorizing the studies, do you get a  
6 sense of what the velocity is of the evidence  
7 generation? When you first start a topic,  
8 obviously you go back as far as possible, but  
9 in some cases you get a sense, is the evidence  
10 still evolving, is it plateauing, and are you  
11 looking forward to more evidence to review? I  
12 think as part of an AHRQ you're looking at  
13 creating a report every three years. Are you  
14 satisfied that that's an appropriate time  
15 interval before you take the next look at all  
16 the evidence that could be generated between  
17 when you stopped and in three years is  
18 adequate, that your conclusions wouldn't  
19 change?

20 DR. TRIKALINOS: That's a very tough  
21 question to answer. It is our impression that  
22 there's a lot of activity in the CYP2D6 case  
23 and there are studies that might be coming out,  
24 perhaps in association, more related to  
25 association with outcomes, I'm not sure whether

00142

1 this would be the case. We are not planning to  
2 do an update search for this particular report.  
3 My answer is a long answer. It's that I do not  
4 have a good sense of whether this current body

5 of evidence is premature, let's say, and a lot  
6 of things are coming out.

7 DR. GOODMAN: Thank you, Dr.  
8 Trikalinos. I would add, Dr. Matuszewski, that  
9 when we have our discussion period at the end  
10 of the day, if you have a thought about any  
11 evidence gaps current or anticipated, it might  
12 be a good time to maybe make note of that.  
13 Next are Dr. Pao and Dr. Fischer.

14 DR. PAO: Yeah, I had two questions.  
15 First I think may be for Dr. Goodman. In terms  
16 of BCR-ABL testing, which tests are we actually  
17 talking about? Are we talking about mutation  
18 testing or the RT-PCR testing, because they  
19 appear to be very different for the questions  
20 we're going to be voting on.

21 DR. JACQUES: We in the question did  
22 not differentiate among the various platforms  
23 that one might use to identify these particular  
24 genetic variations. I think that would lead  
25 well beyond the scope of a one-day meeting if  
00143

1 we were to get into that detail. In terms of  
2 your questions, think of it along the lines  
3 that if there is a test that accurately  
4 measures what it purports to measure, does that  
5 additional information then affect health  
6 outcomes?

7 DR. PAO: Thank you. And my second  
8 question was for Dr. Trikalinos, which is,  
9 basically there was a lot of data on the  
10 genetic heterogeneity of the CYP2D6. Is it  
11 possible to go back and reanalyze those and  
12 recategorize those just in the major  
13 categories, and then see a better outcome with  
14 those categories?

15 DR. TRIKALINOS: The short answer  
16 would be that this would be challenging from  
17 the published literature. This is why one of  
18 the recommended solutions was that for people  
19 who were born with the mutation, that we go on  
20 and analyze individual patient data with exact  
21 information on a patient level. I presume  
22 someone could be a bit liberal and be willing  
23 to put together genotypes that would be like;  
24 however, this would be open to criticism and  
25 moreover it would be introducing some noise  
00144

1 that would perhaps mask associations.  
2 DR. GOODMAN: Thank you. Dr. Fischer  
3 is next, followed by Drs. Teutsch, Juhn and  
4 Eng. Dr. Fischer.

5 DR. FISCHER: Thank you, Dr. Goodman.  
6 First of all, I think I speak for the panel by

7 saying that we appreciate the hard work that  
8 went into those presentations. As you, Dr.  
9 Trikalinos, as you very nicely said at the  
10 beginning of your presentation, that you were  
11 not going to discuss questions two, three and  
12 four, and I think I understand that. However,  
13 this panel, I suppose, and discussions in the  
14 future will be concerned with the relevance of  
15 genetic testing outcomes in patients.  
16 Now, what is interesting to me is that  
17 whatever data we have as far as the outcome of  
18 the KRAS gene comes from retrospective studies  
19 in which outcomes are present. Is there some  
20 specific reason why in these types of studies  
21 such as you recounted for us, there is  
22 seemingly no concern, or perhaps an attempt to,  
23 as to find out what happened to these patients  
24 and what their outcome was. In other words,  
25 was there earlier occurrence, later recurrence,

00145

1 did they survive, did they not survive? I  
2 mean, that is the kind of thing that analysts  
3 like this, and I'm sure there will be others,  
4 decide whether or not there is a net health  
5 benefit to what, the area that you work in. So  
6 I mean, is there a particular reason, is it  
7 because genetics as far as its evolution has  
8 concerned itself more with figuring out what  
9 the genetic makeup is and not heretofore  
10 concerned with what outcomes as far as human  
11 health is? I think I speak for many members of  
12 the panel in asking that question.

13 DR. GOODMAN: Thank you, Dr. Fischer.  
14 Just for point of clarification, if the  
15 technical person who's managing the slides  
16 could go to those questions. I think it's at  
17 about slide seven of Dr. Trikalinos'  
18 presentation. Dr. Fischer, I think these are  
19 the questions to which you are referring.

20 DR. FISCHER: These are the questions  
21 I was referring to, these are the questions  
22 that Dr. Trikalinos pointed out.

23 DR. GOODMAN: So what Dr. Fischer  
24 pointed out and he wants you to confirm, you  
25 were charged with answering four questions, and

00146

1 it's not that you didn't seek -- what happens  
2 is that you sought the evidence for these and  
3 you found nothing dealing with questions two,  
4 three or four; is that correct?

5 DR. TRIKALINOS: So, I would love to  
6 summarize questions two, three or four, and we  
7 searched for this information. However, this  
8 information is not available in the published

9 studies.  
10 To the second part of your question,  
11 you are indeed correct that ultimately the  
12 value of the pharmacogenetic tests also is  
13 going to be judged by the impact that they have  
14 on patient-relevant outcomes. And therefore,  
15 this is why we assessed mortality, disease  
16 progression, and also this softer outcome, the  
17 intermediate outcome, and as you can see, we  
18 had limited evidence for the current outcomes.

19 DR. GOODMAN: Dr. Trikalinos, just to  
20 make sure I understand, you did get evidence  
21 for question one, which deals with response to  
22 therapy.

23 DR. TRIKALINOS: Yes.

24 DR. GOODMAN: Is that, for example,  
25 progression-free survival?

00147

1 DR. TRIKALINOS: This would be  
2 mortality, progression-free survival, or  
3 response according to the intermediate outcome  
4 of disease treatment failure. The intermediate  
5 outcome was, if you recall, cytogenetic  
6 response for CML, and it was treatment failure  
7 by radiologic imaging for the KRAS.

8 DR. GOODMAN: So for the three of our  
9 five tests that you examined, for the three of  
10 five tests, there was evidence for question  
11 one, and some of that evidence included  
12 mortality, morbidity?

13 DR. TRIKALINOS: Mortality,  
14 progression-free survival, which means disease  
15 progression.

16 DR. GOODMAN: Mortality is not the  
17 same as progression-free survival, correct?

18 DR. TRIKALINOS: Correct. So  
19 progression would be either death or worsening  
20 of the disease generally speaking.

21 DR. GOODMAN: Progression-free  
22 survival does not mean longer survival?

23 DR. TRIKALINOS: It doesn't mean  
24 longer overall survival necessarily. So  
25 mortality -- let me recast it. Overall

00148

1 survival, which means live or die.  
2 Progression-free survival, which means time to  
3 either death or progression of the disease. So  
4 you can see that progression-free survival is a  
5 composite of it, it consists of either of two  
6 events, whichever happens first.

7 DR. GOODMAN: Okay. And you've got  
8 nothing on question four, which looks at  
9 benefits and harms. Were those benefits and  
10 harms or adverse effects dealing with the tests

11 themselves immediately?  
12 DR. TRIKALINOS: So, these are  
13 benefits and harms that are incurred by the  
14 process of testing for these particular tests.

15 DR. GOODMAN: For the process of  
16 testing.

17 DR. TRIKALINOS: So for example, this  
18 could be increased anxiety, or quality of life,  
19 or --

20 DR. GOODMAN: Thank you. I wanted to  
21 get -- by the way, I skipped Dr. Scheuner, who  
22 was next, followed by Dr. Teutsch.

23 DR. SCHEUNER: I guess I have a couple  
24 questions of Dr. Trikalinos, and maybe  
25 Dr. Freedman too. So the paper by Schroth,

00149

1 et al. in 2009 in JAMA that we had access to,  
2 you did not include in your assessment, but  
3 Dr. Freedman did I believe allude to the  
4 article in his presentation. And I'm just  
5 wondering if he might give us what his  
6 impressions were of that article and does it  
7 maybe give us, you mentioned some large sample  
8 size, and it did have some statistically  
9 significant data in, I believe it was  
10 disease-free survival and maybe even overall  
11 survival?

12 DR. FREEDMAN: Again, I would really  
13 not comment about the strength of the evidence  
14 of that study. My point in putting up that  
15 study, although it wasn't in the technical  
16 evaluation, was to show that larger cohort  
17 studies are needed to demonstrate some of these  
18 effects that we might not see in all  
19 retrospective analyses or clinical trials. I  
20 think it's a very interesting study, I think  
21 it's something that needs to be looked at  
22 closely, but I haven't reviewed the study close  
23 enough to form an opinion or advice on that.

24 DR. GOODMAN: Thank you. Dr.  
25 Scheuner, did you have a follow-up question?

00150

1 DR. SCHEUNER: I have a different  
2 question, yes.

3 DR. GOODMAN: Why don't you just take  
4 that question and we'll move on. Go ahead.

5 DR. SCHEUNER: It has to do with the  
6 BCR-ABL issue again, so I guess Dr. Trikalinos,  
7 you could be the one who might answer. We read  
8 in the materials regarding diagnosis of typical  
9 CML versus atypical CML. We read about  
10 monitoring of the disease, but it appears that  
11 the review was specific to looking at different  
12 mutations in the tyrosine kinase domain and how

13 those affect response to therapy. So those are  
14 three separate indications for a test that it  
15 sounds like we're calling it all-in-one test,  
16 but it's actually for three different things.  
17 So I think for the panel's benefit we need to  
18 be very specific about what you want us to vote  
19 on, because I would vote differently for  
20 diagnostic purposes, monitoring purposes, and  
21 then making a decision about therapy.  
22 DR. GOODMAN: Thank you.  
23 Dr. Jacques -- I would remind you, though, that  
24 the questions say health outcomes, you get  
25 there one way or another with health outcomes.

00151

1 Dr. Jacques.  
2 DR. JACQUES: Yes. Essentially when  
3 one looks at the regulatory framework with  
4 which the Medicare program deals with tests,  
5 there is a section in the Code of Federal  
6 Regulations that says essentially, a diagnostic  
7 test, at least as a minimum, must be ordered by  
8 the physician treating the patient and must be  
9 used by that physician to essentially guide the  
10 management of that patient.  
11 So when we get to any particular  
12 question, if the panel feels like their  
13 response would be nuanced based on how that  
14 interpretation would be, what usually happens  
15 is if the panel all agrees that they, or in  
16 general or by consensus, and the chair agrees  
17 that the question needs to be addressed only in  
18 a particular context, then the panel will vote  
19 with a common context. On occasions, sometimes  
20 there is not necessarily consensus about that,  
21 and what will happen will be, essentially the  
22 panel is asked to vote on it sort of as it  
23 stands and then in follow-up discussion  
24 individual panel members may say, you know, I  
25 voted this way because of this; if the question

00152

1 were asked in a different way, I might do it a  
2 little bit differently.  
3 DR. GOODMAN: Dr. Scheuner, does that  
4 help?  
5 DR. SCHEUNER: No, it doesn't help. I  
6 think you're not understanding what I'm asking,  
7 that when you have a patient with CML, we look  
8 at the 9;22 translocation, the Philadelphia  
9 chromosome, and that tells us if it's typical  
10 CML, which is like 90, 95 percent, versus  
11 atypical. And then we can also look and see  
12 with molecular and cytogenetic techniques to  
13 monitor response to therapy. And then lastly,  
14 there's this issue of looking at specific

15 mutations that might affect response to  
16 therapy. So there are three different things.  
17 And I think, in my understanding of  
18 what was presented to us from the AHRQ review,  
19 it's the last thing and only the last thing  
20 that was assessed in the technology assessment,  
21 and he is nodding his head, but could he  
22 answer, am I correct?

23 DR. TRIKALINOS: Yes, that is correct.  
24 Our review does not assess tumor load, these  
25 were considered different tests. Our test is

00153

1 mutations.

2 DR. GOODMAN: Dr. Scheuner, are you  
3 okay with that?

4 DR. SCHEUNER: Yes, he answered my  
5 question.

6 DR. GOODMAN: We're going to go to  
7 Dr. Teutsch next.

8 DR. TEUTSCH: Dr. Trikalinos, we got  
9 into this a little bit a moment ago when you  
10 said you did not really find the information  
11 about harms associated with testing, presumably  
12 false positives and false negatives. Can you  
13 talk a little bit about how that relates to  
14 what we really care about, what's the  
15 incremental value of these tests, particularly  
16 vis-a-vis alternative therapies that might  
17 happen if the testing were not done. Was that  
18 looked at, was there evidence of that so that  
19 we can truly assess the harms and benefits or  
20 the alternatives?

21 DR. TRIKALINOS: We did not find  
22 evidence on benefits and harms in the reviewed  
23 literature. There might be evidence on  
24 benefits and harms on genetic tests in general,  
25 and I'm just making this clarification first.

00154

1 Now what you're asking is essentially you're  
2 putting me on the spot to try to give you my  
3 assessment of the interplay or the likelihood  
4 of having the disease, having the test, the  
5 downstream effects of, whatnot, and this is  
6 something that ideally would be done in the  
7 context of a decision analysis or a singular  
8 analysis, or a prospective trial.

9 DR. TEUTSCH: So what I guess I wanted  
10 clarification on, we really don't have  
11 information about that evidence that would  
12 inform therapeutic choice, so it would be based  
13 on inference, secondary kind of information,  
14 correct?

15 DR. TRIKALINOS: Our report did not  
16 find this kind of information in the actual

17 studies.  
18 DR. TEUTSCH: Do we know that it  
19 exists and we simply didn't find it or do we  
20 know --  
21 DR. TRIKALINOS: If it were --  
22 DR. TEUTSCH: -- that it does not  
23 exist?  
24 DR. TRIKALINOS: So, my interpretation  
25 is that it's not there. But the decision

00155

1 analysis or whatever like that, should be done  
2 by you.

3 DR. GOODMAN: Thank you, Dr.  
4 Trikalinos. Dr. Teutsch, you got your answer;  
5 is that correct?

6 DR. TEUTSCH: Yes.

7 DR. GOODMAN: Okay, thank you. Dr.  
8 Juhn is next.

9 DR. JUHN: My question has to do  
10 really more on pathology and less to do with  
11 the specific questions of the specific items  
12 that we're looking at today, and the  
13 methodologic question really has to do with  
14 some of your general comments about  
15 heterogeneity, especially with the mutations  
16 because of the classification or categorization  
17 issues. So my question really has to do with  
18 applying so-called standard technology  
19 assessment approaches, perhaps extracted from  
20 the way that we look at more traditional  
21 diagnostic tests, how would those approaches  
22 have to change to take account for this  
23 heterogeneity?

24 DR. TRIKALINOS: That additional  
25 evidence in this case --

00156

1 DR. JUHN: And maybe the prior  
2 question to that is should they change, should  
3 we try to use the same framework that we have  
4 for, let's say A1c testing, which is pretty  
5 linear in terms of the different categories,  
6 and use that same type of standard methodology  
7 for reviewing the literature, and then apply it  
8 to this area where you have really a host of  
9 different test characteristics?

10 DR. TRIKALINOS: My quick answer is  
11 that this particular challenge is the  
12 limitations of the evidence itself, the fact  
13 that there's a lot of heterogeneity. There are  
14 methods in the array of methods that we have in  
15 evidence-based synthesis that can account for  
16 heterogeneity. However, these do not really  
17 give you the answer that you're ultimately  
18 interested in. These methods can only tell you

19 that there is a distribution of three or four  
20 effects. And these, with random effects,  
21 distribution has a given meaning and a given  
22 heterogeneity given by ability, but this is not  
23 informative. So this is a major limitation of  
24 the data, and my gut feeling is that there's no  
25 methodologic advance than can go around it.

00157

1 The only thing, I think, would be to actually  
2 get the individual patient data.

3 DR. JUHN: And so many times, these  
4 technology assessments serve as a guideline or  
5 set of guidelines for future investigators. So  
6 I guess my question really has to do with a  
7 very practical question, which is if someone is  
8 trying to design a study looking at these  
9 various questions, they look at your technology  
10 assessment and they look at some of the flaws  
11 that you've seen in the current studies, what  
12 is the specific advice that you give them to  
13 say the next time you do this assessment, you  
14 know, this TA three years from now, and this  
15 person's paper has met the various criteria --

16 DR. GOODMAN: Allow me to interject,  
17 Dr. Juhn. That's a fascinating question. At  
18 this point in our discussion, I think it's  
19 probably not the best way to spend our time.  
20 It may be a great thing to discuss at the end  
21 of the day once we've taken a more careful look  
22 at the evidence, if you don't mind. But it is  
23 a superb question and we appreciate it.  
24 Dr. Eng is next, followed by Dr. Hayes  
25 and Dr. Satya-Murti. Dr. Eng.

00158

1 DR. ENG: My question is for Dr.  
2 Trikalinos. I am referring to the slide, the  
3 KRAS slide on mortality. I don't know what the  
4 number of the slide is, but my copy says 19 out  
5 of 29.

6 DR. GOODMAN: So that is probably the  
7 37th or 38th slide.

8 DR. ENG: The second bullet says, in  
9 nine of the 18 studies, analyses were  
10 statistically significant. So my question as  
11 I'm trying to see the relevance and the  
12 importance to the Medicare population is that  
13 on a previous slide you said that when you  
14 looked at the KRAS studies, 22 of the 28  
15 studies had a mean or median age greater than  
16 60. So my question is, how many of these nine  
17 that were statistically significant in favor,  
18 in the direction of favoring this test to look  
19 at the effect, of those nine, what was the  
20 median age?

21 DR. TRIKALINOS: I cannot give you  
22 this answer off the top of my head, so I would  
23 have to go back to the studies and see which  
24 ones were there. Short answer, though, is that  
25 all the studies seemed to, their point

00159

1 estimates are in the same direction, and the  
2 fact that some of them are statistically  
3 significant where others are not may be a  
4 factor of their size. I can understand your  
5 question. I would have to go back and see how  
6 many there are.

7 (Discussion off the record.)

8 The other comment is that the median  
9 age of the other studies doesn't mean that it's  
10 much much younger than that.

11 DR. ENG: Yes, I understand that, but  
12 this is a really critical point for me. This  
13 was the only discussion in which you actually  
14 mention there were a number of studies that  
15 were statistically significant in terms of the  
16 three tests that you were looking at. And  
17 also, this one was also the one that had the  
18 higher median average age.

19 DR. TRIKALINOS: So, I cannot give you  
20 this answer right now, but I could give you  
21 this answer after some more calculations.

22 DR. GOODMAN: Is this something you  
23 could get during lunch today or at some later  
24 time?

25 DR. TRIKALINOS: My colleagues say

00160

1 that we will get you this information.

2 DR. GOODMAN: So the answer is yes.

3 Thank you, Dr. Eng. Dr. Hayes is next,  
4 followed by Dr. Satya-Murti. And panel, please  
5 keep our answers focused, let's look at the  
6 evidence and stay on point. Dr. Hayes.

7 DR. HAYES: In my opinion a lot of  
8 your discussion was based on prognosis and  
9 prediction as you went through, and at the end  
10 it was clear that you separated things. But  
11 the question remains with BCR-ABL, the same  
12 question that you should have answered, I'm not  
13 sure that you answered it. It seems like there  
14 are three uses. One is diagnosis, is this  
15 atypical CML? Second is prediction, do we  
16 expect that any of these three drugs is likely  
17 to work to select patients. And the third is  
18 monitoring, can we take that patient and  
19 monitor their progress after we start the  
20 treatment.

21 And it seems like you mixed all three  
22 of those in your final summary, and while Dr.

23 Jacques gave us sort of an analysis, it's hard  
24 for me to score until I know which of those  
25 three things you analyzed, rather than just

00161

1 giving a yes or no.

2 DR. TRIKALINOS: So, let me clarify.

3 If you can imagine it like a table of six  
4 things, so we have two types of tests, the  
5 mutations and the non-mutations from our  
6 transcript levels and other studies, and also  
7 the three topics that you mention now, which is  
8 differentiating between typical and atypical,  
9 prognosis, which actually I would break down  
10 into a prognosis for first line treatment,  
11 second line and third line treatment, and the  
12 third thing is monitoring. And it was pointed  
13 out this morning that the context of tumor load  
14 and transcript load is something that's done  
15 and it's something that's, as I perceived,  
16 mainstream.

17 We are focusing only on mutation  
18 testing, so our technology assessment  
19 distinguished between these types of studies.  
20 We did not assess differences between typical  
21 and atypical CML, so this is something that we  
22 did not review, in a sense. However, we  
23 distinguished between prognosis in the three  
24 settings, first, second and third line therapy,  
25 and monitoring studies.

00162

1 I did not present you any results of  
2 the monitoring studies but they are in the  
3 report, and if I may briefly summarize,  
4 mutation testing for monitoring studies, there  
5 were a relatively small number of studies, I  
6 don't remember, so what I mean is people who  
7 started on a treatment, they were started  
8 automatically and then, for example, the  
9 patient samples are tested every month, three  
10 months at the beginning, and then six months,  
11 so there is a lot of variability in the testing  
12 intervals, there's a lot of variability in the  
13 outcomes assessed, and we could not actually  
14 pin down any information that was very very  
15 useful from these types of studies. They were  
16 so heterogeneous, first in the interval of  
17 testing and the frequency of the mutation  
18 testing, and secondly the outcomes that they  
19 actually described. It's not -- my perhaps way  
20 of putting it is that perhaps there are studies  
21 that were describing more about the  
22 pathophysiology of the disease rather than  
23 informing us on the frequency or prediction of  
24 the final outcome.

25 DR. GOODMAN: Thank you, Dr.

00163

1 Trikalinos. We're going to take one more  
2 question before our scheduled lunch break, from  
3 Dr. Satya-Murti. Doctor.  
4 DR. SATYA-MURTI: Two points here.  
5 The Schroth paper seems to have impressed more  
6 than one of us, and of course you didn't look  
7 at it, I understand that. But as I interpret  
8 the paper, it still answers your key question  
9 one in that a PM, poor metabolizer, had a  
10 poorer prognosis, but it does not answer the  
11 rest of the questions also, so it very much  
12 tallies with what you said, that there is no  
13 overall difference in survival, and they admit  
14 to a limitation, they acknowledge that it was  
15 done from archival tissues variation, so I had  
16 interpreted it, and Jeff Roche had also looked  
17 at it.  
18 My question then in terms of overall  
19 survival, and this will go to Dr. Freedman too,  
20 is in cancer epidemiology, what is the survival  
21 benefit, is there a general consensus as to  
22 months or years? Because in our outcomes we're  
23 talking about survival benefit in addition to  
24 PFS, so are there any metrics there that either  
25 of you can tell us?

00164

1 DR. TRIKALINOS: So your question is  
2 perhaps, what would be a minimally clinically  
3 important survival difference?  
4 DR. SATYA-MURTI: Yes.  
5 DR. TRIKALINOS: My short answer is  
6 that this would depend on the disease. Faster  
7 killers would have a different minimal clinical  
8 important difference. I don't have a number  
9 for you for the three disease conditions that I  
10 described.  
11 DR. GOODMAN: Dr. Freedman, on that  
12 point?  
13 DR. FREEDMAN: Just real quickly, it  
14 really depends on the question and the  
15 evaluator. Some clinical trials say a few  
16 weeks is important, a few months, a few years,  
17 so it really depends on the question and who is  
18 evaluating the evidence.  
19 DR. GOODMAN: Okay, thank you. Panel,  
20 with that we're going to proceed to lunch.  
21 During your repast we're going to think about  
22 what it takes for us to get to answers for our  
23 questions. We've had some clarification on the  
24 roles of these tests. You're free to talk, if  
25 you want to talk about that amongst yourselves

00165

1 at lunch, that's fine. We're going to focus in  
2 on trying to fill in those information gaps and  
3 then move to our voting questions ultimately.  
4 We will reconvene at one o'clock promptly. See  
5 you then.

6 (Recess.)

7 DR. GOODMAN: As we reconvene, I ask  
8 that our presenters, which are Dr. Freedman,  
9 Dr. Trikalinos and team, come to the front of  
10 the room. And then please do be available,  
11 those of you who were our scheduled public  
12 commenters, because we consider you experts as  
13 well, and we hope that you will be available.  
14 While some of our questions will be directed to  
15 our first presenters, some questions may be  
16 directed to some of our scheduled public  
17 commenters as well, the idea being that this is  
18 a little bit broader take than sometimes we do,  
19 we want to be able to draw from the expertise  
20 of the room, and it goes beyond just our first  
21 couple of presenters.

22 And of course there's going to be some  
23 discussion among our panel. Here's what we're  
24 going to do. We know that we've got five tests  
25 about which we are going to be answering

00166

1 questions, and for each of those we have to  
2 look at the adequacy of the evidence upon which  
3 to make some finding, we're going to ask about  
4 what that finding is.

5 We recognize a couple things. First  
6 of all, there are five tests and we heard only  
7 about three of them from the technology  
8 assessment, we know that there's not as much  
9 information as we might like, that's one  
10 important consideration. And another important  
11 consideration, that among these five tests, I  
12 would suppose it's probably BCR-ABL mostly, for  
13 which there are multiple applications of the  
14 test. And you heard our panel ask and  
15 deliberate a little bit this morning about  
16 which application are you talking about.  
17 So we're going to go through these one  
18 by one, and basically this panel is in search  
19 of evidence for outcomes. We all recognize  
20 that the evidence in some cases is kind of  
21 patchy, there seems to be strong evidence in a  
22 few places for some applications, weak evidence  
23 in others, nonexistent evidence in others. So  
24 this panel is looking for, give us some  
25 evidence, will you, on the impact of these

00167

1 tests on healthcare outcomes, and we will try  
2 to work it that way. And again, we recognize

3 that there's sort of new doubts on what we've  
4 heard so far vis-a-vis the TA and so forth.  
5 And for each one of these in our discussion,  
6 we're going to start out with is there enough  
7 evidence to go on, and then we'll move to what  
8 might that evidence say, in sort of a lineup  
9 that, well, we hope to get to our voting  
10 session. Is that okay, panel, as an approach,  
11 an imperfect but perhaps practical trial?  
12 Let's do this. Test (a), CYP2D6 for  
13 breast cancer patients who are candidates for  
14 tamoxifen, this was one of the three that we  
15 heard about from the folks at Tufts and we're  
16 going to ask, and the panel can chime in, we're  
17 going to focus now on, is there enough evidence  
18 for this test upon which to make some decision  
19 about its impact on health outcomes, okay? Not  
20 surrogate measures, health outcomes.  
21 And I would ask, start with you, Dr.  
22 Trikalinos, you've worn your path well in the  
23 carpet from that chair to the microphone.  
24 Considering CYP2D6 for breast cancer patients  
25 who are candidates for tamoxifen, starting with

00168

1 your technology assessment, did you find, just  
2 summary for us, if you would, whether you found  
3 sufficient evidence upon which to make some  
4 judgment or observation or finding about the  
5 impact of the test on healthcare outcomes.

6 DR. TRIKALINOS: So in summary, our  
7 review of these studies suggests that there is  
8 inconsistent evidence on whether genetic  
9 variations in CYP2D6 can predict response to  
10 treatment when it comes to survival,  
11 progression-free survival.

12 DR. GOODMAN: So you did find  
13 evidence, and you found it to be inconsistent  
14 with regard to impacting patient outcomes?

15 DR. TRIKALINOS: There are studies  
16 that give us some information on these outcomes  
17 and the other clinical outcomes. However,  
18 these studies are, first of all, heterogeneous  
19 as I described, and they point to different  
20 directions.

21 DR. GOODMAN: So they're heterogeneous  
22 and the results point in different directions  
23 with regard to healthcare outcomes.

24 DR. TRIKALINOS: With regard to the  
25 healthcare outcomes.

00169

1 DR. GOODMAN: Thank you. Now, panel,  
2 does any panelist have a question on this issue  
3 or the sufficiency of evidence for this test?  
4 You can direct the question to any of our

5 initial presenters or perhaps any of the other  
6 nine presenters who came after. Dr. Mansfield,  
7 is it?

8 DR. MANSFIELD: Yes. I don't know who  
9 might be able to answer this, but is there any  
10 reason to believe that the recent Schroth paper  
11 would change the overall trend of evidence in  
12 one way or another, and does it have similar  
13 methodological flaws to the other studies?

14 DR. GOODMAN: Remind us. Was that  
15 study to which you referred included or not in  
16 the technology assessment?

17 DR. MANSFIELD: It was not. It was  
18 published after they did their assessment, I  
19 believe.

20 DR. GOODMAN: And it's in peer  
21 reviewed literature, correct?

22 DR. MANSFIELD: It's in our packets.

23 DR. GOODMAN: Just wanted to make sure  
24 we stated that. Dr. Hayes, on this point?

25 DR. HAYES: And I will be specific to  
00170

1 this point. I'm actually part of the group  
2 that generated the initial pharmacokinetic  
3 information suggesting that endoxifen was not  
4 produced in patients who are variant variant  
5 for CYP2D6, so at least we got the ball  
6 rolling. I'm not an author on any of the  
7 outcomes papers that have come since.  
8 I actually agree very much with your  
9 assessment. The Schroth paper is curious in  
10 that a third of those patients came from the  
11 Mayo Clinic study which had been previously  
12 published not once, but twice before. And if  
13 you take those out, then you're really left  
14 with yet one more study that's retrospective  
15 from Germany in which the samples were  
16 collected from other resources, so I think it  
17 has many of the very same flaws that you  
18 pointed out. It is large but there aren't that  
19 many events; in fact, there are only about  
20 seven events when you take out the Mayo Clinic  
21 study. So our group, which we called the  
22 Consortium on Breast Cancer Pharmacogenomics,  
23 also known as COBRA, has been very cautious  
24 about making recommendations on this.  
25 There is yet another report, not  
00171

1 published in the peer reviewed literature, but  
2 presented at the San Antonio Breast Cancer  
3 Symposium, in which a lot of people got  
4 together and called themselves the tamoxifen  
5 pharmacogenomics group, very similar to the  
6 warfarin group, and those data were presented

7 by Dr. Goetz, and curiously, the outcomes for  
8 patients on tam, on tamoxifen who were either  
9 poor metabolizers or rapid metabolizers were  
10 absolutely overlapping in terms of Kaplan-Meier  
11 curves. He then went on to explain why he  
12 thought that the process they had gone through  
13 was flawed and that he didn't believe the data  
14 he was showing, and that led a lot of us to  
15 wonder what was going on. So I agree with you,  
16 I think the data are still quite mixed.

17 DR. GOODMAN: So Dr. Hayes, in  
18 response to Dr. Mansfield's very well phrased  
19 question, it sounds like you're saying that the  
20 additional information of the Schroth paper  
21 does not move us in one direction or another  
22 very strongly.

23 DR. HAYES: From my standpoint, I do  
24 not believe it does.

25 DR. GOODMAN: That's very helpful.

00172

1 Dr. Scheuner, did you have a point on that? I  
2 saw you nodding your head.

3 DR. SCHEUNER: No. I just appreciate  
4 that comment very much.

5 DR. GOODMAN: So do we. Any other  
6 questions for our presenters or the speakers on  
7 the matter of CYP2D6 for breast cancer patients  
8 as candidates for tamoxifen, with regard to the  
9 sufficiency of the evidence? Dr. Satya-Murti.

10 DR. SATYA-MURTI: The sufficiency part  
11 I don't know, but one of the presenters today,  
12 Dr. Teagarden on the PBM, you mentioned that  
13 you have your patients undergo the CYP2D6  
14 testing, if I interpreted you correctly, and  
15 then you go on to pharmacy management. Who  
16 pays for these tests when you have the patients  
17 go through that?

18 SPEAKER: I think that Dr. Teagarden  
19 left.

20 DR. GOODMAN: Is Dr. Teagarden here?  
21 He is not here. Any other points or questions  
22 about the sufficiency of evidence for this  
23 test? Dr. Teutsch.

24 DR. TEUTSCH: Could I just get  
25 clarification? Are we talking about

00173

1 sufficiency of evidence in the sense that based  
2 on the test as is currently being practiced, or  
3 as it might be sometime in the future?

4 DR. GOODMAN: Well, we're talking  
5 about the sufficiency of available evidence, so  
6 if there's not evidence of how it might be used  
7 in the future, I would guess that --

8 DR. TEUTSCH: What we heard is that

9 the test is being, because there's  
10 classifications all over the map, that's part  
11 of the heterogeneity of these studies. And we  
12 could say well, if somebody actually -- in that  
13 sense the information is probably insufficient  
14 where it's probably being done. On the other  
15 hand, if you say that's as good as the test is  
16 ever going to get, you can say the evidence is  
17 insufficient and you shouldn't do it.

18 DR. GOODMAN: That's a point well  
19 made, and that might enter into on how you vote  
20 on that question, Dr. Teutsch. Dr. Juhn, on  
21 this point?

22 DR. JUHN: I wanted to address the  
23 Schroth paper that we have been addressing from  
24 October 2009, and again, I'm not familiar with  
25 that literature. But the paper itself, and if

00174

1 you look on face value, the number of patients  
2 they had, there it wasn't a classification  
3 issue because they were very consistent about  
4 their classifications. I found it actually to  
5 be quite convincing that there really was a  
6 separation of the curves between especially the  
7 two extreme groups, the poor metabolizers and  
8 the rapid metabolizers.

9 DR. GOODMAN: So Dr. Juhn, you're  
10 submitting that the Schroth paper does provide  
11 some additional useful evidence.

12 DR. JUHN: I think so, and this I  
13 think is a challenge with a technology  
14 assessment, that it's a snapshot in time and  
15 it's not an ongoing snapshot, and I think this  
16 JAMA paper in October 2009 should be considered  
17 as a way of interpreting the pretty equivocal  
18 findings of the TA.

19 DR. GOODMAN: Other comments on that  
20 point? And again I would remind us, we can  
21 only deal with the evidence that's available.  
22 Was there a follow-up point to Dr. Juhn's point  
23 on that paper, the Schroth paper? Okay.  
24 Do any of our presenters have a point  
25 to make about the availability, sufficiency of

00175

1 the evidence on this test? Seeing none, all  
2 right.  
3 Let's force the issue now a little  
4 bit. We talked a little bit about what  
5 evidence is available, we heard Dr., the folks  
6 from Tufts say that it's inconsistent,  
7 heterogeneous, seemed to point in different  
8 directions. We had some discussion about this  
9 last paper. Does anybody have any questions or  
10 statements they want to make about what this

11 evidence says with regard to impact on health  
12 outcomes? Because we heard some discussion  
13 about the tests, about the evidence, and we  
14 characterized what the evidence looks like.  
15 Now let's look at what might the evidence be  
16 telling us about impact on outcomes.

17 Dr. Scheuner, is that you?

18 DR. SCHEUNER: I guess I have a  
19 question about the heterogeneity and the  
20 different classification of the subjects, and I  
21 was just curious if population stratification  
22 or, you know, with the different parts of the  
23 world or different ethnic groups would explain  
24 the different classification of the same  
25 genotype.

00176

1 DR. GOODMAN: Dr. Trikalinos, that's a  
2 great question. Heterogeneity might just mean  
3 that you were looking at different  
4 subpopulations. What does it mean?

5 DR. TRIKALINOS: So within each study,  
6 I don't think that we have a major issue for  
7 population certification. Population  
8 certification is sort of a confounder,  
9 quote-unquote, that would be a problem in  
10 association status, but might not be  
11 confounding in its epidemiological sense. And  
12 what it says is that you may be mixing  
13 different people from different descents or  
14 from different genetic background, and the mere  
15 fact that allele frequencies differ across  
16 different population strata may induce a  
17 foreign association when you actually do the  
18 analysis.

19 Now all of these studies, I don't  
20 think that population stratification was a  
21 problem in these studies. Population  
22 stratification would be a problem if you had  
23 mixing of population within each study. We  
24 don't really see this as a problem if we have  
25 different studies conducted in different

00177

1 regions or different places. If this is not an  
2 issue within each study, then a study in people  
3 of one descent and people of a different  
4 descent would give you an unbiased measure of  
5 the genetic effect.

6 DR. GOODMAN: So Dr. Trikalinos,  
7 you're saying then -- please say yes or no.

8 DR. TRIKALINOS: In my opinion I don't  
9 think that population stratification is a major  
10 threat or a major explanatory force for the  
11 heterogeneity in the actual results.

12 DR. GOODMAN: That's helpful to note,

13 thank you. Dr. Scheuner, did that help answer  
14 your question?

15 DR. SCHEUNER: Yes, I suppose so. So  
16 that in terms of a particular genotype, the  
17 association is always going in the same  
18 direction in every population studied; is that  
19 correct?

20 DR. TRIKALINOS: No. Actually what we  
21 are seeing is that for particular genotypes the  
22 associations are not in the same direction.  
23 That's what I commented on as heterogeneity.  
24 They are pointing to different directions and  
25 some of them are significant in one direction,

00178

1 some of them are not.

2 DR. GOODMAN: Good, thank you very  
3 much. Dr. Fischer I think was next. I'll get  
4 to everybody.

5 DR. FISCHER: You know, about the  
6 comments that I'm hearing about the paper in  
7 JAMA, I think Maren, you were on the TEC when  
8 we accepted the single study from the Society  
9 for Cardiac Surgery on cardiac  
10 revascularization, and then had to eat our  
11 words a year later when four studies came out  
12 and said the TEC was totally wrong. So I would  
13 urge us, you know, it may be a very good paper,  
14 I think the fact that you have a population  
15 that's previously been published twice and you  
16 wonder why it's included, and when the  
17 gentleman sitting to my right who is quite  
18 knowledgeable says that, it really actually  
19 leads me to say we should disregard that paper.

20 DR. GOODMAN: Dr. Fischer is saying  
21 disregard. Okay. It sounds like we have a  
22 mixed opinion here, that happens in real life,  
23 on that particular paper. Dr. Satya-Murti is  
24 next.

25 DR. SATYA-MURTI: We have clinical

00179

1 oncologists in practice, John and Dan and  
2 others, presumably. So let's say in an ideal  
3 world circumstance, you know the status going  
4 on forward with the treatment. So if you  
5 already knew it prospectively going forward,  
6 would an oncologist so alter his or her therapy  
7 in practical terms at bedside, would any of you  
8 change your mode of therapy based on the  
9 results, given that the result is already  
10 available, and not only for this test, but  
11 would it apply to others?

12 DR. GOODMAN: Let's start with Dr.  
13 Hayes on that point, but try to be concise  
14 about this.

15 DR. HAYES: I'll try to be. I think  
16 the answer is, would and should would be two  
17 different answers. For CYP2D6, especially in  
18 the Medicare population, the stakes aren't that  
19 high because we have another class of drugs  
20 that are quite effective. So you can argue  
21 even if these data are wrong, we're treating  
22 many of these women with an AI already, but  
23 some women can't tolerate an AI and tamoxifen  
24 is a perfectly good alternative. And if we  
25 have said that if their CYP2D6 is variant

00180

1 variant and if that's wrong, it means now they  
2 can't take an AI, and we've just told them that  
3 tamoxifen won't work. So again, our concern is  
4 whether these data are real or not yet, and  
5 there are many biological reasons why they  
6 might not be.  
7 It's a great idea. So for the  
8 Medicare population there is some concern; for  
9 the younger women there's a huge concern  
10 because then the concern is you have to turn  
11 off or take out their ovaries and put them on  
12 complete estrogen depletion, and that may have  
13 real health effects in terms of long-term  
14 survival. We believe this is a very important  
15 question that needs to be answered.

16 DR. GOODMAN: Great, thanks, Dr.  
17 Hayes. Dr. Pao.

18 DR. PAO: Yes. I know Dr. Teagarden  
19 is not available, but he did present data on  
20 Medco actually doing the testing and basically  
21 (inaudible) tamoxifen. So I was wondering if  
22 there was any outcomes data from that study or  
23 from that practice showing that there was a  
24 benefit to the patients who actually switched  
25 over, if anyone is aware of that?

00181

1 DR. GOODMAN: It hasn't been presented  
2 today, correct?

3 DR. PAO: I didn't see it.

4 DR. GOODMAN: I don't see anyone  
5 leaping to the microphone with a response to  
6 that. Let's point up the question a little bit  
7 more even now and I will ask our presenters,  
8 whether Dr. Freedman or Dr. Trikalinos and team  
9 or perhaps others. We talked about the  
10 availability of evidence, we've talked a little  
11 bit now about where the evidence might point.  
12 What is the most persuasive rigorous evidence  
13 for impact of this test on healthcare outcomes?  
14 What's the best thing we can point to for  
15 evidence of impact of the test on outcomes for  
16 this indication? Dr. Mansfield.

17 DR. MANSFIELD: Can I ask for a  
18 clarification on what the desired outcome is?  
19 DR. GOODMAN: Well, it's defined here  
20 as health outcomes, and we talked about that in  
21 terms of morbidity, mortality and  
22 health-related quality of life. Dr.  
23 Trikalinos, do you want to give us some  
24 guidance on that, health outcomes?

25 DR. TRIKALINOS: The outcomes that

00182

1 were assessed were essentially mortality and  
2 progression-free survival or progression-free  
3 disease. That's what the evidence reported for  
4 CYP2D6. There may be other outcomes that the  
5 panel may need to debate on others.

6 DR. GOODMAN: Dr. Mansfield, did you  
7 want to respond, or is that sufficient.

8 DR. MANSFIELD: That's sufficient,  
9 thank you.

10 DR. GOODMAN: Thank you. Dr.  
11 Scheuner.

12 DR. SCHEUNER: I just have kind of a  
13 question/comment. Back in February 2009 when  
14 MedCAC met, and so this is in the slide  
15 presentation, the very first slide, where it  
16 looks like we defined patient-centered health  
17 outcome. This idea of change in patient  
18 management by physician was considered in the  
19 context of health outcomes. So I just want to  
20 clarify that the TEC assessment, you didn't  
21 search for literature that addressed change in  
22 behavior by a physician, you only looked for  
23 the indirect and direct healthcare outcomes,  
24 which were items B and C on this slide.

25 DR. TRIKALINOS: I should remind that

00183

1 key question three assesses impact on  
2 diagnostic thinking, which would fall under  
3 this area. We found no studies that could  
4 answer key question three.

5 DR. SCHEUNER: Okay. So even with the  
6 BCR-ABL, I'm going to just -- I'm sorry, I  
7 can't go to that.

8 DR. GOODMAN: Not yet. I promise, we  
9 will get to BCR-ABL. So in response to that  
10 question, they looked for it, they didn't find  
11 it, as I understand it.

12 On this question, Dr. Hayes.

13 DR. HAYES: So, I'll speak up again  
14 and I want to say, we hope that the answer to  
15 this is right, CYP2D6 is predictive for  
16 tamoxifen. There's a single paper that was  
17 published in the Journal of the National Cancer  
18 Institute by Tuglia, et al., in which they

19 modeled that if you take patients with  
20 tamoxifen and take out the patients who are  
21 variant variant, and then compare the expected  
22 outcomes of patients who are wild-type  
23 wild-type, and they're rapid metabolizers, two  
24 women who got aromatase inhibitor without any  
25 sort of selection, the patients who were

00184

1 wild-type wild-type with tamoxifen should  
2 actually have a better long-term outcome than  
3 similar patients who got an aromatase  
4 inhibitor. But that was based on only the  
5 Goetz study.  
6 And our concern is not that, we're not  
7 saying that we don't think it's right, we're  
8 just saying that we don't think we have the  
9 data to tell if it's right or not yet. The  
10 data are mixed, as we've heard, and there are  
11 several other studies coming down the pike.  
12 DR. GOODMAN: So thus far, I don't  
13 think we've heard anything in addition to the  
14 following, that the evidence is inconsistent,  
15 the results point in heterogeneous directions.  
16 Have we heard anything that is a conclusive  
17 concise bit of evidence showing that this test  
18 has an impact on health outcomes as defined  
19 previously? I don't see anything on this  
20 point, okay?  
21 All right. Let's move on. The next  
22 test, (b) in our list, is one for, about which  
23 we did not hear from the technology assessment,  
24 which by the way was a superb technology  
25 assessment, thank you. We understand that you

00185

1 weren't asked to look at all these tests. And  
2 this one that's up now is UGT1A1 for colon  
3 cancer patients who are candidates for  
4 irinotecan.  
5 Now, have we heard any presentation or  
6 evidence on this matter this morning from  
7 anyone? I don't believe we've heard anything.  
8 And was there anything in your packet that  
9 addressed this? Okay. Which panelist might  
10 want to speak to that issue? Steve Teutsch is  
11 being thrust forward by Dr. Scheuner, and  
12 Dr. Teutsch, if you will just help us out here,  
13 we want to kind of do this in two pieces. The  
14 first piece deals with the sufficiency or  
15 adequacy of evidence and then we'll get to, if  
16 there is what we consider to be sufficient or  
17 adequate evidence, then we'll get to what it  
18 says, if you could sort of bifurcate your  
19 response that way.  
20 DR. TEUTSCH: I wish I could be real

21 -- this is based on recollection from about a  
22 year ago, but the EGAPP group reviewed  
23 UGT1A1 --

24 DR. GOODMAN: I have to interrupt you.  
25 Please tell us again what EGAPP is; not

00186

1 everyone knows.

2 DR. TEUTSCH: Evaluation of Genomics  
3 Applications in Practice and Prevention. It's  
4 a CDC-based panel that's an independent federal  
5 panel, that was constituted to review, to  
6 develop methods and case studies for  
7 evidence-based practice in genomics.

8 DR. GOODMAN: Superb, thank you.  
9 Proceed.

10 DR. TEUTSCH: And one of the topics  
11 was UGT1A1 for this indication, and you have  
12 the recommendation from that group and I was on  
13 the group, so I already disclosed that conflict  
14 of interest. I don't recall all the evidence,  
15 but basically the primary question that we were  
16 originally asked was does it reduce harmful  
17 side effects, particularly diarrhea and  
18 neutropenia. But in the course of that  
19 investigation that was expanded to what are the  
20 tradeoffs, and what became apparent is that  
21 while there was a good bit of evidence about  
22 the fact that you could change the dose and  
23 reduce the dose, coming from mostly  
24 retrospective kinds of studies, that there  
25 looked like there was a suggestion, but not

00187

1 based on sufficient evidence, that there were  
2 tradeoffs in the benefits in terms of the  
3 impact on the effectiveness of the drug.

4 DR. GOODMAN: Did you say sufficient  
5 or insufficient evidence?

6 DR. TEUTSCH: There was insufficient  
7 but suggestive kind of evidence which led the  
8 EGAPP panel to make the recommendation that  
9 information was currently insufficient to  
10 assess the tradeoffs between the, if you will,  
11 improvements in reducing harms, and the  
12 potential decrease in benefits from a dose  
13 adjustment of the therapy.

14 DR. GOODMAN: So insufficient but  
15 suggestive evidence --

16 DR. TEUTSCH: I think the way I  
17 interpret the bottom line is, if you decrease,  
18 if you have an effective lowering of the blood  
19 levels, you decrease the harms and you decrease  
20 the effectiveness of therapy, and concomitantly  
21 if you increase them, you increase the harms  
22 and you increase the benefits potentially. And

23 that was why, because the studies were mostly  
24 about harms, you'd get one sense, but if you  
25 looked at it overall, we couldn't assess what

00188

1 those actual tradeoffs were.

2 DR. GOODMAN: You could not. And the  
3 quality, Dr. Teutsch, of the chain of evidence  
4 from the test to our health outcomes, you would  
5 characterize as how, strong, weak?

6 DR. TEUTSCH: Fair, because they were  
7 all retrospective for the harms and the  
8 benefits were really not clear. That is, they  
9 went back and looked at them in patients who  
10 had gotten the drugs.

11 DR. GOODMAN: So the evidence for  
12 adverse effects was fair, and the evidence  
13 for benefits --

14 DR. TEUTSCH: Insufficient but  
15 suggestive. That led to an overall conclusion  
16 that we could not make a recommendation as to  
17 whether or not it was helpful to do the  
18 testing.

19 DR. GOODMAN: So from the standpoint  
20 of EGAPP, the evidence was not sufficient to  
21 make that finding.

22 DR. TEUTSCH: I believe so.

23 DR. GOODMAN: Thank you, Dr. Teutsch.  
24 Any other panelists want to comment on the  
25 sufficiency of the evidence with regard to

00189

1 UGT1A1 for colon cancer, the sufficiency of the  
2 evidence? Yes, Dr. Fischer.

3 DR. FISCHER: I can just read the  
4 article entitled An Evidence-Based Review, in  
5 which they tried to review all the evidence.

6 DR. GOODMAN: You won't read the whole  
7 article to us, will you?

8 DR. FISCHER: No. Given the large  
9 number of colorectal cancer cases diagnosed  
10 each year, a randomized control trial on the  
11 effects of irinotecan, those modifications in  
12 patients with colorectal cancer. So they  
13 called for further studies and a promising  
14 trial.

15 DR. GOODMAN: They called for further  
16 studies. Dr. Cox, on this point.

17 DR. COX: I obviously practice  
18 clinical oncology and treat these patients and  
19 I must say that the tests, I can speak a bit  
20 for the evidence, though I'm not claiming to  
21 have reviewed this entirety of evidence. But  
22 as it relates to outcomes in patient care,  
23 which you reminded us here, these questions all  
24 lead up to, I don't think the sufficiency of

25 the evidence is there for this to be used in  
00190

1 prime time to be making decisions about  
2 patients.

3 DR. GOODMAN: Insufficient evidence  
4 for use in prime time. Okay. Any other  
5 comments about the sufficiency of evidence and  
6 that indication? Yes, Dr. Scheuner.

7 DR. SCHEUNER: So in looking at the  
8 EGAPP review with respect to clinical utility  
9 and options for modifying patient care, we've  
10 heard about modifying the irinotecan regimen or  
11 the dosing, and that by lowering the dose we  
12 don't know if we're going to also lower the  
13 effectiveness of treatment. But there were two  
14 other options that were suggested, and that is  
15 using another drug instead of irinotecan, and  
16 to treat patients with colony-stimulating  
17 factor before the first cycle of chemotherapy  
18 to prevent the occurrence of febrile  
19 neutropenia.

20 So again, I think in reading this  
21 review, and maybe Dr. Teutsch could confirm  
22 that again, it was limited to no evidence on  
23 those alternative strategies as well.

24 DR. GOODMAN: Thank you for raising  
25 that point because if there were, that would

00191

1 still be useful. Dr. Teutsch, did you want to  
2 add, or did Dr. Scheuner cover it?

3 DR. TEUTSCH: That's fair. I mean, it  
4 was part of the implication.

5 DR. GOODMAN: Thank you. Dr. Janjan.

6 DR. JANJAN: I think one thing we're  
7 missing here with regard to the evidence of  
8 outcomes is patient-reported outcomes. You can  
9 talk about hospitalizations and whatever, but  
10 the impact on the patient is not reported, and  
11 those clinical outcomes are really the bottom  
12 line of what we're trying to assess today. And  
13 so across the board we're lacking patient-  
14 reported outcomes in any of these data that  
15 we're assessing today.

16 DR. GOODMAN: Dr. Janjan is  
17 emphasizing that we talk about health outcomes,  
18 and this is a message that we like to repeat to  
19 the Agency because we know that they're open to  
20 serious reports, it's not just the so-called  
21 traditional hard endpoint outcomes but  
22 patient-reported outcomes, and we know the  
23 Agency is aware of this.

24 Dr. Trikalinos, please remind us, at  
25 least for this instance, did you seek or did

00192

1 you find information about the so-called  
2 patient-reported outcomes? I just want to make  
3 sure we've got our boundaries correct here.

4 DR. TRIKALINOS: So, outcomes beyond  
5 the three that I mentioned were not reviewed,  
6 so the answer is no, did not seek.

7 DR. GOODMAN: You did not seek those,  
8 okay. Possible that they may be there, you did  
9 not seek them and so did not turn them up.

10 DR. TRIKALINOS: Perfect.

11 DR. GOODMAN: Thank you for that  
12 point, Dr. Janjan. Is there anyone else among  
13 our presenters today that can tell us about  
14 evidence pertaining to the impact of this test  
15 on healthcare outcomes, i.e. the availability  
16 or sufficiency of it, or the actual evidence  
17 for impact itself, directly or indirectly, on  
18 this test? Okay.

19 Panel, if I see no further comments,  
20 if you don't mind, I will move to the next  
21 test.

22 DR. FISCHER: Did you want to vote on  
23 these as we go along?

24 DR. GOODMAN: No. We've got a chunk  
25 of time to vote in a little bit. What I'm

00193

1 suggesting is that it may be more helpful for  
2 us to kind of go through the body of evidence,  
3 it might help us to calibrate our votes later  
4 on if you kind of looked across here, if you  
5 don't mind. Is that okay with the panel? We  
6 will return to those questions, I promise.

7 Dr. Hayes?

8 DR. HAYES: May I ask Dr. Cox to maybe  
9 speak up again? Because it seems to me this  
10 assay doesn't really predict diarrhea, it  
11 predicts neutropenia. And so would you as a  
12 clinician, or do you as a clinician feel that  
13 the data are sufficient to use this to either  
14 use a different drug, or to just go ahead and  
15 use growth factors, and you would not give  
16 growth factors to a patient who's wild-type,  
17 for example.

18 DR. COX: I don't think the data is  
19 sufficient, nor in practice has the test held  
20 to be a utility that allows you to do that.  
21 And I think, again, for all the frustrations  
22 that I think many of the panel members, or at  
23 least I feel when we're talking about  
24 laboratory testing and trying to identify the  
25 impact that a test has in predicting a

00194

1 population of patients with all the other  
2 heterogeneity, and say because of the result of

3 that test you're going to behave differently  
4 and have a huge impact on a patient population,  
5 I think for this given test that evidence and  
6 the practice just isn't there.  
7 DR. GOODMAN: Okay, thank you. So  
8 again before we leave, any other comments about  
9 evidence for the impact itself, okay? We  
10 talked about sufficiency and availability of  
11 evidence. Does anybody want to make any  
12 further comments about what the available  
13 evidence does say about the impact of the test  
14 and outcomes, any further comments on that?  
15 Okay. If you don't mind, we will move on, if  
16 that's okay.

17 The third test is HER2/neu for breast  
18 cancer patients who are candidates for  
19 trastuzumab. Let's do this again. So the  
20 first question is, let's consider the  
21 availability and sufficiency of the evidence.  
22 Now correct me if I'm wrong, this was the other  
23 test that was not covered in the technology  
24 assessment. Dr. Trikalinos is nodding his head  
25 yes, so this was not covered there. And where,  
00195

1 was this evidence covered in the materials  
2 given to us as a panel? Yes, it was. Would  
3 any panelist care to take the first go at what  
4 the available evidence, about the sufficiency  
5 of the available evidence upon which to make  
6 some kind of finding later?

7 Dr. Satya-Murti first and then  
8 Dr. Hayes. Dr. Satya-Murti.

9 DR. SATYA-MURTI: As I understand, I  
10 need education here. There are HER2/neu tests  
11 and other tests with HER, so my doubt has been,  
12 in reading up on all of this, it depends on  
13 what kind of HER2 test you're utilizing, and  
14 someone might be able to comment on that,  
15 because this, of the five we're looking at,  
16 this one is explicitly dependent upon the  
17 quality of the HER2 test and the expression.  
18 DR. GOODMAN: We will address it in a  
19 moment. I just want to point out that the  
20 questions posed by CMS don't per se talk about  
21 individual tests, of course, and this was  
22 something we were thinking about this morning,  
23 what are they talking about here, about what  
24 test it is, and it may matter what test,  
25 especially for laboratory result tests.

00196

1 Dr. Mansfield is first.

2 DR. MANSFIELD: So, I would like to  
3 personally address that point. FDA first  
4 cleared the drug with the immunohistochemistry

5 test through, I believe there are two companies  
6 who have an approved one, and there are many  
7 laboratory-developed tests that have not been  
8 approved by FDA. This was followed on by  
9 approval of a FISH test for amplification of  
10 the HER2 locus. Subsequent practice has varied  
11 on whether it's believed that the IHC should be  
12 run first followed by the FISH, or whether FISH  
13 should be used as a decision point for patients  
14 who score in the intermediate range with the  
15 IHC tests. Some people are now using the FISH  
16 test only apparently, also at least one  
17 approved test, maybe two, and many laboratory-  
18 developed tests. I just wanted to put that in  
19 there for people's information.

20 DR. GOODMAN: Thank you,  
21 Dr. Mansfield. Other comments? Dr. Hayes.  
22 DR. HAYES: Let me begin to establish  
23 some credentials on my part. About three years  
24 ago the American Society of Clinical Oncology  
25 and the College of American Pathologists joined

00197

1 together to form a panel to specifically  
2 address this issue. It is Wolf, et al.,  
3 published simultaneously in the Journal  
4 Clinical Oncology and the American Journal of,  
5 whatever the College of American Pathologists'  
6 journal is. And I won't go through that in  
7 great detail or refer you to it, but we felt  
8 there was very strong evidence that patients  
9 who are positive, using classic definitions of  
10 positive, using either immunohistochemistry or  
11 FISH for amplification, are very likely to  
12 benefit from trastuzumab or lapatinib, which is  
13 a tyrosine kinase inhibitor, and those are both  
14 very active drugs, both in the metastatic and  
15 adjuvant setting.

16 There are modest data to suggest that  
17 patients who are less positive or negative  
18 won't benefit, and we believe the data for  
19 patients whose cancers are completely negative  
20 are fairly strong. It's the in between in  
21 which there is some concern, but right now at  
22 least the ASCO/CAP guidelines suggest only  
23 giving these two drugs to patients who are  
24 either three plus through immunohistochemistry,  
25 or a clearly amplified ratio greater than two

00198

1 by FISH. And so, that's just sort of a summary  
2 of what we might have heard this morning.  
3 DR. GOODMAN: Other comments on this  
4 test? Yes, Dr. Kaul.  
5 DR. KAUL: I think this is an area  
6 where we're going to continue to see some

7 evolution in what goes on in the laboratory.  
8 We have tests that are FDA-approved, we have  
9 some very reasonable tests out there, but we're  
10 going to continue to tweak what we do to make  
11 sure that the results are a bit more accurate.  
12 There is a gray zone and we're trying to get  
13 rid of that, and so there will be some  
14 evolution. That shouldn't be confused with the  
15 underlying target not being worth looking for  
16 and using clinically, I think.

17 DR. GOODMAN: But with regard to the  
18 available evidence currently, what is the panel  
19 seeing as far as the sufficiency of that  
20 evidence to make some finding about the impact  
21 of the tests on health outcomes? Dr. Kaul.

22 DR. KAUL: I think there's sufficient  
23 evidence to make a decision.

24 DR. GOODMAN: Okay. Any other  
25 comments about the sufficiency of the available

00199

1 evidence? Yes, Ms. Atkinson.

2 MS. ATKINSON: Is that evidence for  
3 the Medicare population?

4 DR. GOODMAN: And we are going to  
5 address that.

6 DR. HAYES: Yeah, I can address that.  
7 Part of the problem, of course, is that it  
8 comes mostly from clinical trials and most  
9 clinical trials address younger women, just for  
10 cultural reasons, not for any medical reasons.  
11 Older women just tend not to go in our trials.  
12 However, there's no reason to believe that  
13 either of these two agents, trastuzumab or  
14 lapatinib, are less effective in HER2 positive  
15 older women, and the reason not to give it to  
16 them might be because trastuzumab can cause  
17 heart failure so lapatinib might be substituted  
18 for that, or because you might feel that this  
19 patient is in a nursing home and is not worth  
20 treating for social reasons. But in terms of  
21 science and medicine, we believe it would apply  
22 to older women.

23 DR. GOODMAN: Any comments about the  
24 next part of the question, which is what does  
25 this available evidence tell us? It sounds

00200

1 like many of you concur that it is sufficient.  
2 What does the available evidence tell us about  
3 the impact of the test on health outcomes,  
4 would anyone like to summarize that for us?

5 Dr. Hayes' hand is up first.

6 DR. HAYES: I'm sorry to keep bumping  
7 up, but this is something that I know about.  
8 We believe it has had a huge impact already.

9 In regards to, A, the amazing efficacy of these  
10 two drugs, both in the metastatic and  
11 particularly in the adjuvant setting over the  
12 last ten years. B, in terms of not giving  
13 these very expensive and potentially toxic  
14 drugs to patients for whom it appears there is  
15 very little benefit. So we believe that the  
16 health impact for this test has been quite  
17 large.  
18 DR. GOODMAN: Thank you. Any other  
19 comments from the panel about what the evidence  
20 is telling us about the impact of the tests on  
21 health outcomes, anything to add to what we've  
22 heard so far? Any of our presenters want to  
23 add to anything that we've heard so far? I  
24 know that this is one that was not addressed in  
25 the technology assessment, so I want to make

00201

1 sure we've heard what we need to hear. Okay.  
2 I don't see further hands being raised. Good.  
3 If the panel doesn't mind, I want to  
4 hold off on (d), which is the BCR-ABL, until  
5 after discussion of KRAS if that's okay,  
6 because I know we've got more of a  
7 differentiation in the application of the tests  
8 for that one, though I do want to return to it.  
9 So let's move to KRAS. This is KRAS  
10 for metastatic colorectal cancer patients who  
11 are candidates for either cetuximab or  
12 panitumumab, and I know this is one of the  
13 three that was addressed in the technology  
14 assessment and we heard some other presenters  
15 comment on it as well. Let's address first  
16 about the availability and sufficiency of the  
17 evidence with regard to what the test might do  
18 for health outcomes. Anyone want to summarize  
19 what we heard, and/or ask our presenters what  
20 we heard about the sufficiency of the evidence?  
21 Dr. Teutsch.

22 DR. TEUTSCH: I heard we have really  
23 pretty nice evidence that shows that you could  
24 identify these patients who didn't respond, but  
25 I also heard we didn't get any information

00202

1 about potential harms or how it fit into  
2 alternative forms of therapy and the harms and  
3 benefits of those alternatives.

4 DR. GOODMAN: So those are some areas  
5 in which the evidence --

6 DR. TEUTSCH: At least we did not hear  
7 it.

8 DR. GOODMAN: We did not hear it. Dr.  
9 Trikalinos, if you don't, mind, can we prevail  
10 on you yet again, and it won't be the last

11 time, where was the evidence on KRAS? It  
12 sounded like there's some pretty good evidence  
13 for a certain aspect of this, but where was the  
14 evidence and where was it not?

15 DR. TRIKALINOS: So, as Dr. Teutsch  
16 summarized, there was consistent evidence  
17 pointed towards the same direction for  
18 mortality, progression-free survival and  
19 treatment failure.

20 DR. GOODMAN: Consistent, did you say?

21 DR. TRIKALINOS: Consistent. However,  
22 Dr. Teutsch correctly pointed out that there  
23 was no evidence that was presented that was  
24 weighing the tradeoffs between benefits and  
25 harms. This is because we did not find mention

00203

1 of harms of testing in the studies we reviewed,  
2 but I also made clear that we did not seek or  
3 evaluate any economic evaluations or decisional  
4 analysis for papers that would usually contain  
5 this type of information.

6 DR. GOODMAN: Thank you. Any of our  
7 panelists? Dr. Pao, on this point, the  
8 availability of evidence for KRAS?

9 DR. PAO: I just want to clarify. The  
10 harms of testing, you don't mean just doing the  
11 mutation testing? I will just remark that  
12 patients who receive cetuximab and panitumumab  
13 have considerable side effects, the majority  
14 have a rash, diarrhea, about 20 percent of the  
15 patients actually have a hypersensitivity  
16 reaction. And so you could, although the  
17 evidence is not presented, you would save  
18 patients who would not benefit from the drug  
19 from a lot of side effects, in addition to  
20 cost.

21 DR. GOODMAN: Okay. Dr. Hayes.

22 DR. HAYES: Sorry to speak up, but  
23 again, the American Society of Clinical  
24 Oncology has issued a statement on this, so I  
25 want to offer on that. We felt that the

00204

1 available evidence were quite strong and it's  
2 not just that it's a decreased likelihood of  
3 benefitting if you have mutated RAS. There is  
4 not a single study that I'm aware of that  
5 actually shows any benefit of having mutated  
6 RAS. And so this is a quite powerful  
7 predictive factor as far as we can see in terms  
8 of predicting no benefit. And I absolutely  
9 agree that these drugs are not benign, they do  
10 have side effects, and they're very expensive,  
11 believe me. So we felt that there was quite  
12 sufficient evidence to suggest that patients

13 who have mutated KRAS should not receive either  
14 of the two available antibodies.  
15 The data for a positive benefit if  
16 you're wild type are more mixed, I think, but  
17 they're sufficiently positive to suggest that  
18 there probably is a benefit in terms of the  
19 outcomes that you laid out in patients who are  
20 wild type. And so the ASCO recommendations are  
21 that everyone be tested.

22 May I ask you a question, since I just  
23 spoke? Dr. Nowak spoke to assays, and that is  
24 a concern I think, and perhaps we can ask him  
25 if he might wish to discuss a little bit about

00205

1 the available assays.

2 DR. GOODMAN: That's fine as long as  
3 it's in the context of how good the available  
4 evidence is, if there's a differentiation  
5 between the assays that would bear upon that  
6 question, it would be relevant.

7 DR. HAYES: That's my point, whether  
8 or not there is variability within those assays  
9 that might have led to some of the  
10 heterogeneity in the data that we saw for  
11 patients who are considered wild type.

12 DR. GOODMAN: Dr. Nowak, would you  
13 care to respond in a concise way to that  
14 question?

15 DR. NOWAK: Testing for KRAS is not  
16 particularly difficult in a molecular lab, and  
17 there are a number of approaches to doing that.  
18 As with any of these assays, there are concerns  
19 about tumor heterogeneity or the sensitivity of  
20 a particular assay, and there's different ways  
21 to approach that. If you have a very sensitive  
22 assay then you don't have to be very critical  
23 about what your specimen is and what the tumor  
24 proportion is. On the other hand, if your  
25 assay is somewhat less sensitive but you do

00206

1 take account for how much specimen, how much  
2 tumor you're putting into the test, then you've  
3 essentially addressed that issue.

4 And the standards for appropriate  
5 sensitivity are still in development but  
6 they're very much being discussed and I think  
7 being addressed. And just as ASCO/CAP put  
8 together guidelines for HER2 testing, and there  
9 are new guidelines coming out on testing for  
10 ER, there will very likely emerge guidelines  
11 for KRAS testing that will address those. As I  
12 pointed out, there is a proficiency test  
13 available now from CAP specifically for KRAS.  
14 It is a new proficiency test that has been

15 evolved. I think it's going to follow very  
16 much in the manner that HER2 proficiency tests  
17 evolved.

18 DR. GOODMAN: Any reason to believe  
19 that the quality of available evidence has been  
20 affected by any variation in the way that  
21 testing has been conducted?

22 DR. NOWAK: I suspect not. I think  
23 that the evidence, those papers that address  
24 the utility of KRAS testing have used adequate  
25 testing methods. I don't think the methods are

00207

1 in question there.

2 DR. GOODMAN: Dr. Hayes.

3 DR. HAYES: The reason I asked this  
4 was for a specific reason. We felt, from what  
5 Dr. Nowak was just telling us, that if you have  
6 positive mutation, that's almost certainly  
7 real, the odds of a false positive are very  
8 small, and there is no evidence that either of  
9 these drugs works in those patients from these  
10 retrospectively done studies on prospective  
11 trials. It is the negatives that are  
12 concerning, that is, it is more likely there  
13 are false negatives, and perhaps that's where  
14 proficiency would help. And that may reflect  
15 why some of the data are not, some of the  
16 trials are not positive even in the wild-type  
17 patients, and others are.

18 But we felt there were enough trials  
19 there that said that these drugs do work in  
20 wild-type patients to overcome the  
21 heterogeneity of the assays, so that was my  
22 point.

23 DR. GOODMAN: So in the wild type the  
24 drugs work sometimes?

25 DR. HAYES: I would say more than

00208

1 sometimes, but not always.

2 DR. GOODMAN: Dr. Nowak.

3 DR. NOWAK: There are other reasons  
4 why the drugs would not work in those patients  
5 with that wild-type KRAS. Probably the biggest  
6 cause is the mutation in another gene called  
7 BRAF, which probably accounts for another 10  
8 percent of patients who will not respond to  
9 monoclonal anti-EGFR therapy.

10 DR. GOODMAN: Sounds like an area for  
11 further research.

12 DR. NOWAK: And that is being  
13 researched. You may be talking about BRAF next  
14 year.

15 DR. GOODMAN: Perhaps. Thank you,  
16 sir. Dr. Mansfield.

17 DR. MANSFIELD: Yeah. I was going to  
18 mention the lack of -- the very good positive  
19 predictive value of the test is likely due to  
20 mutations or differences downstream from KRAS,  
21 which are now starting to be studied. There is  
22 no currently FDA-approved KRAS test, although  
23 we understand that there is interest, and I do  
24 agree with Dr. Nowak that it's likely that the  
25 analytical validity of the tests that are used

00209

1 is not out of whack in a way that would mislead  
2 you.

3 DR. GOODMAN: Good, thank you, and  
4 thank you, Dr. Nowak.

5 On the matter of sufficiency of the  
6 evidence before you, KRAS sounds like a place  
7 where the panel considers there's pretty strong  
8 evidence in general, and the evidence for  
9 KRAS's impact on healthcare outcomes is derived  
10 largely from retrospective analyses of several  
11 RCTs. So not prospective experimental studies  
12 in RCTs, but we've used data from available  
13 RCTs and done retrospective subanalyses to make  
14 this distinction between the KRAS positives and  
15 the wild type.

16 Since this is an area with relatively  
17 stronger evidence, I wonder if any of the  
18 panelists would care to comment on the extent  
19 to which you would need more or seek more  
20 evidence in the form of prospective studies,  
21 prospective trials, RCTs or other, to confirm  
22 this, or are you largely satisfied that this is  
23 what you need to know? Dr. Janjan.

24 DR. JANJAN: I was just going to say  
25 that AHRQ has indicated that repurposing some

00210

1 of these studies is an acceptable way of  
2 evaluating because of the cost and time  
3 involved with prospective trials, that it's too  
4 inefficient to have to do everything in a  
5 prospective manner. And given the personal  
6 costs and the societal cost of not being able  
7 to determine what patients will respond, I  
8 mean, look at the range that we have here with  
9 the first question, the group with the  
10 question, if we could determine what patients  
11 should not get AI in therapy and instead get  
12 tamoxifen, look at the cost savings to society  
13 if we did that, versus being able to determine  
14 who should get HER2/neu therapy based on  
15 HER2/neu positivity.

16 So the range that we're seeing today I  
17 think shows that to wait another five or ten  
18 years to get this data out would not be

19 prudent, and I personally think retrospective  
20 analysis would be acceptable, and agree with  
21 the AHRQ.

22 DR. GOODMAN: Dr. Mansfield and then  
23 Dr. Teutsch. Dr. Mansfield.

24 DR. MANSFIELD: My understanding is  
25 that KRAS testing is already so firmly

00211

1 entrenched in clinical practice now that it  
2 would be virtually impossible to run a  
3 prospective trial and some people might suggest  
4 that it would be unethical, although I haven't  
5 examined that myself.

6 DR. GOODMAN: Thank you. Dr. Teutsch.

7 DR. TEUTSCH: I agree with the prior  
8 comments to this point, but I would think that  
9 rather than -- we're not making advice on  
10 coverage decisions, but this sounds like a time  
11 and place where while it would be appropriate  
12 to, you know, sanction and continue its use,  
13 you would like to get this prospective evidence  
14 on its clinical use and the impacts. I don't  
15 think that necessarily has to be an RCT, it  
16 could be a registry, it could be other things.  
17 Whether this falls under the, we talked about  
18 this earlier, coverage of evidence development  
19 or something like that, it might be something  
20 that's worth considering because it might  
21 confirm what we have from the retrospective  
22 information.

23 DR. GOODMAN: Thank you, Dr. Teutsch.  
24 Dr. Cox.

25 DR. COX: I'm going to struggle with

00212

1 this a little bit, and being more of a trialist  
2 to begin with, I think when you look at, again,  
3 I'm struggling with we're looking at basically  
4 whether this test helps us to find basically  
5 one of the drugs in our armamentarium and  
6 provides guidance on how to best take all the  
7 other tools and create a treatment plan with  
8 the best outcomes. So when you talk about the  
9 utility, and I as a clinician am still going to  
10 be looking for prospective evidence that will  
11 help me understand how to take the tools I  
12 have, including these EGFR antibodies, to  
13 figure out how to stick them into a treatment  
14 regimen. I mean, metastatic colon cancer has  
15 gone from six months median survivorship, eight  
16 months, to now over two years, largely because  
17 of a cascade of therapies. So this one test  
18 does affect clinical outcomes of patients, but  
19 I'm reacting on the thought of being able to  
20 mine prior studies to really help me. I'm sure

21 there are some answers that can be had, but I  
22 think you're still going to have to do  
23 prospective studies to figure out how to use  
24 it.

25 DR. GOODMAN: Well, it sounds like you  
00213

1 like the strength of the evidence, especially  
2 relative to other types of tests, but you may  
3 want some prospective data collection, not  
4 necessarily an RCT, that might strengthen your  
5 observations and how you might use the test  
6 itself. Is that correct, Dr. Cox?

7 DR. COX: Again, I struggle when  
8 talking about a test that has an impact on the  
9 choice of therapy. If that test is going to  
10 help describe the choice of therapy you still  
11 need to have prospective data on the therapies  
12 you're choosing.

13 DR. GOODMAN: On the KRAS test then,  
14 we've talked about the sufficiency of evidence.  
15 Would anyone care to comment on or summarize a  
16 finding with regard to what the evidence does  
17 tell us about the impact on patient outcomes?  
18 Some of you have already suggested that, but  
19 would any panelist like to iterate that,  
20 please? Yes, Dr. Fischer.

21 DR. FISCHER: It looks like you're  
22 going to make about 20 percent of the patient  
23 population miserable with absolutely no  
24 benefit, from the data that I reviewed before  
25 coming here, and I think that's important.

00214

1 DR. GOODMAN: If you --

2 DR. FISCHER: If you give some of the  
3 agents which are not innocuous to a group of  
4 people who are KRAS mutated, I don't think I  
5 saw any evidence at all that there was any  
6 benefit at all, and yet the side effects are  
7 significant.

8 DR. GOODMAN: Okay. Any other  
9 comments on what the evidence can tell us about  
10 the impact on patient outcome? Any other  
11 comments about that? Our speakers, is there  
12 anything we missed that's important about the  
13 impact of this test on health outcomes? Okay.  
14 Dr. Pao, and then we'll move on.

15 DR. PAO: I would just second the  
16 thoughts of Dr. Mansfield. It would be very  
17 difficult to do a randomized controlled trial  
18 now in a KRAS-positive patient population.  
19 Randomizing is tough enough, and I don't know  
20 how many patients would actually sign up or  
21 accept the test randomizing.

22 DR. GOODMAN: Yeah, I think that point

23 was well made, but it did sound like some other  
24 kind of prospective data collection might be  
25 okay, nonrandomized. Yes, Dr. Hayes?

00215

1 DR. HAYES: A very quick clarification  
2 for our colleagues who are not medical  
3 oncologists. To my knowledge, KRAS has no  
4 impact on whether the chemotherapies that we  
5 routinely use work or don't work, and so to my  
6 knowledge KRAS mutations are very specific to  
7 the efficacy of these two antibodies directed  
8 against EGFR. So it's not that we're saying  
9 I'm sorry, Mr. Jones, but you can't have any  
10 therapy if you're mutated. It's I'm sorry, but  
11 these two antibodies won't work for you, but  
12 the other therapies are equally likely to work  
13 on you as anyone else. So there are many  
14 options for patients with colon cancer and  
15 increasingly more so, it's just that these two  
16 antibodies against EGFR seem not to work in  
17 patients who are mutated.

18 DR. GOODMAN: Well summarized, thank  
19 you.

20 Let's return, then, having done tests  
21 (a), (b), (c) and (e), let's return to test  
22 (d), which is the BCR-ABL. And I know we  
23 discussed a bit this morning about how the  
24 interest to the evidence questions depends a  
25 little bit on how the test is being used. And

00216

1 this question is, with regard to the  
2 sufficiency of the available evidence, on  
3 BCR-ABL for chronic myelogenous leukemia  
4 patients who are candidates for imatinib.  
5 So we're going to talk about the  
6 sufficiency of available evidence. And as we  
7 discuss this, I want to go back to Dr. Kaul, if  
8 that's okay with her. Dr. Kaul, can you remind  
9 us about what differentiation we need to  
10 consider with regard to how the test is used?

11 DR. KAUL: There are, I see it as two  
12 separate tests, you can even pigeonhole them  
13 further if you want to get more detailed, but  
14 the two tests that I think we could break it  
15 down more simply are identifying and  
16 quantifying the fusion transcript that defines  
17 the BCR-ABL translocation.

18 DR. GOODMAN: Say that one more time.

19 DR. KAUL: It's identifying and  
20 quantifying the fusion transcript that is  
21 associated with the BCR-ABL translocation, and  
22 that is tantamount to making a diagnosis of CML  
23 and it's also the target, and has been for  
24 probably a decade, the cornerstone of

25 monitoring patients on chemotherapy. You  
00217

1 monitor the levels of this transcript and see  
2 how they're responding to treatment.

3 DR. GOODMAN: Dr. Kaul, for shorthand  
4 purposes, so you're --

5 DR. KAUL: Following response to  
6 treatment by looking at the tumor burden going  
7 up and down.

8 DR. GOODMAN: Do we want to call that  
9 for our group's sake the fusion transcript or  
10 the diagnostic application, what's our short  
11 term for that?

12 DR. KAUL: It's diagnosis and  
13 monitoring.

14 DR. GOODMAN: Diagnosis and  
15 monitoring, so that's one.

16 DR. KAUL: And then the second area I  
17 see partly is based on the technology or  
18 assays, we need to study this, but we heard  
19 about this morning very nicely, and that's  
20 something that's quite new and I think in its  
21 infancy, and that is identifying point  
22 mutations that occur in this transcript that  
23 are associated with a failure of response to  
24 treatment, and that's being investigated in  
25 newly diagnosed patients to see if they should

00218

1 even go on one of these treatments in the first  
2 place.

3 It's also being used in some centers  
4 where they're having their standard consensus-  
5 driven, every-three-month transcript levels,  
6 they're piggybacking onto that another test to  
7 see if they can detect any of these point  
8 mutations that might herald impending treatment  
9 failure. But this is a totally different test,  
10 different purpose, different technology, and I  
11 think is much more immature than the not yet  
12 mature, but the very well established  
13 transcript level monitoring that we've been  
14 doing for many years.

15 DR. GOODMAN: Dr. Kaul, I'll stick  
16 with you if you don't mind. With regard to  
17 diagnosis and monitoring versus point mutation  
18 of the test, do you -- and we'll start this  
19 discussion with some others -- do you think  
20 that we as a panel should address both of those  
21 uses of the test insofar as they might affect  
22 health outcomes or just one?

23 DR. KAUL: No, I think that the  
24 diagnosis and monitoring part is mainstream  
25 medical practice now, so I think a discussion

00219

1 can be had a little bit after the fact because  
2 this is used widely, even more so than RAS, the  
3 previous example, and I don't think that these  
4 patients can be managed without that, so the  
5 impact there I think is quite clear and well  
6 established.

7 I think we can have a more interesting  
8 and immature discussion about the point  
9 mutations if we so choose.

10 DR. GOODMAN: Does anyone on the panel  
11 want to vouch for doing just one of these, or  
12 are you satisfied that we might address both of  
13 them? Dr. Satya-Murti.

14 DR. SATYA-MURTI: If there was that  
15 much difference, I think we ought to take them  
16 up separately. You summarized this so well,  
17 and then we heard also this morning, and then  
18 there were several presenters who were talking  
19 about the load of the transcript rather than  
20 the mutation. So I would find it's easier  
21 probably to split the two.

22 DR. GOODMAN: I see no objection to  
23 splitting them. Let's take diagnosis and  
24 monitoring first then. Let's talk about the  
25 adequacy of available evidence regarding the

00220

1 impact of the use of the test for diagnosis and  
2 monitoring on patient outcomes, how good is the  
3 available evidence, and then we will move to  
4 what does that evidence say. Dr. Kaul, you're  
5 up.

6 DR. KAUL: We have dozens of peer  
7 reviewed articles, we've got many  
8 multi-centered clinical trials, and we've got  
9 consensus guidelines from a couple of  
10 professional organizations at least outlining  
11 the use of these quantitative assays in  
12 monitoring patients and also leading to  
13 diagnosis, so I think there's very clear  
14 evidence.

15 DR. GOODMAN: So you alluded to a lot  
16 of evidence, but then part of it said  
17 multi-centered trials, I think I heard you say  
18 that, which sounds like better evidence.

19 DR. KAUL: And it's been published in  
20 peer reviewed literature. It's already out  
21 there.

22 DR. GOODMAN: Okay. So you're saying  
23 that the body of evidence is rather robust?

24 DR. KAUL: Yes.

25 DR. GOODMAN: Okay. Dr. Eng and then

00221

1 Dr. Fischer.

2 DR. ENG: May I ask, is the evidence

3 so robust that, say for an older patient that  
4 doesn't want bone marrow biopsy or treatment  
5 for remission, is the evidence, this monitoring  
6 so robust, so good, that you can say that's  
7 okay, if your blood test is less, you know, we  
8 don't have to go to the bone marrow? Because  
9 one of the papers said that it's still sort of  
10 like the gold standard, to get that bone  
11 marrow.

12 DR. KAUL: I'm not a medical  
13 oncologist taking care of leukemia patients,  
14 but the bone marrow will allow you to get a  
15 full karyotype. As patients evolve, they will  
16 develop other chromosomal abnormalities that  
17 you can't necessarily get without doing the  
18 full karyotype, and so that may still be of  
19 use. You can at least detect and measure the  
20 transcript level in the peripheral blood, so  
21 that can avoid use of bone marrow in some  
22 settings.

23 DR. GOODMAN: Dr. Fischer.

24 DR. FISCHER: I am under the  
25 impression that we were splitting, that we were  
00222

1 not using the diagnosis.

2 DR. GOODMAN: No, we're going to get  
3 two looks, one is diagnosis and monitoring and  
4 the other is point mutation.

5 DR. FISCHER: I understand, but that's  
6 what got lumped just recently. In other words,  
7 I understand that people use this for diagnosis  
8 and I understand that people use this for  
9 recurrence, or what I would call recurrence but  
10 other people might call monitoring. Are we  
11 going to take those together, or are we going  
12 to take those separately?

13 DR. GOODMAN: I suggest we take those  
14 two together, diagnosis and monitoring as a  
15 bolus, and the other would be point mutation.

16 Dr. Pao and then Dr. Hayes. Dr. Pao.

17 DR. PAO: Going back to Dr. Eng's  
18 question, I think that after the first bone  
19 marrow the molecular test is much much more  
20 sensitive and it gives you a much better idea  
21 of the tumor and allows you not to repeat the  
22 marrow.

23 DR. GOODMAN: Dr. Eng.

24 DR. ENG: My question was really the  
25 strength of the molecular test to practice, and  
00223

1 actually that is a reflection. If it is that  
2 strong, then we wouldn't have to have any more  
3 bone marrows. We have five.

4 DR. GOODMAN: Dr. Pao.

5 DR. PAO: I do believe if you had  
6 changes in your quantitative transcripts in  
7 your blood vessels that the doctors would make  
8 some treatment decisions based upon that. I  
9 don't know if you would actually repeat the  
10 bone marrow. That's what I'm saying, that the  
11 bone marrow would not be as sensitive as the  
12 molecular assay?

13 DR. GOODMAN: Dr. Eng, is that  
14 sufficient at least for now? Dr. Hayes.

15 DR. HAYES: So, I also do not treat  
16 leukemia patients, but my understanding is that  
17 this doesn't completely abrogate bone marrows  
18 but it decreases the number of bone marrows  
19 that are done because if a patient is negative  
20 as she's being monitored, they don't do serial  
21 bone marrows, they wait until it comes back up.  
22 I actually would like to perhaps ask  
23 Dr. Salvado from Novartis, one of the questions  
24 I asked him during the break was, I think the  
25 clinical validity of these assays both for

00224

1 diagnosis, is this a classic or an atypical  
2 CML, and for monitoring, are quite strong. In  
3 other words, this assay tells us that is the  
4 case, that the patient does have classic CML  
5 and that a patient you felt was doing well is  
6 starting to progress. The issue is, does it  
7 help you make, is it of clinical utility, does  
8 it help you make a decision that helps the  
9 patient by using those data, and the question  
10 really is, does the next therapy work, so it's  
11 worthwhile deciding if they are progressing.  
12 The answer he gave me is yes, that  
13 when imatinib quits working by virtue of the  
14 rising transcript level, that the next  
15 generation of drugs do work, and so there is  
16 clinical value in identifying that. And while  
17 it's hard to prove it, it is probably that they  
18 are more effective when it is at a low tumor  
19 burden than if one waits until you have  
20 circulating CML cells, although again, that's  
21 not been proven to my knowledge.  
22 And then finally, when those quit  
23 working, although this may not be relevant to  
24 the Medicare population, bone marrow transplant  
25 has been shown to result in prolonged

00225

1 disease-free survival and even cure rates in  
2 younger patients with this disease, and it is  
3 much more likely to be effective before a  
4 patient goes into blast phase.

5 DR. GOODMAN: Dr. Hayes, let me just  
6 interrupt. Do return to this point, though.

7 DR. HAYES: Yes.  
8 DR. GOODMAN: For diagnosis and  
9 monitoring, how strong is the available  
10 evidence with regard to impact on outcomes?

11 DR. HAYES: That's where I'm going. I  
12 think that the data suggests that it is pretty  
13 strong.

14 DR. GOODMAN: Thank you for that.  
15 Dr. Fischer.

16 DR. FISCHER: Again, I don't treat  
17 patients with CML but I happen unfortunately to  
18 have a number of friends who have CML, who've  
19 had it for a long time, and many of them, when  
20 the diagnosis is originally made, choose not to  
21 be treated, and they go on for quite a long  
22 period of time. One of them is 25 years since  
23 the original diagnosis and he still goes skiing  
24 and doing well, at 85. So my question is, how  
25 accurate is the test that we are proposing to

00226

1 use as diagnosis? I think Dan, I think you  
2 referred to this somewhat, that after the  
3 diagnosis is made and the patient is being  
4 followed, maybe had a bone marrow and the  
5 patient is being followed. Is this something  
6 that one can use to follow a patient who  
7 chooses not to be treated and say it's time for  
8 you to be treated, which I think in this  
9 particular disease is probably an important  
10 part of diagnosis.

11 DR. GOODMAN: I'm still looking for  
12 strength of available evidence here, so make  
13 sure it goes back there. Any response on part  
14 of the panel to Dr. Fischer's point? Dr.  
15 Hayes.

16 DR. HAYES: To my knowledge it would  
17 not be of value because those patients are  
18 already going to be screened as positive for  
19 the transcript level because they've got  
20 circulating cells you can see, so I don't think  
21 it would help.

22 DR. GOODMAN: Dr. Hayes, as long as  
23 you've got the mic already in your hand, let's  
24 move to, summarize for us, then, what the  
25 available evidence does tell us about the

00227

1 impact of diagnosis and monitoring with this  
2 test on health outcomes. What does it say?

3 DR. HAYES: So, I believe the data are  
4 pretty strong to suggest that, A, initial  
5 diagnosis, but probably more importantly,  
6 monitoring these people will have a huge impact  
7 in regards to staying with the therapy begun or  
8 switching to another therapy that's likely to

9 be effective.

10 DR. GOODMAN: Now, hold on. When  
11 you're talking about staying with the therapy  
12 or not, are you implying that there's a strong  
13 link between that and health outcomes?

14 DR. HAYES: Yes.

15 DR. GOODMAN: Okay. Does anybody have  
16 any comments about that, anything they want to  
17 contest about that comment or conclusion?

18 Dr. Satya-Murti.

19 DR. SATYA-MURTI: Not so much to  
20 contest this, but we didn't come prepared to  
21 hear this this morning, but it seems after  
22 listening to you both, it appears to me that  
23 this is a perfect surrogate model, even better  
24 than HIV or the hepatitis C virus model. This  
25 transcript model not only diagnoses, it tells

00228

1 you what's out around the corner, so this is a  
2 very good test. As I say, it keeps occurring  
3 to me as a non-oncologist, it's a perfect  
4 surrogate for this disease.

5 DR. GOODMAN: Thank you. Let's look  
6 now at the point mutation application of this  
7 test, again, BCR-ABL for chronic myelogenous  
8 leukemia. For point mutations, how good is the  
9 available evidence, what's the sufficiency of  
10 the available evidence upon which you might  
11 draw some conclusion or finding about its  
12 impact? Dr. Kaul, if you don't mind, I'll ask  
13 you to take the microphone.

14 DR. KAUL: Well, I actually was  
15 surprised when the technology assessment  
16 addressed this in such detail. It's an area  
17 that people are investigating actively, and I  
18 think that's wise, but I don't think we have  
19 enough evidence to support using this  
20 clinically routinely at this point. Evidence  
21 is still lacking.

22 DR. GOODMAN: The evidence is lacking.  
23 Would anybody have an alternate view on that?  
24 Dr. Pao.

25 DR. PAO: Well, I can't give any data

00229

1 on health outcomes, but as Dr. Trikalinos said,  
2 the one mutation that does make a difference is  
3 T315I, which as we've heard (inaudible).  
4 Therefore, testing for that could make you  
5 eligible for a subsequent new trial if they're  
6 trying to target T315I.

7 DR. GOODMAN: Okay.

8 DR. PAO: But that might be outside of  
9 the range of this discussion.

10 DR. GOODMAN: Thank you, but did you

11 care to comment on the sufficiency of the  
12 evidence of that report?  
13 DR. PAO: As I say, I don't have  
14 specific evidence for that at this time.  
15 DR. GOODMAN: Dr. Kaul.  
16 DR. KAUL: I'll just follow by  
17 agreeing. I think what we're learning about is  
18 the biology of the disease and how resistance  
19 occurs and that's going to be evolving, so in  
20 another year or two it may be a very different  
21 story, but we're still pretty early in that  
22 process.  
23 DR. GOODMAN: Any of our speakers care  
24 to comment on the sufficiency of available  
25 evidence with regard to drawing a conclusion

00230

1 about its impacts on outcomes?  
2 Is this Dr. Burken approaching the  
3 microphone?  
4 DR. BURKEN: This is Dr. Burken. As  
5 my slides indicated this morning, my own review  
6 that was teed up just for this meeting today  
7 indicated that point mutation testing, if the  
8 way you're approaching it is to look for a  
9 panel of mutations that might be an optimal  
10 panel of mutations, just that particular  
11 question, I found that it was not ready for  
12 prime time, and that would seem to be in  
13 agreement with what Dr. Kaul has told us.  
14 DR. GOODMAN: Thank you. Anyone on  
15 the panel, or Dr. Burken, before you leave,  
16 want to say anything now about what the  
17 available evidence might say about impact on  
18 outcomes? It sounds as though there's  
19 agreement that the evidence is pretty scarce  
20 right now. Yes, Dr. Scheuner.  
21 DR. SCHEUNER: So, I'm going to go  
22 back to the T315I mutation and somewhere to the  
23 KRAS discussion. It seems to me that that  
24 particular point mutation and all the studies  
25 that were in the TEC assessment, there were

00231

1 multiple, showed that that particular mutation,  
2 you would not respond to therapy so you  
3 wouldn't want to give that drug. So, I don't  
4 know if we want to hear more about that from  
5 any of the speakers.  
6 DR. GOODMAN: So you're saying that  
7 there is an instance where point mutation does  
8 provide definitive evidence, is that what  
9 you're saying?  
10 DR. SCHEUNER: Yes. We saw a slide  
11 where he looked at a set of genetic response  
12 and the specificity was a hundred percent, and

13 so maybe we could hear a little bit more about  
14 that, as opposed to any mutation, then yeah,  
15 it's right along the diagonal of the ROC curve,  
16 so I believe that one mutation actually is very  
17 predictive of response to therapy. You want  
18 response.

19 DR. GOODMAN: Thank you, Dr. Scheuner.  
20 Dr. Trikalinos.

21 DR. TRIKALINOS: So, this observation  
22 is correct. All studies are consistent,  
23 essentially, that when this mutation is  
24 present, then there is no response to the drugs  
25 that were assessed.

00232

1 DR. GOODMAN: And what mutation is  
2 that? We're calling it what?

3 DR. TRIKALINOS: T315I.

4 DR. GOODMAN: That's the T315I.

5 DR. TRIKALINOS: And this is something  
6 that is not new and this is something that is  
7 known to the community of researchers who are  
8 treating this disease. And the key point here  
9 is that this is a mutation that is rare, it's a  
10 small percentage of people who have not  
11 responded to the first line treatment that have  
12 this mutation.

13 DR. GOODMAN: Okay. Dr. Scheuner,  
14 you're satisfied with that?

15 DR. SCHEUNER: I just had one  
16 follow-up to that. So, is it rare enough that  
17 there are concerns about the analytic validity  
18 of the assay to identify the mutation and claim  
19 perhaps a false positive? I mean the concern,  
20 you say it's rare, but if it costs five cents  
21 to do the assay, then maybe it would benefit  
22 that handful of people for whom the drug is  
23 just simply not going to work.

24 DR. TRIKALINOS: I have no knowledge  
25 of whether there's an issue with analytical

00233

1 validity for this particular mutation so I  
2 cannot answer, I cannot give you an answer.  
3 However, these are not the only considerations,  
4 the cost.

5 DR. GOODMAN: Thank you. Was there a  
6 comment, was it Dr. Hayes briefly, and then Dr.  
7 Pao.

8 DR. HAYES: So, again, I am not a  
9 hematologist, and I'm reading now from the  
10 American Society of Hematology comments which  
11 they submitted, representing hematologists, and  
12 I won't read you the whole thing.

13 DR. GOODMAN: Okay. What comments are  
14 they? Are they evidence-based comments that

15 address the evidence?  
16 DR. HAYES: So, what they say is that  
17 the T315I mutation is not sensitive in vitro to  
18 any of the available agents. Patients with the  
19 T315I mutation indeed have no response to any  
20 available TKI. These patients should be  
21 offered a stem cell transplant when eligible.  
22 So I actually am neutral on this,  
23 except to say that I think it's fair to hear  
24 what the American Society of Hematology has  
25 suggested to us, but they don't provide a

00234

1 jumble, they provide a single reference to that  
2 comment.

3 DR. GOODMAN: They do or don't provide  
4 a single reference?

5 DR. HAYES: They provide a single  
6 reference. There may be more, but they provide  
7 Leukemia, Updated Concepts of Management,  
8 that's a review in the Journal of Clinical  
9 Oncology this year.

10 DR. GOODMAN: Okay. That covers it  
11 for BCR-ABL.

12 So we've looked at all five of these  
13 tests and we've asked about the sufficiency of  
14 available evidence, and then we've talked about  
15 where applicable, where that available evidence  
16 might lead us with regard to impact on  
17 outcomes. Let me ask the pleasure of the panel  
18 here, and I'll just kind of offer you a choice.  
19 At the rate we're going, I'm confident that we  
20 will be done by four o'clock, so that will help  
21 assure you. How would you feel about moving  
22 directly into the voting for questions one and  
23 two now, or would you like to take a 7.5-minute  
24 break, and then return to voting? Vote?  
25 Okay. So what we're going to do now

00235

1 is we're going to vote on questions one and  
2 two, having been very nicely informed with the  
3 discussions that you've given us over the last  
4 nearly hour and a half. Then we'll look  
5 separately at question three, which is going to  
6 address the matter of the generalizability, so  
7 we will address that separately after we  
8 address these first two questions.

9 And I know that at this juncture we  
10 have to ensure that our CMS staff colleagues  
11 are ready to do our voting and I will just give  
12 them a moment. And while we're doing that,  
13 just as a reminder, when we do get to the  
14 BCR-ABL, we are going to split it into those  
15 two sections, one of which was diagnosis and  
16 monitoring, the other one of which was point

17 mutations. I just want to remind the panel and  
18 our audience, and with confirmation from  
19 Dr. Jacques and Maria Ellis, could you remind  
20 us about the nonvoting members and how that  
21 works?

22 MS. ELLIS: Okay. Everyone will vote,  
23 so we will have two separate scores, one with  
24 all the members, all the panel, and then the  
25 other score will be just the voting members, so

00236

1 that's how that goes, there will be two  
2 different scores, two different sets of scores.

3 DR. GOODMAN: But you'll only record  
4 the scores one time, and when you record them  
5 you'll differentiate internally between the  
6 voting and nonvoting members.

7 MS. ELLIS: Yes. And also, there are  
8 voting sheets in your packet on the left-hand  
9 side for you to also record your votes just in  
10 case, so I can double check with what you have  
11 and what I'm putting in the system right now.  
12 They should be on the left side of your green  
13 folder, on the left side in the back.

14 DR. GOODMAN: Okay. Does everyone  
15 have the current voting sheet?

16 MS. ELLIS: Does everyone see it, it's  
17 in the green folder.

18 DR. GOODMAN: Dr. Pao.

19 DR. PAO: How would you like us to  
20 address the split in the BCR-ABL question?

21 DR. GOODMAN: When we get there I'll  
22 break it into two parts.

23 MS. ELLIS: And if you could just  
24 write at the bottom or somewhere beside it, you  
25 can just put Dx and your score, and then point

00237

1 and your score. Does everyone have the score  
2 sheet?

3 DR. GOODMAN: Does anyone not have the  
4 score sheet in front of them?

5 MS. ELLIS: They should be, again, in  
6 the green folder on the left-hand side. Thank  
7 you.

8 DR. GOODMAN: I presume that no one  
9 has any further very important comments before  
10 we get into the voting. Dr. Matuszewski.

11 DR. MATUSZEWSKI: Are decimal places  
12 allowed in terms of voting?

13 DR. GOODMAN: Well, actually not for  
14 voting purposes, Dr. Matuszewski, but when we  
15 determine between questions one and two, a mean  
16 score of 2.5 or greater qualifies a question  
17 one test for question two, a score below 2.5  
18 disqualifies discussion of a question one test

19 for question two.  
20 DR. MATUSZEWSKI: That's why you need  
21 a .5, and I have one made up here.  
22 (Laughter.)  
23 DR. GOODMAN: Dr. Matuszewski, thank  
24 you for that. We needed some of that levity,  
25 that's great, we will take it where we can get

00238

1 it. Okay.  
2 Let's start with question one. Just a  
3 reminder, question one is about sufficiency of  
4 evidence, not about what the evidence says.  
5 Question two is about what the evidence says in  
6 the cases for tests that have sufficient  
7 evidence.  
8 So question one asks, how confident  
9 are you that there is sufficient evidence to  
10 determine whether pharmacogenomic testing  
11 affects health outcomes, including benefits and  
12 harms, for patients with cancer whose  
13 anticancer treatment strategy is guided by the  
14 results of testing as described below:  
15 And the first test is CYP2D6 for  
16 breast cancer patients who are candidates for  
17 tamoxifen. A scale of one to five where one is  
18 the weakest, five is the strongest, sufficiency  
19 of evidence.

20 (The panel voted and votes were  
21 recorded by staff.)

22 DR. GOODMAN: Thank you. The same  
23 question regarding sufficiency of evidence for  
24 tests impact on health outcomes, this time for  
25 UGT1A1 for colon cancer patients who are

00239

1 candidates for irinotecan, sufficiency of  
2 evidence.

3 (The panel voted and votes were  
4 recorded by staff.)

5 DR. GOODMAN: Next is HER2/neu for  
6 breast cancer patients who are candidates for  
7 trastuzumab, HER2/neu breast cancer patients,  
8 sufficiency of evidence with regard to impact  
9 on health outcomes, one least confident, five  
10 most confident.

11 (The panel voted and votes were  
12 recorded by staff.)

13 DR. GOODMAN: Next is one we're going  
14 to break into two parts, and this is for  
15 BCR-ABL for CML, patients who are candidates  
16 for imatinib, and the first one regards the  
17 sufficiency of evidence for tests impacting  
18 health outcomes where the use of the test is  
19 for diagnosis and monitoring as we had  
20 described that earlier this afternoon. So for

21 diagnosis and monitoring, that application of  
22 this test, BCR-ABL for CML, do you have low  
23 confidence or high confidence, along a scale of  
24 one to five?

25 (The panel voted and votes were

00240

1 recorded by staff.)

2 DR. GOODMAN: And now the next, again  
3 for BCR-ABL, is with regard to the point  
4 mutations, and I will just say that if there's  
5 at least one point mutation for which you think  
6 that there is sufficient evidence, you can use  
7 that, and if we need to have further discussion  
8 about that later, that's fine. But the point  
9 mutation application of the test, one is low  
10 confidence, through five, high confidence.

11 (The panel voted and votes were

12 recorded by staff.)

13 DR. GOODMAN: And the last of the  
14 five, again, is KRAS, KRAS for metastatic  
15 colorectal cancer patients who are candidates  
16 for cetuximab and/or panitumumab. How  
17 confident are you with regard to the  
18 sufficiency of the evidence for impact on  
19 health outcomes where one is low and five is  
20 high confidence?

21 (The panel voted and votes were

22 recorded by staff.)

23 DR. GOODMAN: And now Ms. Ellis is  
24 going to tell us which of those five, actually  
25 six, because (d) has two parts, for which of

00241

1 those was the mean score greater than or equal  
2 to 2.5, and for those we will address question  
3 two.

4 MS. ELLIS: We have (c), and we have  
5 (d)(1), and (e).

6 DR. GOODMAN: So among the six total,  
7 including two for (d), the only ones that  
8 achieved a score of 2.5 or greater were (c),  
9 HER2/neu, (d), BCR-ABL for the use of diagnosis  
10 and monitoring, and (e), KRAS; is that correct?

11 MS. ELLIS: The only ones that had  
12 more than 2.5 were (c), (d)(1), and (e).

13 DR. GOODMAN: Okay. We confirmed  
14 that, okay.

15 MS. ELLIS: And that is for just the  
16 voting members.

17 DR. GOODMAN: Correct, for the voting  
18 members. Although we're recording votes, all  
19 the votes will kick in and were recorded  
20 separately.

21 MS. ELLIS: Correct.

22 DR. GOODMAN: Okay. So the first

23 question we'll look at here for number two is  
24 going to have to do with the impact itself, and  
25 we're going to start with HER2/neu, but I'll

00242

1 read you the question.

2 For those items where the answer to  
3 question one was at least in the intermediate  
4 range, which is a mean score of 2.5 or greater,  
5 how confident are you that pharmacogenetic  
6 testing improves health outcomes for patients  
7 with cancer whose anticancer treatment strategy  
8 is guided by the results of testing as  
9 described below:

10 And for the first it's HER2/neu for  
11 breast cancer patients who are candidates for  
12 trastuzumab. How confident are you that the  
13 test improves health outcomes?

14 (The panel voted and votes were  
15 recorded by staff.)

16 DR. GOODMAN: We will move to BCR-ABL  
17 now, and do recall that this is about the first  
18 of those two uses, and this is going to be for  
19 diagnosis and monitoring. So how confident are  
20 you that this test, BCR-ABL with the diagnosis  
21 and monitoring application, improves health  
22 outcomes for patients with CML who are  
23 candidates for imatinib?

24 (The panel voted and votes were  
25 recorded by staff.)

00243

1 DR. GOODMAN: We're going to move to  
2 KRAS now, KRAS on a scale of one to five. How  
3 confident are you that this test, the KRAS test  
4 improves health outcomes, and this is KRAS for  
5 metastatic colorectal cancer for patients who  
6 are candidates for cetuximab and/or  
7 panitumumab? Impact of the test on improving  
8 health outcomes.

9 (The panel voted and votes were  
10 recorded by staff.)

11 DR. GOODMAN: So Ms. Ellis, you've got  
12 answers to questions one and two completely  
13 now, I believe; is that correct?

14 MS. ELLIS: Yes.

15 DR. GOODMAN: Okay, very good. Let's  
16 move to question three, and I'm going to turn  
17 to Dr. Jacques just for a moment for  
18 clarification. Question three asks about the  
19 confidence of the panel regarding whether these  
20 conclusions are generalizable to, A, community-  
21 based settings, and B, the Medicare beneficiary  
22 population. Dr. Jacques, CMS did not break  
23 this out by test. We would be glad to break it  
24 out by test if you would like, or what is your

25 preference?

00244

1 DR. JACQUES: Our preference is  
2 actually that you not have to break this all  
3 out by test unless you want to be here well  
4 beyond your flights, I think it might take that  
5 long. What our sense is of that question, and  
6 it's a recurring question in every MedCAC,  
7 unless you believe that there is some reason  
8 why you can't make a somewhat general statement  
9 about the applicability of the evidence to  
10 essentially an older population, we would like  
11 you to sort of address it in toto.

12 If you believe for some reason that  
13 one of these tests, for some reason there's a  
14 red flag going up saying this one should be  
15 treated differently, you have the option to do  
16 that if you would like.

17 DR. GOODMAN: Okay, thanks.

18 Dr. Atkinson, did you want to make a comment?

19 MS. ATKINSON: Dr. Eng had asked about  
20 the mean age of those studies; can we have the  
21 answer to that question first?

22 DR. GOODMAN: Thank you. Dr.  
23 Trikalinos, please do.

24 DR. TRIKALINOS: As a reminder, we  
25 were asking about mortality and KRAS and as you

00245

1 remember, there were nine studies that were  
2 significant in terms of hazard ratios or ratio  
3 for mortality, and the answer is the median age  
4 was above, bigger or equal to 60 in seven of  
5 them. In two of them we don't have the  
6 reporting of the median age. And also, in none  
7 of these, it was above 65. So mean ages for  
8 these nine studies, two are not reported and  
9 the remaining are between 60 and 65, or 64,  
10 let's say.

11 DR. GOODMAN: Dr. Eng, is that  
12 helpful?

13 DR. ENG: Yes.

14 DR. GOODMAN: Dr. Satya-Murti.

15 DR. SATYA-MURTI: When we say  
16 community-based settings, do we mean those who  
17 are without a university affiliation? Because  
18 many of these tests are referenced out anyway,  
19 and do any oncologists want to comment on that?

20 DR. COX: I think my take of 80  
21 percent of the patients who are treated with  
22 cancer in this country are treated in  
23 community-based centers, it could be  
24 institutional-based but not academic centers.  
25 So we may want to talk about this, but I would

00246

1 say that the conclusions are how these tests  
2 are used in hospitals in a community-based  
3 practice.  
4 DR. HAYES: This is a very real  
5 concern for the joint ASCO/CAP guidelines  
6 committee, and the first thing we found was a  
7 modestly scandalous heterogeneity of how HER2  
8 was done, and the CAP has taken that under  
9 their wing and built in proficiency testing.

10 As you saw, there are well over a thousand  
11 centers participating in that, which I think is  
12 very encouraging. So in my opinion, it's  
13 applicable in a community setting as long as  
14 the people in the community, whether a  
15 university or a private hospital, pay attention  
16 to details and do the assay correctly. I don't  
17 think there's anything specific to a university  
18 versus a non-university setting for providing  
19 the assay.

20 DR. SATYA-MURTI: You are going to be  
21 referring out most of these tests, Dr. Cox,  
22 isn't that correct? In your own practice, if  
23 you need an ABL monitoring, BCR-ABL, would you  
24 be doing it in your own laboratory or would you  
25 be sending it out?

00247

1 DR. COX: Maybe I would redirect that  
2 question to Dr. Nowak, who just presented data  
3 from the CAP survey. In our institution, a  
4 community-based institution, we send out all of  
5 these studies.

6 DR. GOODMAN: Dr. Nowak, did you want  
7 to comment on that, address this particular  
8 question on community?

9 DR. NOWAK: It depends on the assay.  
10 BCR-ABL is technically complex to do it well  
11 and that's why there are relatively fewer  
12 laboratories that do that. One needs to, if  
13 you're going to do it properly, one should have  
14 a sufficient volume to establish a laboratory-  
15 determined baseline for your patients, and in  
16 the community setting it's unlikely that a  
17 laboratory would ever establish that baseline  
18 in any reasonable time, so it's better that  
19 that test be sent out to a center that has  
20 sufficient volume and proficiency in doing it.  
21 Tests like HER2 that are done by  
22 multiple methods that are accessible to many  
23 laboratories, immunohistochemistry more so than  
24 FISH, those are done, should be done, are done  
25 in community settings.

00248

1 What's the third assay? KRAS. KRAS  
2 is a molecular test so it's less likely to be

3 done in a small community setting, but there  
4 are many large community hospitals now that  
5 have a molecular testing capability, and KRAS  
6 testing will be within their capability.

7 DR. GOODMAN: Thank you. Let me just  
8 go to Dr. Scheuner first.

9 DR. SCHEUNER: So, this is kind of  
10 related to the discussion about, as I  
11 understand and I actually have some funding  
12 from CDC to look at this, that most errors in  
13 genetic testing occur in the pre-analytic and  
14 the post-analytic phases of testing, not so  
15 much with what's happening in the lab. But for  
16 example, once a report is received by a  
17 clinician, do they truly understand what that  
18 report means. So that's some of the funding  
19 that I have at RAND, is trying to develop a  
20 model genetic test report that clinicians  
21 understand. So I do have concerns about the  
22 implementation of this in the community setting  
23 where the recipients of a lab report may not  
24 really understand what that lab report is  
25 saying, and I don't know that we have a lot of  
00249

1 evidence about that.

2 DR. NOWAK: Certainly pre-analytical  
3 and post-analytical elements -- I mean, most  
4 areas related to laboratory results are  
5 clerical errors, they occur before or after the  
6 actual testing, but the analysis is usually  
7 pretty good. Certainly CAP and the ANP are  
8 very concerned about test interpretation and  
9 the importance of having qualified individuals  
10 interpret those tests for the clinician, so  
11 your concerns are appropriate and shared by  
12 others.

13 DR. GOODMAN: Dr. Janjan?

14 DR. JANJAN: Well, this goes to level  
15 of experience of the community physicians. I  
16 mean certainly with regard to CML, that's been  
17 around for a long time and that's part of  
18 training, and anybody getting out of medical  
19 oncology residency would know how to apply that  
20 within clinical practice. Some of these more  
21 recent things, they may or may not input that  
22 into their clinical decision-making.  
23 So, you know, I don't want to, I'm not  
24 suggesting we break these out according to the  
25 different tests, but on the other hand, I think

00250

1 there will be some heterogeneity and maybe John  
2 could talk to us more about when the fulcrum of  
3 a test comes out, there's more data, and when  
4 do you say well, that's enough data, that I'm

5 going to now incorporate it into my clinical  
6 decision-making.  
7 DR. GOODMAN: Okay. Do you want to  
8 comment quickly, Dr. Cox?  
9 DR. COX: It is, I mean, a translation  
10 of what we learn in our science, the practice  
11 is one of the things that bedevils all of our  
12 professions, and certainly when it comes to  
13 diagnostic studies.  
14 The only comment I would make is it's  
15 often, I would say it's pretty dependent on the  
16 strength of the evidence. Was it about four  
17 years ago that ASCO commented about HER2 in an  
18 adjuvant setting? I would say nearly all  
19 oncologists adopted that in June after it was  
20 presented in May, because of the overwhelming  
21 strength of the evidence.  
22 Whereas you look at two of the tests  
23 we talked about, the CYP2D6 and the UGT1A1,  
24 that evidence has just languished, and I think  
25 you're right. If you were to go to a community

00251

1 oncologist and ask him how he utilized this in  
2 practice, you would get a wide variety of not  
3 knowing what to do with this data. But to me  
4 that brings some truth about the strength of  
5 evidence.

6 DR. GOODMAN: Thank you, Dr. Cox.  
7 Dr. Nowak.

8 DR. NOWAK: I think you have to  
9 distinguish between clinical utility and if the  
10 evidence is there that this is useful and  
11 should be done, that applies to oncologists at  
12 big medical centers as well as oncologists at  
13 smaller places, they should be offering those  
14 opportunities for testing and for treatment to  
15 their patients. You need to distinguish that  
16 from the quality of testing, and that's a real  
17 issue, but that's a different issue, and that's  
18 being addressed. But it shouldn't influence  
19 the strength of the evidence as to whether it  
20 is appropriate to test and is appropriate to be  
21 used in a certain kind of therapy, that should  
22 be uniform for everybody.

23 DR. GOODMAN: Fair enough. We do  
24 care, however, about the extent to which  
25 observations about impact of the test on

00252

1 outcomes do apply outside the ideal settings,  
2 accounting for many of those intervening  
3 factors. The community setting is typically  
4 different than the ideal setting, and we want  
5 to know what the applicability of the evidence  
6 is, the extent to which you can cross that

7 border, so it can be relevant.

8 So, let's do this. Oh, excuse me, Dr.

9 Fischer.

10 DR. FISCHER: This question is  
11 addressed to Dr. Jacques. First of all, as  
12 somebody who has practiced in an academic  
13 medical center all my life, but has some  
14 relationships with nonacademic medical centers  
15 as a chair who sends residents out to  
16 nonacademic medical centers, I would venture to  
17 say that the variability in academic medical  
18 centers is larger than we would say at the  
19 beginning, and that sometimes the quality that  
20 we see in a big private hospital where we send  
21 a lot of residents is better, point number one.  
22 Point number two, I know I'll probably  
23 get struck by lightning, but that's all right,  
24 point number two, from a practical point of  
25 view, Dr. Jacques, could we say this is great

00253

1 stuff, but you can do it, you can't do that, is  
2 that appropriate?

3 DR. GOODMAN: Dr. Jacques.

4 DR. JACQUES: If the panel believes  
5 that the evidence supports a recommendation  
6 from the panel that this particular technology  
7 is either nuanced enough in its science,  
8 complex enough in its implementation, or  
9 whatever, that the evidence of benefit is  
10 restricted to certain types of situations,  
11 whether that's the person doing it, the health  
12 system within which it is being done or  
13 something else like that, the committee is  
14 certainly free to make that recommendation to  
15 us.

16 As I mentioned a bit earlier, we don't  
17 have an open national coverage determination on  
18 this, so it's not like you're going to say  
19 well, gee, only these people should do it, and  
20 then tomorrow there's suddenly going to be some  
21 Medicare policy that says only certain people  
22 can do this. So the question really is about  
23 the evidence, so if you believe that based on  
24 what you know from the evidence about how these  
25 things are done and how practice happens in the

00254

1 community, at issue here, as Dr. Goodman  
2 pointed out, is not simply the test being  
3 performed in a referral center versus a  
4 community, but the whole chain, including the  
5 actuation of meaningful data, is also part of  
6 the community.

7 So whereas maybe by history one might  
8 have assumed that in an academic medical

9 center, and I also worked in one, that gee, if  
10 the oncologist and the molecular geneticist or  
11 someone else want to have a conversation if  
12 there's a nuance, gee, they'll run into each  
13 other in the hallway or whatever, and they will  
14 have that conversation, versus the busy  
15 community practitioner who may have to take  
16 time out, may have to get a phone call, may or  
17 may not get a response, et cetera, gee, it may  
18 never happen. Certainly those assumptions I  
19 think are common among physicians; whether they  
20 are true or not is arguable.

21 DR. GOODMAN: Okay. Briefly, Dr.  
22 Hayes, and then I want to kind of move to a  
23 vote on this.

24 DR. HAYES: Only to say that rather  
25 than distinguish academic versus community,

00255

1 would be accredited versus nonaccredited, and  
2 again, CAP has a really lovely accreditation  
3 system now. One would hope that perhaps  
4 funding agencies might say if you're not  
5 accredited to do this test, we're not going to  
6 pay you to do it. In that case the market will  
7 take care of itself. The places that don't  
8 want to become accredited because it takes too  
9 much time to do with relatively infrequent  
10 tests won't do it anymore, and those that do  
11 will. It won't matter if it's a large  
12 community hospital or a large academic  
13 hospital.

14 And the same thing's true for  
15 treatment in my opinion, you know, small  
16 hospitals won't do bone marrow transplants  
17 because it's too much trouble putting them  
18 together. Large hospitals, academic or not,  
19 will, because they see enough patients to do  
20 it, so I think that's really the filter.

21 DR. GOODMAN: Thanks, Dr. Hayes. Dr.  
22 Satya-Murti.

23 DR. SATYA-MURTI: A very brief  
24 question. None of the three tests we're  
25 looking at now and voting on is a CLIA-based

00256

1 test, is it not?

2 DR. GOODMAN: These are laboratory  
3 developed tests subject to CLIA, correct, Dr.  
4 Nowak?

5 DR. NOWAK: That is correct, and so  
6 they may not be among the small number of tests  
7 that are specifically mentioned in CLIA as  
8 being reportable. CAP's laboratory  
9 accreditation program basically extends that to  
10 all laboratory tests, and all laboratory tests

11 in a CAP-certified, CAP-accredited laboratory  
12 have to have proficiency testing.  
13 DR. GOODMAN: Thank you. Proficiency  
14 testing which by the way isn't outcomes  
15 testing, it's proficiency testing. And  
16 Dr. Mansfield, just to ensure that we're  
17 somewhat on track here, none of these are FDA  
18 test kits per se.

19 DR. MANSFIELD: HER2/neu has at least  
20 two approved IHC test kits, and I believe two  
21 approved FISH test kits. There is an approved  
22 BCR-ABL test kit, although I don't believe it's  
23 on the market anymore, if it ever was, and  
24 there is no approved KRAS.

25 DR. GOODMAN: Good, thank you. So in  
00257

1 at least those two cases there are some test  
2 kits available which makes them regulated by  
3 the Food and Drug Administration. Thank you  
4 for that.  
5 Let me pose the question this way.  
6 For the five tests that we've discussed, I'm  
7 going to ask you about each one and we will try  
8 to just move through this quickly, I'm going to  
9 ask you for some quick discussion and then  
10 vote. If there's anything in particular about  
11 that test that bears upon its generalizability  
12 to the community or to Medicare beneficiaries,  
13 and if someone has got a comment on that,  
14 great. If not, we'll just move on.  
15 So for example, starting with CYP2D6  
16 for breast cancer patients who are candidates  
17 for tamoxifen, is there anything special or  
18 particular about what we know about that test,  
19 the availability of evidence or what the  
20 evidence says about its impact on outcomes that  
21 would differ or is otherwise remarkable for  
22 community-based settings or in the Medicare  
23 beneficiary population, that stand out from  
24 those criteria in any way? Dr. Eng and then  
25 Dr. Teutsch.

00258

1 DR. ENG: My comments are really about  
2 the Medicare population. Studies are not  
3 really done on Medicare populations, and yet  
4 breast cancer as well as colon cancer are, I  
5 shouldn't say common, but in the Medicare  
6 population beyond 65 we have factors such as  
7 medication, you know. Most of the elderly not  
8 just have cancer, but have heart disease,  
9 diabetes, other chronic illnesses, and they're  
10 all on medications. So the problem that I  
11 have, or the concern that I have is that these  
12 studies really haven't looked at medication

13 interactions with the targeted treatments.  
14 I mean, they're all fine tests, their  
15 treatments are all point to point, they're  
16 effective, so that's really my concern.  
17 DR. GOODMAN: Was your concern, I'm  
18 sorry, applying to breast cancer tests and the  
19 colon cancer tests?

20 DR. ENG: Yes. Well, the CML and the  
21 BCR-ABL as well, but we don't see as many  
22 patients in the Medicare population with CML.

23 DR. GOODMAN: Thank you. Dr. Teutsch.

24 DR. TEUTSCH: Perhaps Dr. Mansfield  
25 can speak more clearly to some of this, but I  
00259

1 worry that particularly for the UGT1A1 test,  
2 the FDA label actually talks primarily about  
3 reduction of harm to consider it, things like  
4 that, which would suggest that people who have  
5 reasonable interest and familiarity might be  
6 aware of that, but not necessarily the fact  
7 that they don't balance harms and benefits that  
8 aren't very clear. So I think that unless  
9 you're in, I'm not saying that necessarily an  
10 academic environment will get it right either,  
11 but you've got a particular problem if this  
12 information doesn't get out efficiently to a  
13 provider, so I do have concerns about that sort  
14 of use.

15 DR. GOODMAN: Is the concern about the  
16 generalizability to the community, and/or the  
17 Medicare population?

18 DR. TEUTSCH: It's primarily to the  
19 community.

20 DR. GOODMAN: Thank you. Anything  
21 else about CYP2D6? I think that was nicely  
22 addressed.

23 Now UGT1A1 for colon cancer, anything  
24 particular or remarkable about the  
25 generalizability to the community or to  
00260

1 Medicare beneficiaries?

2 DR. TEUTSCH: I was referring to that  
3 too.

4 DR. GOODMAN: That's what Dr. Teutsch  
5 was referring to just now, good. I just wanted  
6 to make sure there weren't any other comments  
7 about that.

8 What about HER2/neu, the applicability  
9 of the evidence to the community setting and/or  
10 to the Medicare beneficiary population, any  
11 comments about that, the generalizability or as  
12 we sometimes say, the external validity of what  
13 we've got for evidence to those settings,  
14 community care and Medicare? Any further

15 comments about that? Okay.  
16 BCR-ABL, the evidence for that test  
17 insofar as it might apply to the community,  
18 anything special or remarkable we need to know  
19 about that as it might cross over from sort of  
20 a reference lab to a community setting?  
21 Seeing none, KRAS. We talked about  
22 the sufficiency of the evidence, what the  
23 evidence says. Is there anything we heard  
24 today that is remarkable with regard to, or  
25 might be remarkable with regard to a community

00261

1 setting application of that evidence, or  
2 particular to the Medicare beneficiary  
3 population? Any comments about that? Okay.  
4 I'm wondering if you want to take  
5 these as a group or one on one. Any  
6 preferences? I'm glad to take them one by one  
7 or in a group. Any comments or preferences by  
8 the panel? I don't want to lose information  
9 here but I don't want to belabor it either.  
10 DR. JANJAN: I think we should just  
11 give CMS what they want, as a group.  
12 DR. GOODMAN: As a group then, fair  
13 enough. I see concurrence both among federal  
14 employees and nonfederal employees.  
15 So this will amount to two votes then,  
16 one is going to be for community-based settings  
17 and one's going to be in the Medicare  
18 beneficiary population. And so, three says,  
19 how confident are you that these conclusions,  
20 and the conclusions we drew, remember, were  
21 about two things, the sufficiency of the  
22 available evidence as well as what the evidence  
23 said about impacts on outcomes, so we're asking  
24 you to kind of put those together and  
25 consolidate those.

00262

1 DR. MATUSZEWSKI: Is that just for the  
2 three that we found some evidence on?  
3 DR. GOODMAN: Thank you for clarifying  
4 that. It's about all five tests. For the five  
5 tests, including the two applications of  
6 BCR-ABL, how confident are you that the  
7 conclusions you drew today about availability  
8 of evidence and its impact on outcomes are  
9 generalizable to community-based settings,  
10 where one is you have very low confidence and  
11 five you have high confidence that it's  
12 generalizable.  
13 (The panel voted and votes were  
14 recorded by staff.)  
15 DR. GOODMAN: Now the same question  
16 about confidence with regard to its

17 generalizability for all the tests to the  
18 Medicare beneficiary population. So again, if  
19 you have concerns about the evidence we heard  
20 about today that is especially nonapplicable to  
21 the Medicare beneficiary population, you would  
22 want to note that, and one is low confidence,  
23 high confidence is five, generalizability to  
24 the Medicare population.

25 (The panel voted and votes were

00263

1 recorded by staff.)

2 DR. GOODMAN: Okay. So those are  
3 questions one through three pretty  
4 comprehensively. Now, in a pretty focused way  
5 here, panel, and I will say to Maria Ellis,  
6 Maria, I think it's a good bet that we'll be  
7 done by 3:45, I think that's a conservative  
8 estimate, so if you need a little bit of a time  
9 check, I've got 3:03 now.

10 MS. ELLIS: The shuttle, they said  
11 they'll try to get here at 3:30, but they know  
12 they should be here by 3:45.

13 DR. GOODMAN: So we're at the right  
14 place, then, with regard to time. It's a  
15 prediction now, it remains to be seen how our  
16 panel does.

17 So here's our discussion now, and this  
18 is not a voting question. And let me preface  
19 this as follows, and we said this at the last  
20 several MedCAC meetings. Certainly one of the  
21 important uses of MedCAC meetings is to get  
22 some kind of reading on the evidence with  
23 regard to particular kinds of technology, and  
24 that's good. One of the other very useful  
25 aspects of these meetings is to try to provide

00264

1 some signals, if you will, to the market, and  
2 by the market I'm saying the innovators,  
3 manufacturers, doctors, patients, patient  
4 advocates and so forth, so to signal your ideas  
5 about where there are some evidence gaps in the  
6 particular cases of these technologies, and  
7 more broadly.

8 So let's talk now with some nicely  
9 focused comments, having gone through the day  
10 now quite intensively, about any important  
11 evidence gaps that you've seen with regard to  
12 these technologies today, these five tests, and  
13 any recommendations that might accompany those  
14 observations about how they should be  
15 addressed. And again, no Power Point allowed,  
16 no long dissertations. Please zero in if you  
17 can on important evidence gaps and what we  
18 might do about them. And let's start with

19 Dr. Mansfield.  
20 DR. MANSFIELD: With reference to 2D6  
21 testing for tamoxifen, one of the areas that I  
22 see that appears to be very lacking is  
23 standardized genotype-phenotype interpretation.  
24 I believe that it would be valuable for the  
25 community to agree on the genotype-phenotype

00265

1 interpretation, and for all subsequent studies  
2 to use the same standard.  
3 DR. GOODMAN: Genotype and phenotype  
4 differentiation all to use the same standard  
5 for the CYP2D6, correct? Thank you,  
6 Dr. Mansfield. Dr. Teutsch is next.  
7 DR. TEUTSCH: Two suggestions. One  
8 is, it would really help to have quantification  
9 of the absolute benefits and harms for each of  
10 these things and the downstream consequences so  
11 we could see what the tradeoffs actually were,  
12 and particularly for relevant subgroups. The  
13 other is because we're interested in decision  
14 science here as well as evidence synthesis, it  
15 would be helpful to have some clear sense of  
16 what the decision models look like and what the  
17 consequences are likely to be, so we can  
18 appreciate the relevance to clinical practice  
19 and be clearer about the scenario in which they  
20 are appropriate.  
21 DR. GOODMAN: Okay. Dr. Teutsch, I  
22 want to make sure we don't lose that. Can you  
23 just, I think you made four or five requests.  
24 Can you run them through them again?  
25 DR. TEUTSCH: I can only count two.

00266

1 DR. GOODMAN: I thought I heard more.  
2 Give us what you can recall, and I just want to  
3 be sure we're clear about this.  
4 DR. TEUTSCH: One was to have some  
5 outcomes tables or whatever you like, but a  
6 table that will illustrate what the absolute  
7 magnitudes of benefits and harm were for each  
8 of these tests. And they can be done in  
9 various ways, we get the sense of NMCs and that  
10 sort of thing.  
11 The other was because we're in  
12 decision science in the clinical world, it  
13 would be helpful to have what the cascade of  
14 events were and how the decisions were going to  
15 influence that over time, so we could  
16 understand what the likelihood of errors or  
17 interpretation, other kinds of things were on  
18 the impact of the utilization in the real world  
19 practice.  
20 DR. GOODMAN: Great, thank you. So it

21 would be outcomes tables with specific  
22 information about the outcomes, and what you  
23 called a cascade of events, which really can  
24 comprise a decision model.

25 DR. TEUTSCH: Yeah. I'm not saying

00267

1 that these don't have an economic aspect that's  
2 not within our standards on decision-making,  
3 but for the other aspects it would be helpful  
4 what the decision model looks like for the  
5 relevant tests.

6 DR. GOODMAN: Great, thank you very  
7 much. Dr. Eng is next.

8 DR. ENG: The areas that I would like  
9 to see, or I would consider gaps, and even the  
10 tests with the most robust and strong evidence  
11 are, in no particular order, I did not hear  
12 today nor do I see in the reading the impact of  
13 heterogeneity or ethnicity on response of any  
14 genotype toward a targeted drug. Most of the  
15 studies were in Caucasian populations, very few  
16 in Hispanic populations, and I think, you know,  
17 fewer in African-American, some Asian. And I  
18 think this becomes important when you consider  
19 the effect on the Medicare population, and we  
20 know that the Medicare population is  
21 increasingly more diverse. So that's one.  
22 The second gap I think, though it's  
23 not, it doesn't sway me from believing that  
24 these tests are applicable to the Medicare  
25 population, I do think that there should be

00268

1 more studies in those who are older, because  
2 there are so many morbidities that happen in  
3 the older population, not just cancer but other  
4 illnesses, so the conditions might intersect  
5 and the comorbidities could confound, you know,  
6 the response to the targeted treatments.

7 And finally, I do believe that we need  
8 more studies on the drug-drug interactions,  
9 particularly if we are going to be looking at  
10 the Medicare population, older population. We  
11 don't know whether the multiple drugs that the  
12 elderly are taking for their other chronic  
13 illnesses will in any way enhance the benefits  
14 or enhance some of the harms of the targeted  
15 treatments.

16 DR. GOODMAN: Thank you, Dr. Eng. So  
17 then, it was capturing heterogeneity including  
18 ethnicity, ethnicity in an area where there's  
19 already little evidence for anyone, let alone  
20 the groups that you cited. The Medicare  
21 beneficiary population, including the aged.  
22 And of course, drug-to-drug interactions and

23 the issue of comorbidities. So nearly all  
24 these deal with the matter of heterogeneity and  
25 more evidence needed there. Thank you. Dr.

00269

1 Janjan is next.

2 DR. JANJAN: Thank you. My thought on  
3 this is that we need better data with regard to  
4 functional outcome. The whole point of doing  
5 this personalized medicine is to reduce  
6 toxicity, improve function, and overall  
7 outcomes. The cost of cancer care is greater  
8 in lost productivity than it is to deliver  
9 care, and I think if we're giving therapies  
10 that cause toxicity, especially if they have no  
11 effect, that's the worst of all situations. So  
12 the goal of all of these studies is to identify  
13 the patients who are going to respond and in  
14 who we can avoid toxicity. That should be the  
15 focus of every clinical trial, and how patients  
16 are responding to these drugs for their own  
17 personal view of their quality of life under  
18 these treatments. That should be included in  
19 all upcoming trials because, you know, futile  
20 care is probably the worst we could probably  
21 administer, so I would appreciate future trials  
22 to address that.

23 DR. GOODMAN: Thank you. So when we  
24 do talk about health outcomes, be very specific  
25 with regard to functional outcomes, avoidance

00270

1 of toxicity, matters of quality of life and, as  
2 you said, keep that goal in mind.

3 DR. JANJAN: Right.

4 DR. GOODMAN: Thank you, Dr. Janjan.

5 Dr. Cox is next, followed by Dr. Fischer.

6 DR. COX: One of the things that  
7 intrigued me today about the presentation from  
8 Tufts was the idea of repurposing prospective  
9 randomized trials. I made a comment to some of  
10 my colleagues at lunch that six, seven, just a  
11 few years ago, many of the trials that we  
12 participated in in community practice, we were  
13 not collecting tissue in the past. Now all of  
14 the trials we participate in, whether they're  
15 phased early trials or randomized trials, we're  
16 collecting tissue that can be tagged or tapped  
17 to do just what Dr. Janjan discussed. I just  
18 really see this as a well, especially when  
19 you're looking at hard questions, that this  
20 idea of looking in our genomes, or looking for  
21 polymorphisms in genomes when you need a large  
22 database, that you know the clinical  
23 correlates.

24 So I guess my question, where I see

25 the gap is maybe for folks like me. I need to  
00271

1 understand the methodology a little better  
2 about what you mean by repurposing these  
3 trials, and think that could be a real help.  
4 DR. GOODMAN: Thank you. So you're  
5 asking a methodological question raised in part  
6 by the discussion of the repurposing of RCTs.  
7 Dr. Trikalinos, I saw you stand up with an  
8 apparent attempt to answer Dr. Cox's point.  
9 Did you have something to say?

10 DR. TRIKALINOS: I was quick to sit  
11 down.

12 DR. GOODMAN: You were quick to sit  
13 down, and for you that's okay, since you've  
14 done a lot of standing up today. So you didn't  
15 want to comment on that necessarily?

16 DR. TRIKALINOS: I just wanted to  
17 clarify that when I was speaking about  
18 repurposed RCTs, and this was in the slides, I  
19 was speaking about their ability to inform us  
20 on pharmacogenetic associations, are they  
21 present or absent, as I clarified in the slide  
22 but I didn't dwell on it. They do not inform  
23 on outcomes and they do not inform on the  
24 impact of treatment decisions, so essentially  
25 the kind of data that you are alluding to,

00272

1 repurposing cannot give them to you.

2 DR. GOODMAN: Thank you, Dr.  
3 Trikalinos. Dr. Fischer was next and then back  
4 to Dr. Teutsch.

5 DR. FISCHER: As we talk about  
6 functional outcomes and as we talked about  
7 older patients with their comorbidities, it is  
8 remarkable to me that one of the -- and the  
9 Karnofsky scale in outcomes from the  
10 chemotherapeutic agent -- it is remarkable to  
11 me that one very very critical aspect of the  
12 patient is almost never mentioned, and that's  
13 nutritional status, especially in this  
14 particular disease.  
15 Now you know, we used to, in the  
16 surgical literature one always relates it to  
17 nutritional status, to serum albumin or serum  
18 transferrin. Europeans have a very different  
19 view of serum albumin and of CRP, that the  
20 impact of inflammation, quote-unquote, whatever  
21 they call it, and in some of the European  
22 literature they write that cholesterol gives  
23 rise to inflammation, but there's this nebulous  
24 concept. I think the nutritional status or the  
25 inflammatory status or whatever you want to --

00273

1 one of the people who was in my lab for three  
2 years, now years later is the principal  
3 exponent of albumin as a surrogate measure for  
4 inflammation, or low albumin. So I think he's  
5 a traitor, but that's okay.  
6 I think that's totally neglected, and  
7 as long as you're looking at functional  
8 outcome, I think that that particular aspect of  
9 the status at the beginning of treatment and  
10 what happens at the end of the treatment, if  
11 you want a reasonable functional outcome, that  
12 has to be included, I believe. And you have  
13 the patient who crawls in there at 120 pounds,  
14 you're not going to do much for him.

15 DR. GOODMAN: So nutritional status as  
16 a cofactor in looking at the baseline.

17 DR. FISCHER: Followed, at the  
18 baseline and in follow-up, with some very  
19 simple biochemical tests.

20 DR. GOODMAN: Thank you, Dr. Fischer.  
21 Dr. Teutsch is next, and then Dr. Juhn.

22 DR. TEUTSCH: We talked about the  
23 actual preferences and shared decision-making  
24 up front in the use of these tests. So we talk  
25 here about the importance of these tests in

00274

1 clinician decision-making, but that really  
2 needs to be done in the context of shared  
3 decision-making with patients. And  
4 particularly as you deal with the elderly, we  
5 talked about comorbidities, we talked about  
6 people with perhaps limited life spans, but  
7 clearly all of us have preferences and values,  
8 and it would be very informative to know to  
9 what extent the information about these tests  
10 actually use an informed patient's decisions  
11 that deal with the use of tests and as we would  
12 say with probably everything that is done with  
13 cancer therapy. So it's just an important and  
14 oftentimes we think that there's patient-  
15 related outcomes, but patient preference in  
16 terms of the decision-making process.

17 DR. GOODMAN: So we need enough  
18 evidence to inform those, to allow for those  
19 patient preferences to occur or be stressed.

20 DR. TEUTSCH: And we need to know how  
21 patients feel about it and how you can better  
22 inform them, and do these tests play an  
23 important role or secondary role, that sort of  
24 thing.

25 DR. GOODMAN: The impact of the test

00275

1 on patient behavior. Dr. Teutsch, before we  
2 leave you on this point, though, I want to

3 recall to you your concern about the lack of  
4 comparisons from one test to another. I wonder  
5 if you could just recapture that in a nugget  
6 for us before we move on.

7 DR. TEUTSCH: Sure. I mean, we were  
8 looking at these tests pretty much in isolation  
9 and whether they inform our understanding of  
10 disease. But most of these are happening in a  
11 clinical context where there are alternatives,  
12 and what we really care about is the  
13 incremental benefit or harm compared to the  
14 alternative process that would take place, you  
15 know, sort of what would be the next best  
16 alternative, so we can understand what the  
17 incremental benefit is, and as I said, that  
18 would be the absolute benefits and harms  
19 compared to alternative ways to manage the  
20 patient.

21 DR. GOODMAN: And you were or were not  
22 satisfied with the amount of evidence with  
23 regard to those concerns?

24 DR. TEUTSCH: I was not.

25 DR. GOODMAN: You were not, okay.

00276

1 DR. TEUTSCH: And again, I'm not an  
2 oncologist, so there may be, the oncologists  
3 that we heard from and others can inform these  
4 kinds of things, they may know. But at least  
5 for me as a person just looking at the evidence  
6 that was put before us, it wasn't as if you  
7 didn't use KRAS and you decided to, there was  
8 some other really great chemotherapy out there,  
9 you would ask why are you testing at all.

10 DR. GOODMAN: Thank you for that, Dr.  
11 Teutsch. Dr. Juhn.

12 DR. JUHN: So, I will revisit the item  
13 that I brought up this morning, which is  
14 related to some of the methodological issues  
15 such as heterogeneity, such as kind of the data  
16 mining concerns and multiple comparison  
17 concerns. This suggestion is not so much for  
18 other investigators doing studies for these  
19 particular diagnostic tests, it's really for  
20 our colleagues at Tufts and their colleagues in  
21 the evidence-based practice centers and perhaps  
22 this is something that AHRQ might want to look  
23 into, which is, are there different  
24 methodologic considerations for doing  
25 technology assessments for these types of

00277

1 gene-based diagnostic tests and future  
2 gene-based therapies, just given all of the  
3 unique statistical and analytical concerns.  
4 The concern that I raised this morning

5 I'll just repeat, which is, are we trying to  
6 use a methodologic framework for doing  
7 technology assessments for the diagnostic  
8 testing that may work in a setting like A1c  
9 testing for diabetes, are we trying to use that  
10 same framework for a set of diagnostic tests  
11 that may have many complexities far beyond A1c?

12 DR. GOODMAN: Dr. Juhn, you still  
13 would agree, I think based on your earlier  
14 comments, the evidence framework  
15 notwithstanding, somehow it's got to show how  
16 to get from a test to a healthier patient, or  
17 better patient outcome.

18 DR. JUHN: Absolutely. It's not so  
19 much the causal change that I'm focusing on.  
20 What I'm commenting on is really how do we  
21 assign different criteria for the importance of  
22 the methodologic considerations. Because the  
23 way that, and I'm hoping that the technology  
24 assessments will be used by the investigative  
25 community, is that they will see what plays

00278

1 well or what scores well in the technology  
2 assessment and what doesn't, and by that type  
3 of understanding that they then will make, give  
4 more consideration to some of these factors in  
5 the absence of having that kind of framework.

6 DR. GOODMAN: Thank you for that.  
7 Next is going to be Dr. Mansfield, Dr. Pao and  
8 Dr. Hayes.

9 DR. MANSFIELD: So, this is a  
10 technical issue and I'm making this plea sort  
11 of as an FDA employee, but I think in these  
12 clinical studies, bio-specimens, appropriate  
13 ones, whether they be blood or tumor or  
14 whatever, to the greatest degree possible  
15 should be retained, well annotated with  
16 clinical outcome, polypharmacy information and  
17 so on, so that we can actually do more  
18 retrospective looks at these types of disease,  
19 or drug test associations.  
20 I know the FDA is on the verge of  
21 requesting this for registration trials. I  
22 know that Carol Thompson at NCI is doing a lot  
23 of work on bio-specimen collection and  
24 handling, annotation and so on, and I think  
25 that will make some of these evidence questions

00279

1 a lot easier to answer in the future.  
2 DR. GOODMAN: Great, good point. And  
3 it has methodological relevance because if you  
4 don't want to have to do prospective trials or  
5 RCTs in many instances, then you've got to have  
6 some rigorous data from retrospective studies,

7 and in the case of laboratory testing and  
8 pharmacogenetic testing in particular,  
9 bio-specimen archiving can come in real handy.  
10 This is a good use for those kinds of  
11 retrospective applications. Thank you, Dr.  
12 Mansfield. Dr. Pao.

13 DR. PAO: I just wanted to make a  
14 point that the data has been very eye opening  
15 and informative, but it's been under, I guess  
16 in this room, it would be great if all this  
17 information could be sent to the people who are  
18 actually running the trials so they run them in  
19 the proper manner. In conjunction with that,  
20 there's about 861 drugs in development right  
21 now for cancer and so there's many many  
22 diagnostic tests and platforms coming out, and  
23 to have each one of these assessed in this  
24 manner is going to take a lifetime. So if  
25 there was some kind of dissemination or

00280

1 agreement upon how trials could be run and how  
2 these specific genetic tests should be used in  
3 these trials, it would be great, so we wouldn't  
4 have to reinvent the wheel every time.

5 DR. GOODMAN: Thank you, Dr. Pao.

6 Well, if nothing else, but I'm sure there will  
7 be more, the comment you just made has been  
8 captured by our court reporter which is going  
9 to show up in a transcript somewhere, which  
10 means that it's going to be citable, but we  
11 hope that you will do much more of that.  
12 Dr. Hayes, and then I believe Dr.

13 Jeter.

14 DR. HAYES: I have three  
15 comments/suggestions. The first, I think we  
16 should all take Dr. Voigt's comments this  
17 morning to heart, which is that sometimes I'm  
18 concerned that a committee like this will truly  
19 dampen enthusiasm for innovation by being too  
20 regulatory. The flip side of that is that  
21 these tests are becoming increasingly more  
22 important in taking care of patients. It's not  
23 like a hemoglobin where you can repeat it a few  
24 times, but in fact we're talking about either  
25 withholding or treating patients with very

00281

1 expensive and toxic, but quite effective drugs,  
2 and so I have no problem actually raising the  
3 bar to a level that almost equals that of a new  
4 drug.

5 Having said that, I think what  
6 Dr. Teutsch has talked to us and taught us  
7 about over the last two or three years  
8 regarding the three cornerstones of

9 diagnostics, analytic validity, clinical  
10 validity and clinical utility, are terms that  
11 need to be really ingrained in everyone's brain  
12 who is doing this research and taking care of  
13 patients. Over and over again I think we see  
14 lousy analytical validity so we can't figure  
15 out whether the assay is any good or not, we  
16 heard that today. We see confusion between  
17 clinical validity, gosh, I see a P value of .04  
18 separating two curves, and clinical utility  
19 which is, gee, I actually designed a study to  
20 tell me whether or not this test helps me take  
21 better care of the patients.  
22 And it is the latter that is much more  
23 important but it's the former that gets  
24 promoted, and I fear those two get confused  
25 often. This committee I think could go a long

00282

1 way in making it clear that we're looking for  
2 clinical utility and not clinical validity.  
3 That can be prospective, and as we heard it can  
4 also be used in archived samples. And Dr.  
5 Mansfield's comments were terrific. Rich  
6 Stein, Cindy Bacon and I just published a paper  
7 about it's okay to use archive samples, but  
8 there's a hierarchy there as well, and some of  
9 those studies are lousy and some of the studies  
10 are very good, and you have to be aware of  
11 those.  
12 My second comment is how these results  
13 are published, there's an incredible  
14 publication bias. And it's interesting that we  
15 discipline ourselves here based on using only  
16 peer reviewed published data, which I'm all  
17 for, but the data I think are poorly edited.  
18 And in addition, the editors frequently will  
19 not take negative studies, they only want  
20 positive studies, especially the high impact  
21 journals. Which means that the lesser, the  
22 negative studies which are very important, are  
23 usually published in a lower impact journal  
24 where you tend not to see them. And I think  
25 this committee has gone a long way in sending a

00283

1 message to the editors of the high impact  
2 journals that a negative marker study is every  
3 bit as important as a positive study, to  
4 encourage investigators to focus on their  
5 negative data.  
6 And to use the so-called REMARK  
7 criteria that Lisa McShane and her colleagues  
8 worked very hard on developing. Several  
9 journals have said that they will use these  
10 REMARK criteria for publication, and yet the

11 editors ignore them completely.  
12 DR. GOODMAN: Dr. Hayes, let me just  
13 stop you. The REMARK criteria, could you  
14 explain the acronym, please?  
15 DR. HAYES: It's R-E-M-A-R-K, and it  
16 has to do with reporting tumor marker data in a  
17 way that you actually tell where those patients  
18 came from, where the samples came from, how  
19 they were stored, how the assay was done, a  
20 whole set of things, and Lisa McShane, et al.,  
21 there was a committee that put these together.  
22 They've been published in five or six journals  
23 now that have adopted these, but they actually  
24 have ignored them once they've adopted them, if  
25 you want to know the truth. And over and over

00284

1 again I see journals that say we've adopted  
2 these, and then a paper is published that has  
3 completely ignored the REMARK criteria.  
4 DR. GOODMAN: Okay, the REMARK  
5 criteria. You were about to close on a final  
6 point?  
7 DR. HAYES: Yes. Finally, of all the  
8 things we've seen, obviously we'd all like to  
9 see the CYP2D6 data come. I can tell you with  
10 a conflict of interest, those data are coming  
11 down the pike and we're going to have a lot  
12 more data regarding the choice of tamoxifen  
13 versus other agents using archived samples from  
14 randomized trials in the next year or less, so  
15 we should have data for that.  
16 The second real gap, I think, is how  
17 to use intermediate or, if you will, the  
18 clinical scores for HER2. We've assumed that  
19 these drugs don't work in patients who are one  
20 plus or two plus positive and FISH negative,  
21 but we actually don't know that, and there are  
22 a couple of signals from the randomized trials  
23 that patients who got into those trials because  
24 they were called positive somewhere else, when  
25 tested centrally, were negative with no

00285

1 benefit. This is a huge area, because it could  
2 be really expanding the education for these  
3 very effective drugs, so that's an area that I  
4 would like to see proper analysis.  
5 DR. GOODMAN: Great, thank you very  
6 much for those three points, Dr. Hayes.  
7 Dr. Jeter.  
8 DR. JETER: Thank you. I have been  
9 quiet to this point because I felt I was a  
10 guest and this was a first-time experience for  
11 me, and I thank Louis for the opportunity. One  
12 of the comments that I want to make is that we

13 received a huge volume of material to go  
14 through to make assessments today for five  
15 assays. As a contract medical director I'm  
16 here to tell you that in the pipeline right  
17 now, there are easily 1,500 molecular assays  
18 that everybody is dying to get coverage for.  
19 And I know this is not a coverage  
20 meeting, but I think that the extent to which  
21 this committee has gone to, the MedCAC, to pull  
22 data together, to have these TEC assessments,  
23 gives you an idea of what really needs to be  
24 available to the contract medical directors,  
25 not necessarily to this extent. And what we're

00286

1 seeing at our end is, you know, one or two  
2 marginal to poor articles that are published in  
3 the literature with, you know, minimal sample  
4 size, that kind of stuff, with everybody  
5 clamoring.  
6 And I understand the whole concept  
7 that there isn't a pile of money to run all  
8 these trials and everything, but this gives you  
9 an expectation of what CMS and the whole  
10 medical community wants and needs, and that is  
11 evidence-based decision-making. With that,  
12 I'll end my comment.

13 DR. GOODMAN: Dr. Jeter, we're not  
14 going to let you off the hook there. So there  
15 are many tests in the pipeline that are fast on  
16 the way to your desk, and you expressed in a  
17 very nicely summarized way how limited the  
18 evidence is typically that you see. Does the  
19 absence of evidence or those limitations in  
20 evidence, are you ready to say no in a lot of  
21 those cases?

22 DR. JETER: I have. We have.

23 DR. GOODMAN: We have. Thank you for  
24 that.

25 DR. JETER: And we're under the gun

00287

1 for that. I mean, we've got non-coverage  
2 policies out there that are in draft right now,  
3 and any number of organizations are clamoring,  
4 you know, that for whatever reason, that  
5 there's sufficient data, and there isn't  
6 sufficient data for many of these. And almost  
7 all of the molecular assays, none of them have,  
8 or I should say all but a couple of them have  
9 any clinical utility. They have the analytical  
10 and the clinical validity, and some of that  
11 isn't even published, you have to beg, borrow  
12 and everything to get that out of the  
13 companies, because they're claiming that it is  
14 all proprietary, and we understand the whole

15 concept of proprietary. But without that, we  
16 can't make an assessment or any kind of  
17 determination.  
18 DR. GOODMAN: Okay, thank you, Dr.  
19 Jeter. For those in the marketplace,  
20 innovators, manufacturers, patient groups,  
21 physicians, providers, that sounds like a  
22 pretty clear signal to me from a  
23 well-recognized payer. Thank you for that.  
24 Dr. Mansfield has done a little side  
25 research on REMARK, and we're doing this in  
00288

1 particular for our court reporter. Would you  
2 tell us what REMARK stands for?  
3 DR. MANSFIELD: Yes. REMARK stands  
4 for REporting recommendations for tumor MARKer  
5 prognostic studies, and it's a paper by Lisa M.  
6 McShane, M-C-S-H-A-N-E, et al.  
7 DR. GOODMAN: Thank you for that, Dr.  
8 Mansfield.  
9 With that, as we want to make sure,  
10 the MedCAC wants to make sure that the very  
11 fine people that have spent their full day with  
12 us here in the CMS auditorium have been heard.  
13 Have we as a panel missed or not heard any very  
14 important points that are directly addressing  
15 our evidence question today? Did we miss  
16 anything big or important? We hope you won't  
17 walk out of the room saying well, they forgot  
18 to talk about X, and that should have been  
19 right up their alley. What important things  
20 might we have missed today with regard to  
21 answering these questions about the evidence  
22 with regard to those five tests or their  
23 generalizability, anything important here?  
24 Yes, sir, and please do come to the  
25 mic with a brief comment about that. There's  
00289

1 another woman here who will go second, and if  
2 you could keep it to a sentence, we would be  
3 very appreciative, or two short sentences, and  
4 give us your name first.  
5 DR. AVERBUCH: I'm Steve Averbuch, I'm  
6 a vice president of oncology clinical research  
7 and head of pharmacodiagnosics at  
8 Bristol-Myers Squibb. Just that I wanted to  
9 make a couple comments about specific cells in  
10 the BCR-ABL discussion.  
11 DR. GOODMAN: First give us the main  
12 point.  
13 DR. AVERBUCH: The main point is that  
14 in terms of the TEC assessment and the point  
15 mutation discussion, we talked about the T315I  
16 but we didn't discuss the other point mutations

17 that lead to therapeutic decision-making. So  
18 for example, Dasatinib was actually, the  
19 scientific rationale for its development was  
20 based on mutations that conferred resistance to  
21 imatinib, so I just want to make that point.  
22 I think it also skews the technology  
23 assessment in terms of the assessment in terms  
24 of those point mutations.  
25 DR. GOODMAN: Thank you.

00290

1 DR. AVERBUCH: And then with respect  
2 to the molecular monitoring assay for BCR-ABL,  
3 I wouldn't dispute anything that people said  
4 here, just remind the panel that the gold  
5 standard is still cytogenetic outcomes, and  
6 that's the gold standard for regulatory review  
7 and approval as well as other hard outcomes  
8 such as survival. So whereas there may be  
9 clinical application of molecular monitoring,  
10 the health outcome data in terms of hard  
11 endpoints of survival and total outcomes is not  
12 there for the molecular monitoring.

13 DR. GOODMAN: The evidence is not  
14 there for molecular monitoring, and you made  
15 your point about the point mutations. That was  
16 acknowledged by our panel, we did say we were  
17 going to focus on that one. Thank you for  
18 that. Yes, your name?

19 MS. COLLINS: My name is Sarah  
20 Collins, I'm president of PharManage. I think  
21 this has been addressed but I want to be a  
22 little more prespoken, or blunt. I think this  
23 is all, this issue that there is an original  
24 risk to the originators, and I think this is  
25 spoken to in the importance of FDA approval,

00291

1 since there are imitators, frequently called  
2 home brew, and so whether a Quintiles or  
3 LabCorp do these and the accuracy is increased,  
4 the importance of that is increased by managed  
5 care or other contracts with the large labs.  
6 So I just wanted to make that point as much for  
7 the people in the back, as well as for this  
8 audience.

9 DR. GOODMAN: Great, thanks. There's  
10 no front and back, we're all in the same room.  
11 Thank you very much.  
12 The last point of business for the  
13 panel, and I will give Dr. Pao a warning here,  
14 everybody on the panel gets the last word. And  
15 so what we want to ask at this point is, in one  
16 sentence or bullet point, is this. What's,  
17 even if you said it before, what's the single  
18 most important point you want to make about

19 evidence for these tests to either CMS or those  
20 in the market who make or use these tests? So  
21 it's about evidence, it's about these tests;  
22 what's your last word, that single most  
23 important point you want to make to our host  
24 here at CMS or to those assembled here? And  
25 we'll start with Dr. Pao and come back this

00292

1 way.

2 DR. PAO: Well, it's become evident  
3 from today that if you're going to have a test  
4 with clinical utility, it better affect a  
5 patient's health outcome.

6 DR. GOODMAN: Thank you, Dr. Pao.  
7 Dr. Mansfield.

8 DR. MANSFIELD: I think it would be  
9 immensely valuable, to the degree it's  
10 possible, for CMS and FDA to work together so  
11 that registration trials for drugs and  
12 diagnostics will also yield information that  
13 will fulfill our evidence requirements.

14 DR. GOODMAN: Thank you, Dr.  
15 Mansfield. Dr. Jeter.

16 DR. JETER: Just that we have to have  
17 clinical utility for coverage.

18 DR. GOODMAN: Thank you, Dr. Jeter.  
19 Dr. Juhn.

20 DR. JUHN: Tip of the iceberg, this is  
21 just the beginning.

22 DR. GOODMAN: Thank you very much, Dr.  
23 Juhn. Dr. Teutsch.

24 DR. TEUTSCH: I think we still need  
25 more work on the evidence standards,

00293

1 particularly how they need to be adapted to  
2 different clinical situations.

3 DR. GOODMAN: Thank you, Dr. Teutsch.  
4 Dr. Scheuner.

5 DR. SCHEUNER: I think my comment is  
6 similar to Dr. Teutsch's. I think we need to  
7 have studies that examine the alternatives so  
8 that we can really have a bigger picture and  
9 put this in context when we think of clinical  
10 utility.

11 DR. GOODMAN: Excellent.  
12 Dr. Matuszewski.

13 DR. MATUSZEWSKI: My comment is for  
14 CMS and FDA to partner with the Medcos and  
15 Caremarks of the world. CVS Caremark went out  
16 and bought their own company's generation house  
17 because they think that's how important it is.  
18 And again, it's amazing that sort of the  
19 private side of healthcare payers is way, way  
20 ahead of CMS in this case. Usually it's sort

21 of everybody waiting to see what CMS is going  
22 to do, but not this time.

23 DR. GOODMAN: Thank you, Dr.

24 Matuszewski. Dr. Kaul.

25 DR. KAUL: I think we heard some

00294

1 really eloquently done TEC assessments  
2 comparing disparate testing data to try to make  
3 sense out of this. The pathologist in me would  
4 remind people to take a hard look at how  
5 samples were collected, how they were  
6 sub-dissected and what the technology for the  
7 analysis is, because sometimes just the  
8 analytical factors are going to affect the  
9 results.

10 DR. GOODMAN: Thank you, Dr. Kaul.

11 Dr. Janjan.

12 DR. JANJAN: Clinical utility is where

13 it is and if we can't make good clinical

14 decisions based on this data, it's useless.

15 DR. GOODMAN: Thank you, Dr. Janjan.

16 Dr. Hayes.

17 DR. HAYES: I made my comments

18 earlier.

19 DR. GOODMAN: Thank you, sir.

20 Dr. Fischer.

21 DR. FISCHER: Thank you. As somebody  
22 who sits on multiple editorial boards and from  
23 what I saw today, there does need to be some  
24 uniform way of reporting for patients exactly  
25 what the outcome of these 1,500 tests that

00295

1 everybody is rushing to put together, and it  
2 either comes to us or somebody else to approve  
3 or disapprove, but you can't if the data is not  
4 there. So clinical outcomes, survival,  
5 recurrence, we all mention all these things,  
6 and I wonder whether CMS or this group can say  
7 okay, if this is what you want, this is what  
8 you're going to have to tell us, and that would  
9 help everybody.

10 DR. GOODMAN: Thank you, Dr. Fischer.

11 Dr. Eng.

12 DR. ENG: When I started reviewing the  
13 literature for this MedCAC I began to worry  
14 that the field of personalized medicine might  
15 become elite for those with money. And then I  
16 thought about, well, in order to make this  
17 equitable and be available to everyone, we  
18 really do need evidence, because good evidence  
19 will convince providers, and here I will say  
20 physicians, to be able to say, to be able to  
21 provide that kind of care to all their  
22 patients, not just the ones who come knocking

23 on their door saying I read this somewhere,  
24 give me this test. And the physician may be  
25 able to say well, I know you read this

00296

1 somewhere but here's the evidence, it won't  
2 work for you. So I think that we're very far  
3 from that time, but to actually have reviewed  
4 the tests that we did review today, because  
5 there is some good evidence, but I think going  
6 from here to the point where we make this  
7 available to all our patients, not just  
8 Medicare patients, is a long way to go.

9 DR. GOODMAN: Thank you, Dr. Eng. Dr.  
10 Cox.

11 DR. COX: It's hard to add anything to  
12 what's been said. I appreciate CMS using this  
13 as a focal place to cry for evidence. I also  
14 appreciate the Agency and FDA continuing to  
15 work together. One of the confusing things for  
16 docs in practice is to be challenged by a  
17 laboratory who presents into my office hawking  
18 a test and claiming that it's FDA-approved,  
19 when it's very difficult then to look beyond  
20 the FDA approval to its real clinical utility.

21 DR. GOODMAN: Thank you, Dr. Cox. Dr.  
22 Atkinson.

23 MS. ATKINSON: I just want to add on  
24 to what Dr. Eng had said earlier about making  
25 sure that research gets done in this Medicare

00297

1 population. But in addition to that is making  
2 sure that when it's done in the Medicare  
3 population, that we're not just looking at the  
4 healthy robust older adults but the frailer  
5 older adults as well, and really looking at  
6 barriers to practice. Why, if the research is  
7 out there and the evidence is strong, why are  
8 we not doing this, so what are the barriers to  
9 practice and then the barriers to acceptance by  
10 the population that we're serving.

11 DR. GOODMAN: Good, thank you, Dr.  
12 Atkinson. Dr. Satya-Murti.

13 DR. SATYA-MURTI: I have the last  
14 word, all right. In addition to everything  
15 that has been said, I think in clinical  
16 oncology the outcomes are not equally weighted.  
17 I think it's a special field where deaths and  
18 progression-free survival, and patient-reported  
19 quality, I think we need to have some kind of  
20 weighting on these in some measured nuanced way  
21 of what's important, and just survival may not  
22 be as applicable in certain circumstances. So  
23 certain weighting of the outcomes might be  
24 good.

25 DR. GOODMAN: Thank you,

00298

1 Dr. Satya-Murti. I am going to give a few  
2 closing comments before I turn it back to  
3 Dr. Jacques. First of all, I thank the panel  
4 very much. I think it's been enlightening for  
5 all, and hope it's been enlightening for  
6 everybody in the room today.  
7 And just a couple of closing remarks.  
8 Tip of the iceberg is right, and the tip of the  
9 iceberg that we've seen so far in many ways has  
10 been scientifically extraordinary. The  
11 sequencing of the human genome is now seven  
12 years past, I guess it is, and there can't be a  
13 person in this room who has not been thoroughly  
14 impressed by that, except that now that's not  
15 enough. And when you think about the kinds of  
16 signals that come out of a meeting like this,  
17 they're very clear with regard to science is  
18 great, but what we also need is clear evidence  
19 about analytical validity and clinical  
20 validity, and clinical utility, where clinical  
21 utility embraces direct evidence of or clear  
22 evidence of impact on a decision, an impact on  
23 an outcome, the way we heard outcomes described  
24 here today.  
25 The signals that come from a meeting

00299

1 like this should help to shine a brighter light  
2 for innovation, redirect it in ways, not quash  
3 it, not put it away, but help shine a light  
4 towards science and new technologies that will  
5 improve patient outcomes with substantial  
6 rigorous evidence in support of that. Not just  
7 guesses based on sensitivity and specificity,  
8 but evidence that shows improved patient  
9 outcomes in the very ways that you heard today.  
10 And the suggestions that were voiced  
11 today come not from government employees, they  
12 come from a diverse range of people in the  
13 healthcare community. So we're not the green  
14 eyeshade people, we're not the beloved  
15 bureaucrats, these are people in the community  
16 who work with these every day.  
17 I would add just one more point that  
18 wasn't made explicit today, and I did hear the  
19 phrase pile of money, I did hear some  
20 discussion about how much clinical trials and  
21 other rigorous studies cost. I think I recall  
22 that the two largest companies in the  
23 laboratory sector have a combined, or had a  
24 combined revenue in 2008 of about \$12 billion,  
25 and chances are it's a little bit higher this

00300

1 year. And so, \$12 billion is not all profits,  
2 but as I read in the Wall Street Journal at one  
3 point, \$12 billion would be the envy of a lot  
4 of global pharmaceutical companies for revenue,  
5 so there may be more funding available to do  
6 the kinds of rigorous studies that we need  
7 here. And with the signals that have been  
8 given today and the indication about the need  
9 for evidence, there can be an efficiency of  
10 those rigorous studies and the investment in  
11 those, and there will be a return on the  
12 investment that will be payable to Medicare  
13 beneficiaries and other patients.  
14 So, thank you, panel, thank you,  
15 participants. I want to thank in particular  
16 our initial speakers, Dr. Freedman and  
17 Dr. Trikalinos and his team. I want to thank  
18 the nine very patient and to-the-point  
19 prearranged speakers, who were superb. And our  
20 two signed-up speakers from today, and our two  
21 last commenters from the audience, this has  
22 been a superb input, very helpful.  
23 Dr. Jacques.  
24 DR. JACQUES: Thanks. First I want to  
25 go ahead and thank Cliff for running a very

00301

1 good meeting and also echo his thanks to the  
2 panelists as well as the presenters and  
3 attendees.  
4 I will reiterate, there are currently  
5 no open NCDs on these topics. Don't read too  
6 much into this. I'm not suggesting something's  
7 going to happen tomorrow, so go to sleep  
8 tonight, okay? It's okay. We are periodically  
9 asked, though, whether we'd consider doing NCDs  
10 in this particular space around genetic  
11 testing, and so far the only one we've done  
12 pharmacogenomic testing to determine was to  
13 predict warfarin responsiveness, and we chose  
14 that one intentionally rather than wading into  
15 cancer, arguably the warfarin issue is much  
16 simpler clinically.  
17 At the same time, one of the reasons  
18 why we wanted to have this meeting  
19 intentionally without an open decision is to  
20 get a sense of what would be the challenges  
21 that we would be facing if we actually chose to  
22 actively engage in this particular space, and  
23 look at evidence that might inform coverage  
24 policy related to genomics and cancer for  
25 various indications.

00302

1 I think some things are quite clear.  
2 One, this space is so nuanced that even the

3 development of the question itself, as well as  
4 the breadth or the scope of the question in  
5 some cases will dictate that they'll get one  
6 reply or one answer rather than another. So  
7 this has been extraordinarily helpful in that  
8 case.  
9 We intentionally chose topics where we  
10 had a sense that there would be some  
11 heterogeneity in the evidence, in fact to see  
12 how the panel and by extension the public and  
13 the stakeholder community would essentially  
14 respond when questions like that came up.  
15 I'll close with this one comment. If  
16 one views the development of genetic testing as  
17 something of a train, the community can either  
18 pull that train or CMS can push that train.  
19 Now if you pull fast enough, we will never  
20 catch up to be able to push you, so I will  
21 leave that as my advice to you.  
22 DR. GOODMAN: And with that, is the  
23 meeting adjourned? The meeting is adjourned.  
24 (Whereupon, the meeting adjourned at  
25 3:46 p.m.)