

0001

1  
2  
3  
4  
5  
6  
7  
8  
9

10 CENTERS FOR MEDICARE AND MEDICAID SERVICES  
11 Medicare Coverage Advisory Committee  
12  
13  
14  
15  
16  
17

18 February 12, 2003  
19

20 Baltimore Convention Center  
21 100 West Pratt Street  
22 Baltimore, Maryland  
23  
24  
25

0002

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

Panelists

Chairperson

Harold C. Sox, MD

Voting Members

Colleen Conway-Welch, PhD, RN  
Anne Curtis, MD, FACC  
Carole Flamm, MD  
Thomas Holohan, M.D.  
Alexander Krist, MD  
Karl Matuszewski, PharmD, MS  
Rita F. Redberg, MD, MSc, FACC

Consumer Representative

Phyllis E. Greenberger, MSW

Industry Representative

Jonathan Weil, PhD, JD

0003

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14

Panelists (Continued)

Invited Guests

Thomas Bigger, MD  
Alfred Buxton, MD  
Mark Carlson, MD  
Kerry Lee, MD  
Bruce Wilkoff, MD

HCFA Liaison

Sean R. Tunis, MD, MSc

Executive Secretary

Janet Anderson

15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

0004

1	TABLE OF CONTENTS	
2		Page
3	Opening Remarks	
4	Janet Anderson	6
5		
6	Charge to the Committee	
7	Harold Sox, M.D.	7
8		
9	Opening Remarks by CMS Liaison	
10	Sean R. Tunis, MD, MSc	18
11		
12	CMS Presentation of Implantable Defibrillators	
13	Request and Voting/Discussion Questions	
14	Joseph Chin, MD, MS	21
15	Steven Goodman, MD, MHS, PhD	31
16	Joseph Chin, MD, MS	43
17		
18	Requestor's Presentation: Guidant Corporation	
19	Joseph Smith, MD	48
20	Arthur Moss, MD	55
21		
22	Presentation from Medtronic, Inc.	
23	Marshall Stanton, MD	72
24		
25		

0005

1	CONTENTS (Continued)	
2	Committee Discussion - Questions to Presenters	80
3		
4	Scheduled Public Comments	
5	Gabriel Gregoratos, MD	120
6	Richard Cohen, MD	124
7	Theodore Chow, MD	128
8	Mark Hlatky, MD	132
9	Bruce Lindsay, MD	136
10	David Cannom, MD	141
11	John Boehmer, MD	145
12	Joanne Lynn, MD	150
13		
14	Lunch Recess	155
15		
16	Open Public Comments	156
17		
18	Committee Deliberations/Formal Remarks and	
19	Vote	166
20		
21	Closing Remarks	
22	Janet Anderson	
23		
24	Adjournment	
25		

0006

1	PANEL PROCEEDINGS	
2	(The meeting was called to order at 8:07 a.m.,	
3	Wednesday, February 12, 2003.	

4 Ms. Anderson: Good morning and welcome,  
5 chairperson, members and guests. I am Janet  
6 Anderson, Executive Secretary of the Medical  
7 Coverage Advisory Committee, MCAC. The committee is  
8 here today to hear and discuss evidence and testimony  
9 regarding the use of implantable defibrillators. The  
10 committee will make recommendations to CMS concerning  
11 the quality of the evidence for the use of the  
12 implantable defibrillators.

13 In evaluating the evidence presented to  
14 you today, CMS encourages the committee to consider  
15 all relevant forms of information, including but not  
16 limited to professional society statements, clinical  
17 guidelines and other testimony you may hear during  
18 the course of this meeting.

19 The following announcement addresses  
20 conflict of interest issues associated with this  
21 meeting and is made part of the record to preclude  
22 even the appearance of impropriety. The conflict of  
23 interest statutes prohibit special government  
24 employees from participating in matters that could  
25 affect their or their employers financial interests.

0007

1 To determine if any conflict existed, the Agency  
2 reviewed all financial interests reported by the  
3 committee participants. The Agency has determined  
4 that all members may participate in the matters  
5 before the committee today. With respect to all  
6 other participants, we ask that in the interest of  
7 fairness, that all persons making statements or  
8 presentations to this committee disclose any current  
9 or previous financial involvement with any firm on  
10 whose products or services they may wish to comment.  
11 This includes direct financial investments,  
12 consulting fees and significant institutional  
13 support.

14 I now would like to turn the meeting over to Dr.  
15 Sean Tunis, providing that the mike works, who will  
16 give his opening remarks. Then Chairman Dr. Hal Sox  
17 will ask the committee members to introduce  
18 themselves and to disclose for the record any  
19 involvement with the topic to be presented today.

20 Dr. Tunis: Hal, why don't you go ahead.

21 Dr. Sox: Thank you. My name is Hal Sox  
22 and I will be chairing the panel today. And I'm  
23 going to start off by asking each person who's on the  
24 panel to introduce themselves, say who you are, what  
25 you do, and if you could, if you have any financial

0008

1 connection with the subject at hand, this is the time  
2 for you to tell us so that everybody understands  
3 that. Then I'm going to make a few remarks about the  
4 process today, and then we'll hear from Sean.

5 So, why don't we begin with Dr. Bigger.

6 Dr. Bigger: I'm Tom Bigger, from Columbia  
7 University, and through the years I have had grant  
8 funds from several device companies. I don't  
9 currently hold any grant funds and I don't have any  
10 other relationships that would bear on the meeting  
11 today.

12 Dr. Lee: My name is Kerry Lee, I am a  
13 biostatistician from Duke University. I have been  
14 involved in cardiovascular clinical trials for a  
15 number of years and currently have research support  
16 from Medtronic in connection with the NIH funded  
17 SCD-HEF trial.

18 Dr. Carlson: My name is Mark Carlson.

19I'm a cardiac electrophysiologist on the faculty at  
20Case Western Reserve University. I too have  
21participated in a number of industry sponsored and  
22NIH sponsored device antiarrhythmic trials. I'm  
23currently a local investigator in Cleveland for the  
24sudden cardiac death heart failure to which Dr. Lee  
25mentioned. I'm on sabbatical at the moment on the  
0009

1Senate Judiciary Committee as a Robert Wood Johnson  
2health policy fellow and my activities here today in  
3no way reflect those activities.

4 Dr. Sox: Did you cover any financial  
5connections?

6 Dr. Lee: I think so.

7 Dr. Wilkoff: I'm Bruce Wilkoff, a cardiac  
8electrophysiologist specializing in implantable  
9devices at the Cleveland Clinic Foundation in  
10Cleveland, and I have been involved with most of the  
11trials that we will be talking about today and have  
12had clinical research support through NIH and through  
13each of the tertiary, Medtronic and Guidant through  
14the years and to some degree presently.

15 Dr. Buxton: I am Alfred Buxton, from  
16Brown University. I'm a clinical  
17electrophysiologist, and I have participated in a  
18number of these trials and received in the past and  
19continue to receive research support from Medtronic,  
20Guidant and St. Jude.

21 Dr. Curtis: I'm Anne Curtis, a cardiac  
22electrophysiologist with the University of Florida.  
23I have been involved in clinical trials of  
24defibrillators for all three of the major companies  
25and have done some speaking and limited consulting  
0010

1work.

2 Dr. Holohan: Tom Holohan. I'm chief of  
3patient care services for the Department of Veterans  
4Affairs.

5 Dr. Sox: Any financial interests?

6 Dr. Holohan: No, no financial interests.

7 Dr. Flamm: I'm Carole Flamm. I work at  
8the Blue Cross Blue Shield Association Technology  
9Evaluation Center and in that capacity, I did work on  
10the tech assessment of implantable defibrillators.

11 Dr. Weil: Jonathan Weil. I serve as the  
12industry representative on this panel. As such, I  
13don't vote. I do work as senior regulatory counsel  
14for Philips Medical Systems, which is a leading  
15manufacturer of automatic external defibrillators.

16 Ms. Greenberger: I'm Phyllis Greenberger,  
17president and CEO of the Society for Women's Health  
18Research. My organization receives funding from some  
19of these major corporations, but I am the consumer  
20rep and as such, I don't vote.

21 Dr. Krist: My name is Alex Krist. I am a  
22family physician with Virginia Commonwealth  
23University, and I don't have any financial or other  
24interests.

25 Dr. Matuszewski: My name is Karl  
0011

1Matuszewski. I'm a senior director at the University  
2Health System Consortium in the clinical knowledge  
3service. I have no financial conflicts. I might  
4have a few personal ones related to family life but  
5that's a whole different story. Was responsible as a  
6reviewer of an ICD report that we did for consortium  
7members in '97, and that is my primary involvement.

8 Dr. Redberg: I'm Rita Redberg. I'm a  
9 cardiologist at UCSF Medical Center, and I'm director  
10 of our cardiovascular women's health services for the  
11 UCSF National Center of Excellence in Women's Health,  
12 and I have no financial conflicts.

13 Dr. Conway-Welch: Colleen Conway-Welch.  
14 I am the dean of the School of Nursing at Vanderbilt.  
15 I have no financial or research interests in any of  
16 the interested parties.

17 Dr. Sox: I'm Hal Sox. I am the editor of  
18 Annals of Internal Medicine and as such I don't have  
19 any financial connections with anything.

20 Well, I'm going to make a few introductory  
21 remarks to the panel, and I guess the first one is to  
22 give you some advice about how to think about this  
23 day. For some of you, this is the first time you  
24 have participated in a meeting to decide a really  
25 important question, which is how good is the evidence  
0012

1 for intracardiac defibrillators, in a public meeting.  
2 And others of you have done this before. I have done  
3 it quite a lot since I chaired the Medicare Coverage  
4 Advisory Committee executive committee.

5 And my best advice to you is to forget  
6 those people out there, and after a while you  
7 probably will, because we're going to get wrapped up  
8 in questions of evidence and you're going to forget  
9 that they're there. And it's really important that  
10 we function cohesively as a panel and that we try to  
11 forget that we're in the middle of an open meeting.

12 Now, our job today is relatively  
13 straightforward, compared with the job of CMS. Our  
14 job is simply to evaluate the evidence and then to  
15 advise CMS on whether that evidence is adequate to  
16 draw conclusions about the effectiveness of this  
17 technology in Medicare patients. Our job is not to  
18 make a coverage recommendation. So all of the issues  
19 that, other than the evidence, are really kind of not  
20 for us to discuss or really even consider in our, in  
21 trying to come to some opinion for CMS. We just  
22 focus on the evidence, and in that effect we are  
23 fortunate to have a relatively straightforward job.  
24 It means that we need to stay focused on the evidence  
25 and it's my job as chair to try to keep the  
0013

1 discussion as focused as possible so that the voting  
2 members of the panel can represent the facts in the  
3 truest way possible. So, I'm going to use several  
4 devices to try to keep us on point and I will go into  
5 those in just a second.

6 Now, the Medicare Coverage Advisory  
7 Committee has guidelines for evaluating the evidence  
8 and we're going to follow those guidelines. They  
9 have served us well in the past and I think they will  
10 today, and so I'm going to take a couple minutes to  
11 review the high points of those guidelines.

12 I tried to summarize the interim  
13 guidelines for evaluating effectiveness and the first  
14 issue is the adequacy of the evidence and it's our  
15 job to determine whether the scientific evidence is  
16 adequate to draw conclusions about the effectiveness  
17 of the interventions in routine clinical use in the  
18 population of Medicare beneficiaries, and I've drawn  
19 up what I think are the key elements, adequate  
20 evidence, effectiveness, routine clinical use in  
21 Medicare beneficiaries.

22 So the first focus then is going to be on,

23is the evidence adequate to judge effectiveness,  
24which means in effect, did the conclusions in the  
25studies really represent the facts as they happened,  
0014

1in terms of validity. So we're going to be focusing  
2on the question of does the use of implanted cardiac  
3defibrillators change or cause mortality and if so,  
4are the differences in the rate of all cause  
5mortalities with the control group greater than would  
6be expected by chance alone. First of all, is there  
7any kind of effect at all that's beyond the role of  
8chance.

9           Because we're going to be dealing with  
10randomized trials, a number of sources of bias that  
11might make it difficult to judge that it's the  
12intervention itself rather than confounding variables  
13aren't going to be in play, but we still do have to  
14be concerned about the conduct of the trial and the  
15possibility that the groups that were compared for  
16outcome were different because of differential  
17fallout of patients that caused one group to be  
18really different than the other.

19           Now the second issue, is the evidence  
20adequate to judge the applicability of the findings  
21to routine use in Medicare beneficiaries? This is  
22the issue of generalizability of the findings beyond  
23the study population to other groups of patients,  
24generalizability or external validity.

25           Now as you know from reading these  
0015

1studies, the authors went to great pains to try to  
2increase the power of the studies by maximizing the  
3proportion of deaths that occurred in the study  
4population who actually died of a cardiac event as  
5opposed to dying of cancer, chronic obstructive  
6pulmonary disease and the like. So they eliminated  
7patients who were likely to die within two or three  
8years of the time of randomization from other causes  
9than cardiac causes, and so we are we going to have  
10to struggle with the question of the degree to which  
11the findings in those studied populations which are  
12effectively clean of chronic disease patients who  
13were on the way to death from another cause, whether  
14it applies also to that group of patients.

15           We're also going to be concerned, if there  
16is a health effect that's statistically significant,  
17is it an important health effect. CMS is interested  
18in knowing whether the evidence from well designed  
19studies shows an effect size and how it compares with  
20the effectiveness of established services and medical  
21items that they already cover. So one of the things  
22we're going to be doing is trying to characterize the  
23magnitude of the effect size into one of these seven  
24categories that are from the interim guidelines,  
25recognizing that it's possible that we might decide  
0016

1the effect size was of a certain magnitude in one  
2population of patients, but different in a different  
3population of patients.

4           Now, if we find that the evidence is in  
5fact not adequate to draw conclusions about the  
6effectiveness of ICD in all patients or certain  
7groups of patients, we really ought to explain why we  
8thought the evidence was inadequate. That's part of  
9our charge in trying to inform the people at CMS who  
10have to make a coverage recommendation, so it's  
11possible that we will find that the reason was that

12it wasn't feasible to apply a definitive study  
13design. That's not likely with the evidence base  
14that we've got consisting of randomized trials, but  
15that does apply to some evidence that CMS considers.

16 Another possibility is that definitive  
17studies are possible, but haven't been performed  
18perhaps in all appropriate populations. Now if we  
19decide that it's possible to do definitive studies  
20but they just haven't been done in a particular  
21population, then we can give CMS some individual  
22advice about how it might proceed in the absence of  
23definitive evidence.

24 Now, I'll talk a little bit about how  
25we're going to function today. This of course is  
0017

1going to be largely an improvisatory exercise but we'll  
2try to impose some order on ourselves so that we can  
3do the best job we can for CMS. In the morning we're  
4mostly going to hear presentations from CMS, from the  
5applicant organizations, from people who have come a  
6long way to tell us what's on their mind. We can  
7ask questions of the presenters, we can take notes,  
8and the like, but it's really after lunch when we're  
9going to be on our own and at that point we are going  
10to have a structured discussion on the two voting  
11questions. And I guess, Sean, you're going to tell  
12us something about the voting questions in your  
13presentation, aren't you?

14 Dr. Tunis: Yeah, I will talk a little bit  
15about that.

16 Dr. Sox: Okay, so I won't go into that  
17now. If we could just put one of those up there,  
18what I would like to do for each one of the voting  
19questions is to establish an agenda, an agenda of  
20items relative to the evidence, and we'll discuss  
21that agenda, perhaps set priorities about which ones  
22we want to spend the most time on. So I would like  
23each panel member to be keeping a list of evidence  
24issues that they would like to have on the agenda for  
25discussion when we get around to the discussion  
0018

1period. It's going to be my job to try to move down  
2that agenda of evidence items and try to keep the  
3discussion focused on one item until we finish with  
4that item, and then we'll move on to the next one.  
5So from time to time I may ask you to defer a  
6question until we have had a chance to discuss the  
7agenda item to our full satisfaction.

8 So, that concludes my introductory  
9remarks. We have a challenging job ahead of us. We  
10for the most part have never worked together before,  
11we're here to discuss a really important issue, and I  
12guess I just ask that we try to support each other,  
13to be as constructive as possible, to remember that  
14ultimately our job is to provide help to CMS to make  
15a very important coverage decision. Thank you.

16 Dr. Tunis: We're going to move on to  
17Dr. Chin's presentation in just a moment. My name is  
18Sean Tunis. I'm the acting chief medical officer for  
19CMS, and I wanted to also welcome the panel and thank  
20you for all the preparation you have done in advance  
21of this meeting and thank you in advance for your  
22contributions to the meeting today.

23 As everyone is aware, this is a major  
24issue and a complex issue, and we're going to be  
25struggling with lots of detailed information about a  
0019

1number of trials today which will take a lot of your  
2attention. I want to just encourage everyone to make  
3sure over the course of the day that as you hear  
4presentations, that you ask all the difficult  
5questions that you can think of and you make sure  
6that you really understand in as great detail as you  
7need to all of the scientific issues that are going  
8to be placed before you.

9           What we are counting on you all to do for  
10us is to pore through this data, to pick it apart, to  
11analyze it so that we end up at the end of the day  
12not so much with the, you know, yes or no vote on the  
13adequacy of the evidence, but equally important to  
14that is that we understand where there are questions  
15and have an understanding of what is the level of  
16confidence in the effects that we're looking at, and  
17what is the potential magnitude of the effects we're  
18looking at. Those are equally important to us as  
19what the final vote is on the adequacy of the  
20evidence.

21           As Dr. Sox was explaining, this exercise  
22today is part of Medicare's determination of whether  
23or not the use of the defibrillators for the MADIT II  
24indications are reasonable and necessary for purposes  
25of Medicare coverage, that's our statutory obligation  
0020

1for the Medicare program to determine that. As part  
2of our determination of what's reasonable and  
3necessary is an assessment of the adequacy of the  
4evidence supporting the assertion that there is an  
5improvement in health outcomes associated with the  
6item or service. And so again, I think the exercise  
7today is really focused on having a full  
8understanding of that notion of the adequacy of the  
9evidence.

10           Before we go on to Dr. Chin's  
11presentation, I just wanted to give the panel a  
12chance to ask any remaining questions they may have  
13about the agenda of the day, the process, what you're  
14supposed to be doing, what we're supposed to be  
15doing, and just give you a chance to ask any  
16questions about that before we dive into the details.

17           Dr. Sox: Sean, the two voting questions,  
18I wonder if you could comment on those. The second  
19one looks like it's what we came to discuss. The  
20first one as I understand it, deals with an issue  
21that CMS already covers, so perhaps you could explain  
22why that comes to pass and how we should deal with  
23it.

24           Dr. Tunis: I think that will be clear  
25after Dr. Chin's presentation, and I think his  
0021

1presentation will end up with a reiteration of the  
2voting questions. So we'll, it should be pretty  
3clear by the time Dr. Chin is done what the questions  
4are, so if there are no other questions from the  
5panel, we will go to Dr. Chin.

6           Dr. Chin: Good morning. My name is  
7Joseph Chin, and I am the lead medical officer at CMS  
8on this issue. Today we are going over a lot of data  
9and some details on the articles specifically. I  
10wanted to first provide an outline of what we're  
11going to go over on the presentation.

12           First I start with the basic background  
13about the current coverage, the coverage request  
14received on this issue, and then I will go and  
15summarize the basic articles that we have on this



16particular issue. I won't spend a lot of time, as  
17Sean mentioned, on many of the background articles.  
18I think we will focus most of our time on the MADIT  
19II trial. When we get to the MADIT II, Dr. Goodman  
20will have a presentation, and then I will come back  
21with some final slide and really pose the questions  
22to the panel again.

23 Medicare first covered ICDs in 1989 but  
24only for very limited indications. The indications  
25in the policy was basically updated in 1991 and 1999.  
0022

1The current coverage indications are listed here,  
2basically a documented episode of cardiac arrest due  
3to VF, tachyarrhythmia, and also coverage for  
4familial or inherited conditions that are at high  
5risk. These are published in the Coverage Issues  
6Manual, 35-85.

7 Last May CMS was asked to expand the  
8coverage of implantable defibrillators to include  
9patients with a prior MI and a left ventricular  
10ejection fraction of less than 30 percent without  
11requiring evidence of ventricular tachyarrhythmias.  
12The basis of this request was the MADIT II trial.

13 So for this NCD we conducted a basic  
14MEDLINE search from 1989 on using our key words of  
15defibrillator and ICD, focusing primarily on  
16randomized trials and use of the ICD as primary  
17prevention. Some of the trials that we -- we  
18essentially came up with four main trials, MADIT I,  
19MUSTT, CABG Patch, and MADIT II. These trials can be  
20further grouped by use of EP testing, MADIT I and  
21MUSTT required EP testing and CABG Patch and MADIT II  
22did not, so that's I how will present them in terms  
23of their data.

24 We also included the DAVID trial. It's a  
25little off topic but I think the results were  
0023

1relevant to the discussion of ICDs.

2 So going into the major trials, if there  
3isn't any question about how we got there, the first  
4major primary trial was MADIT I, published in 1996,  
5it was a randomized clinical trial with use of ICDs  
6in patients with a prior MI, ejection fraction less  
7than 30 percent, non-sustained VT, and an inducible  
8ventricular tachyarrhythmia on EP testing. Total  
9sample size was 196, randomly assigned to ICD group  
10and a control group.

11 And it showed a significant reduction in  
12mortality in the ICD group compared to the control  
13group, 16 percent versus 39 percent, a hazard ratio  
14of .46. These are the survival curves from the  
15article, and if you look at that you will see that  
16you have just about immediate benefit from ICDs and  
17immediate survival benefits.

18 The second was the MUSTT trial, a  
19randomized trial on antiarrhythmic therapy guided by  
20EP testing in patients with coronary artery disease,  
21ejection fraction less than 40 percent, and  
22non-sustained VT again. Sample size of 704 randomly  
23assigned to antiarrhythmic therapy and conventional  
24therapy. In the antiarrhythmic therapy there was an  
25option for medication or defibrillators, and we had  
0024

1people that didn't receive it or were actually  
2receiving it prior to assignment.

3 The MUSTT results showed a significant  
4reduction in overall mortality in patients who

5received ICD therapy compared to patients who did  
6not. Relative risk was .24, confidence intervals  
7listed here, and again, we see this immediate benefit  
8from defibrillators, ICDs. This last curve here is  
9the treatment group with ICDs.

10 Just to take these two together, really  
11the first question that you will address, these two  
12trials were very consistent with each other, they  
13both had greater than a 50 percent reduction in  
14mortality in the ICD group. They are also pretty  
15complementary since they filled in various gaps that  
16each of the other studies had. For example in MADIT  
17I, the requirement for non-suppressibility on EP  
18testing, MUSTT did not have that requirement, and  
19there was higher beta-blocker use in the ICD group in  
20MADIT I, but the higher beta-blocker use in the  
21control group. And the addition in MUSTT was the  
22creation of a patient registry of the non-inducible  
23patients, which has actually provided a lot of  
24observational data for this subgroup of patients or  
25for those patients that were not inducible.

0025

1 Just to summarize these two articles, and  
2we won't talk too much more about them, MADIT I and  
3MUSTT provided adequate evidence on the use of  
4implantable defibrillators in patients with prior MI,  
5reduced ejection fractions, non-sustained VT, and  
6inducible arrhythmias on EP studies. This led to a  
7Class I indication from the ACC, AHA, NASPE  
8guidelines, that were last updated in 2002.

9 The next two trials are on, or did not  
10require EP testing for enrollment. The first one is  
11the CABG patch trial, so it's a multicenter RCT on  
12ICDs in patients with abnormal signal-averaged ECG,  
13ejection fraction less than 36 percent, and after  
14coronary bypass graft. Total sample size was 900,  
15randomized to the ICD or control group after bypass  
16in the OR.

17 And the CABG patch trial did not show a  
18survival difference between the ICD group and the  
19control group; the survival curves are overlapping in  
20some places.

21 There has been I guess a couple comments  
22as to why the CABG patch trial didn't show a benefit.  
23I think one of the ones that has been raised is that  
24CABG or revascularization essentially reduced the  
25risk of sudden death. The trial results reinforced

0026

1benefits of CABG surgery, and Dr. Bigger and  
2colleagues remarked that sustained ventricular  
3tachyarrhythmias may be a better marker for high risk  
4for sudden death than abnormal signal-averaged ECG.

5 This brings us to the MADIT II trial, the  
6second of the two trials that do not require  
7specifically EP testing. It was an RCT on use of  
8ICDs in patients with a prior MI and ejection  
9fraction less than 30 percent. Total sample size was  
101232, randomized at a 3:2 ratio to the ICD and the  
11control group.

12 And MADIT II reported significant  
13reduction in mortality in the ICD group compared to  
14the conventional therapy group, 14.2 percent versus  
1519.8 percent, and a hazard ratio of .69, and we have  
16our survival curves from the article. We'll come  
17back to this but as you notice, it looks slightly  
18different than some of the other curves in the other  
19studies and we will come to back to that a little bit

20later on.

21           Some additional findings from MADIT II: 19  
22percent of the patients who actually got  
23defibrillators received appropriate therapy from  
24their devices, compared to the MADIT I, where 60  
25percent of defibrillator patients received therapy.  
0027

1I guess in other words, in MADIT II over 80 percent  
2of the patients that had defibrillators implanted did  
3not receive any therapy, and I think they were  
4certainly at risk for adverse events, and this is one  
5of the reasons that suggests a need for more  
6appropriate selection of patients.

7           Also, there was a significantly higher  
8number of hospitalizations for new or worsened heart  
9failure in the ICD group compared to the control  
10group, overall as presented in the article and also  
11in the first 12 months. Why did this occur? I think  
12there has been a lot of debate about that, a lot of  
13theories. I think the DAVID trial we mentioned here  
14provides some insight into what may have happened in  
15MADIT II with these kind of adverse events. In the  
16DAVID trial it was reported that there are  
17significantly higher composite end point of death and  
18hospitalization for heart failure in the ICD patients  
19who received dual chamber pacing compared to backup  
20pacing. I think this issue of adverse events  
21probably needs to be looked at closer by the  
22investigators.

23           Some additional MADIT II comments. I  
24think one of our major concerns about the trial  
25focuses on the exclusion criteria, specifically the  
0028

1FDA indication for the ICD. It appears that the  
2exclusion criteria were not uniformly applied, mainly  
3this issue with MADIT I about the MUSTT type patient  
4with the prior MI, low ejection fraction,  
5non-sustained VT and inducible VT/VF. Holter  
6monitoring was only done on 23 patients and EP  
7testing was not required as an enrollment test, so I  
8guess if these tests were not done on these patients,  
9how would one actually know whether a patient should  
10be excluded or not when they were enrolling these  
11patients. So it's very likely that in the MADIT II  
12population, there are patients that had an FDA  
13indication for a defibrillator with proven benefits  
14in survival. Specifically MADIT I plus type patient,  
15specifically the MADIT I/MUSTT type patients.

16           Why is this so critical? Well, I think by  
17including a subset of patients known to have a large  
18benefit, really greater than 50 percent reduction in  
19mortality from ICDs, a positive outcome could be  
20shown even if there was little or no effectiveness on  
21the study population. I think this is our main  
22concern with the results and also the trial design in  
23MADIT II.

24           Well, I guess there are two questions  
25then. How much overlap do you need to influence the  
0029

1outcome, and how much overlap actually occurred in  
2the trial. Well, it's unclear on both since the data  
3were not collected, but I think we can get make some  
4fairly good estimates on these numbers. First,  
5MADIT II was stopped early due to a significant  
6finding, and so the actual effect size is fairly  
7small because they stopped the trial, and in this  
8casethere's approximately about 10 deaths in the ICD

9group, and that's not a lot of deaths we're dealing  
10with. And then even a small overlap of patients  
11potentially influenced the outcomes. And secondly, I  
12think we can estimate the actual number of patients  
13that might be eligible for an ICD based on MADIT I or  
14MUSTT type indications based on the prevalence of  
15non-sustained VT and EP inducibility.

16 And again, there has been some debate  
17about what this overlap is between the populations.  
18So again, we looked at the literature to try to get a  
19sense of some data that has been presented. Since  
20MADIT II was really a trial on severe heart patients,  
21we looked at the heart failure literature for  
22additional information on the prevalence of  
23non-sustained VT. I found several studies. The  
24first one, the PROMISE trial referred by Chirling and  
25colleagues in 2000 found 61 percent of their 1,080  
0030

1patients CHF, an ejection fracture less than 35  
2percent, had non-sustained VT. And 1998, the CHF  
3STAT study recorded by Sing and colleagues, they  
4found 80 percent of their 666 patients with CHF had  
5non-sustained VT. I also found three review articles  
6that reaffirmed the high prevalence of non-sustained  
7VT in severe heart failure patients. Two of these  
8were by Dr. Bigger, who has probably studied this  
9very extensively, probably more than most people.

10 On the issue of inducibility, although  
11usability was not required by the MADIT II as an  
12enrolling criteria, 583 patients actually had testing  
13done in the treatment group at the time or prior to  
14ICD implantation. Others, 36 percent were inducible,  
15and actually this 36 percent inducibility rate is  
16almost identical to what was reported in the MUSTT  
17trial. They reported 35 percent inducibility in  
18MUSTT, and all the patients had non-sustained VT.

19 So our best estimated proportion of MADIT  
20I/MUSTT type patients in MADIT II was in the range of  
2122 to 29 percent and certainly large enough to  
22influence trial outcomes, given the small actual  
23effect size seen.

24 We had a number of data issues with MADIT  
25II. Since there was no data on non-sustained VT and  
0031

1no actual data on inducibility in the control group,  
2the analysis and actual interpretation of the  
3analysis has been somewhat difficult. We could not  
4just run the question analysis on the data using  
5inducibility as a variable, since when you do this  
6model, essentially it kicks out the entire control  
7group and you're really only looking at your  
8treatment group. And by looking at only the  
9treatment group, it really doesn't tell us about the  
10effect of inducibility on outcomes between the  
11treatment and control groups.

12 So I guess given these data issues, we  
13asked Dr. Steve Goodman to take a closer look at the  
14data, and his presentation is next.

15 Dr. Goodman: Hi. I'm Dr. Steve Goodman,  
16I am an associate professor of oncology, epidemiology  
17and biostatistics at the Johns Hopkins School of  
18Medicine and Public Health. CMS asked me to do this  
19analysis for them based on new data that was provided  
20by Guidant to address some of the questions that were  
21brought up here.

22 Even though my slides are inserted, you  
23will see it has a different format, and CMS had no

24role aside from posing the questions in how the  
25analysis was done or how my conclusions were framed.  
0032

1And I have no financial interests one way or the  
2other in this matter.

3           The questions that were posed to me were  
4based on the new data that Guidant had supplied on  
5the EP testing in the ICD population, and this is  
6what we knew from the published data, that there was  
714.2 percent mortality in the ICD group and 19.8  
8percent in the control group. These numbers are  
9based on the two-by-two table, they are not based on  
10the actual survival data, so this relative risk is  
11just very very slightly different than was published,  
12but this is basically numbers we've seen before,  
13about a 30 percent reduction in mortality or a 5  
14percent absolute mortality reduction, which was  
15fairly significant.

16           So this was the data, the group data that  
17they had to deal with, and this was the newer data  
18that they were given that Dr. Chin just alluded to.  
19In the inducible group, which constituted 36 percent  
20of those tested, there was 9.5 percent mortality. In  
21the non-inducible group, there was 16.6 percent  
22mortality, and those who were not tested were  
23exactly, or a weighted average of these had a  
24mortality that was almost identical to the overall  
25group, which was 14.5 percent. So this is how  
0033

1mortality broke out in the ICD group after testing.

2           Of course we don't know how it would have  
3broken out in the control group, so there is the  
4problem. What we would like to know is the effect in  
5the inducible group, the effect in the non-inducible  
6group, but what we have is all of the control group  
7being not tested, so all we have is the overall  
8mortality. So the question is, is there any  
9information in this data that allows us to make some  
10guesses about what those might be, and that's the  
11purpose of my presentation. So this is maybe  
12arguably the key number that we're looking at.

13           So this was the general strategy that we  
14used. The first thing we had to see was in the  
15tested patients, find out if there are other disease  
16or patient characteristics that predict inducibility.  
17That is, is there any information in the data set  
18that might exist in the control patients, those who  
19were not tested, that might tell us the likelihood of  
20their inducibility. If yes, use a statistical model  
21to calculate the probability that each placebo  
22patient was inducible, generate inducibility status  
23for each of those untested control patients with a  
24probability from that model, and then simply use that  
25predicted inducibility status to calculate the ICD  
0034

1mortality for the inducibles and non-inducibles. And  
2finally, calculate the uncertainty in those effects,  
3which may be the most important line in the whole  
4strategy.

5           So, here's the first question. How do  
6inducibles and non-inducibles differ, that is, is  
7there information in other patient characteristics  
8that tells us, gives us a little information as to  
9who's inducible and who's not. For the most part,  
10the answer is no. Almost all of these  
11characteristics, age, gender, percent of diabetes,  
12smoking, hypertension, ventricular arrhythmias and

13atrial arrhythmias percent were nonsignificant, but  
14there were three factors that did have some degree of  
15predictive value.

16 One was, and this is percent negative, the  
17percent where the lowest, NYHA congestive heart  
18failure class, the inducibles had more at a lower  
19class, 32 percent versus 21 percent, this was  
20statistically significant. Similarly, there was a  
21slight difference in average ejection fraction with a  
22fairly significant P value, and moderate difference  
23in heart rate. It was also BUN, even though it's not  
24significant here, there was a slight difference. And  
25we ended up including those four terms in the model.

0035

1We could have included even more since these models  
2don't have to include just significant terms, but  
3these capture virtually all of the information that  
4is going to be there.

5 So the first thing we want to ask is, that  
6those significant differences actually don't tell you  
7how well it predicts, the next slide tells you how  
8well it predicts, and anyone who is used to looking  
9at curves like this, and I will orient you in a  
10second, will see immediately that it doesn't predict  
11very well.

12 This is an ROC curve here, sensitivity on  
13this axis, 1- specificity on that axis. When  
14sensitivity equals 1- specificity, that means it's a  
15meaningless test. So a line of complete  
16uninformativity would be a diagonal line across this  
17box right there. So you can see, if that's the line  
18of having no information, this curve which tells us  
19how well this model predicts doesn't give us much  
20more information. The area under the curve is 65  
21percent and the area under an uninformative curve  
22would be 50 percent, so it's not a very informative  
23curve.

24 One of the best discriminating points on  
25the curve is right here, and this is a point that  
0036

1corresponds to a sensitivity and specificity of 60  
2percent. So that tells you right away that there is  
3not a lot of information in the other predictors  
4about inducibility, but we used this little bit of  
5information to see what we could see.

6 So, how did we proceed? Well, there are  
7three sources of uncertainty in the uncertainty  
8analysis. One is just the standard sampling error;  
9this is the error that you get out of any standard  
10analysis. That's the basis for the kind of  
11confidence and key interval values that you see in  
12any typical analysis.

13 Then there's issues related to the  
14prediction uncertainty, that is, we don't know what  
15the inducibility status of these patients actually  
16are in the control group, so what we know is the  
17probability that they are inducible. So we had to do  
18this multiple times and predict for each individual  
19with that probability whether they were one or zero,  
20and we did lots of analyses, averaging together cases  
21where a person was predicted -- let's say if they  
22were predicted with a probability of 30 percent, 30  
23percent of the time the person would be included in  
24the analysis as being inducible, 70 percent of the  
25time the person would be included in the analysis as  
0037

1non-inducible.

2           And finally, there is uncertainty in the  
3actual model that you build, and we took care of this  
4by the statistical method of bootstrapping, which is  
5basically doing lots and lots of new samples from the  
6data and rebuilding the model every time and then  
7using that model to predict.

8           So these are the three components of the  
9uncertainty that will go into the next numbers, and  
10these are the numbers that we got. I'll keep this up  
11for a little bit to orient you since you haven't seen  
12these before.

13           These numbers you have seen. This is the  
14mortality inducible group, this is the mortality in  
15the non-inducible group. These numbers you've almost  
16seen before, because the mortality in the group  
17overall was 19.8 percent, and so what's happened here  
18is that the model is able to separate these into  
19predicted inducible class only slightly. That is,  
20the model only moves down from 19.8 to 19.1 percent  
21in the inducible class, and moves up the predicted  
22probability from 19.8 to 20.2 in the non-inducible  
23class. This is a function of the model actually not  
24having a lot of information in it.

25           So we could have predicted -- if we saw  
0038

1much more of a separation here, that would actually  
2be a conflict between the predictive power of the  
3model and what we saw. So what do we get out of  
4this? We get an estimated effect, treatment minus  
5control and inducibles of minus .95 percent, that is,  
6roughly a 50 percent reduction in mortality between  
7the control and ICD, which nicely, is almost exactly  
8what we have seen in the trials where EP testing was  
9done.

10           In the non-inducible group we get an  
11estimate of minus 3.6 percent, which is about 1.7  
12percent reduction, with a confidence interval going from a 9  
13percent reduction actually up to a 2 percent  
14increase. Here the confidence interval goes from  
15about a 17 percent reduction to a 2 percent  
16reduction, so this in and of itself is statistically  
17significant, this in and of itself is not.

18           And then finally we have this result for  
19the difference in effects. That's just this number  
20minus this number, that is, how much more effective  
21is ICD predicted to be in the inducible group than  
22the non-inducible group, and we get this number of  
23minus about 6 percent with a very large confidence  
24interval going from a 15 percent change, that is, it  
25would be 15 percent more effective in the inducibles,  
0039

1to in fact the other direction, that it's 3 percent  
2more effective in the non-inducibles. So again, not  
3a lot of information.

4           Now the next few slides are going to give  
5you my guide to how to interpret numbers like this.  
6First, a few caveats. There are a variety of  
7reasonable ways to analyze these data. This was  
8actually the subject for a bunch of lively  
9discussions with my colleagues, and what we all  
10agreed was that it was an extremely interesting  
11problem and could keep statisticians busy for a lot  
12longer than we spent on the analysis, and they'd keep  
13us busy afterwards, after this is done.

14           So there are several reasonable ways to  
15analyze these data which will produce somewhat  
16different results, I would say not qualitatively

17different results but I would not look at the precise  
18numbers here as hard numbers. That is, you could get  
19slight shifts in the variability, you could get  
20slight shifts in the efficacy. None of the different  
21ways we got produced a qualitative change in the way  
22we would look at the numbers, but I just want to  
23point that out, that this filling in missing data is  
24both an art and a science, and there's a lot of ways  
25to go about it.

0040

1 I want to point out, survival times were  
2not taken into account. This was not the full data  
3that was analyzed in the MADIT II study. They looked  
4at time to event; we simply looked at whether they  
5died or not. However, I think that assuming that the  
6average survival time in these groups was equal  
7between, in the two randomized groups, we wouldn't  
8expect this to have a big impact, but if we were  
9really going to do this to get all the decimal places  
10as close as we could, we would use the survival  
11times. I think that the assumptions that went in,  
12the variations you will get between methods are  
13probably bigger than the changes you would get if you  
14actually used the survival times.

15 And finally, this kind of analysis clearly  
16does not substitute for real data on inducibility in  
17a control group, this is not a way of creating a  
18clinical trial with measurements that were not done.  
19It's simply a way of telling us how much, what does  
20the information we have in hand tell us, but it's not  
21the same as actually having that information.

22 Now here, this is the first -- I labeled  
23this as non-conclusion, because this is a conclusion  
24that I don't want you to make from this data. It is  
25a mistake to interpret these calculations as

0041

1indicating an effect in inducibles and no effect in  
2inducibles. It would be very easy to go back to this  
3and say ah, statistically significant, ah,  
4statistically not significant, something, nothing and  
5that's the end of the story. I would encourage you  
6not to do that, I think it's a more complex picture.

7 These are the conclusions I can make with  
8moderate confidence, but of course it's for you to  
9decide for yourselves what you think. I think that  
10this does strengthen the finding from MADIT I that  
11inducible patients experience a substantive benefit  
12from ICDs. I think the data provide weak to moderate  
13evidence that the ICD effect is greater in inducible  
14than in non-inducible patients, that's weak to  
15moderate. And I would say that if taken in isolation  
16from the results in inducible patients, the evidence  
17is suggestive but not definitive, that non-inducible  
18patients benefit from ICDs, but probably to a lesser  
19degree than inducible patients.

20 Maybe the most important twist is this  
21interpretation that I would suggest, which should  
22focus, or which encourages a focus of the discussion  
23on how to use these numbers if you're going to use  
24these numbers at all, not by arguing about  
25statistics, but by arguing about biology. So here's

0042

1my little lecture about that. The adjudged strength  
2of the evidence for an ICD effect in non-inducibles  
3must come from a qualitative biologic judgment about  
4the similarity of the physiologic mechanism and the  
5disease process, of course, producing the treatment



6effect in the two types of patients. That is another  
7way to say this is how informative the effect in one  
8group is about the other. So you can ask yourself  
9the question, if you know that it's effective in  
10inducibles, how much does it tell you about its  
11likely effect in non-inducibles if you didn't know  
12anything except the biology. If you judge that they  
13were absolutely identical, that is, both disease  
14processes and the mechanism, the most plausible  
15treatment effect and evidence measure would be from  
16the combined groups, that is, just as published and  
17you would ignore inducibility. If you said that they  
18had completely different mechanisms, that these were  
19basically two different creatures, almost two  
20different diseases in some sense, or that the effect  
21operated in a completely different way, you would say  
22that the treatment effect and evidence has to be  
23estimated for each group separately, and then you  
24could argue about whether this analysis and whether  
25this trial gives you enough data to do that. If the

0043

1judgment is that the mechanisms are similar but not  
2identical, that puts you in a gray zone, in which the  
3evidential strength and treatment effects, both the  
4strength and the magnitude of the effects lies  
5somewhere between the separate and the combined  
6results. Data that's informative about the  
7mechanisms together with results from other trials  
8must be used to make the final determination on that.

9 So forgive me a little bit of levity, but  
10this reminds me of this cartoon that I saw with these  
11scientists looking at this very complicated board,  
12and one of them says to the other, oh, if only it  
13were so simple. So with that, I'll leave it and  
14Dr. Chin will finish up, but we will both be  
15available for questions.

16 Dr. Chin: I just had a few other slides  
17to go over, and propose a few questions to the panel  
18then. I think as a summary of the data, an analysis  
19suggests a larger benefit in patients who have EP  
20inducible ventricular tachyarrhythmias, similar to  
21what we were postulating at the beginning. We would  
22actually like them to have run ejection analysis on  
23these data to provide control for these variables,  
24but since we really don't have any actual data from  
25MADIT II on the inducibility in the control group,

0044

1that's not possible, so we had to be through these  
2simulations.

3 I also wanted to mention that regression  
4analysis of inducibility in the ICD group only  
5doesn't tell us about the effect of inducibility on  
6outcomes between the treatment and control group,  
7since we don't have that data.

8 Finally, I want to take one more look at  
9the results that we have from the MADIT I and II  
10trials. These are a couple of model survival curves  
11and as you see, they really don't start to separate  
12until after a year. This is not really what we  
13expect from the typical published ICD trials. If we  
14look at MADIT II, we see this immediate benefit from  
15the ICD use occurs, which really leads us to question  
16why did this occur in MADIT II.

17 I think there's been a number of types of  
18discussion about that, we have one view of that, and  
19I think if we take a look at survival by  
20inducibility, I think this is probably one of the

21most interesting slides that we have. This top curve  
22here is the inducible group that received an ICD.  
23This middle one, non-inducible patients in the  
24treatment group. And the last one is the control  
25group. And here you see that the ICD and inducible  
0045

1subgroups sort of had this immediate benefit from the  
2ICD, immediate separation of the curves, and this is  
3really exactly what we would expect from a positive  
4trial, it exactly reinforces what Dr. Goodman said  
5and reinforces the results of the MADIT I trial  
6whereas, if you have a really strong group of  
7patients that benefit, or have a really large benefit  
8from the ICDs.

9 So as a final summary one, in MADIT I and  
10MUSTT, and to some degree the inducible patients in  
11MADIT II that received an ICD, this shows a large  
12survival benefit from ICD therapy for patients with  
13prior MI, reduced ejection fraction, non-sustained  
14VT, and an EP inducible VT/VF. CABG Patch did not  
15show a benefit. Although MADIT II reported a  
16survival benefit, the trial design and data issues  
17may render the results inconclusive. I think that is  
18some of our final points on the issue.

19 Now going to the questions that we have  
20for the panel, the first voting question, as Dr. Sox  
21mentioned earlier, is related to some of our current  
22coverage policies, but the information is relevant to  
23the question at hand so we have that presented first.

24 Is the evidence adequate to draw  
25conclusions about the net health outcomes in Medicare  
0046

1patients with evidence of a ventricular  
2tachyarrhythmia either induced or spontaneous, with  
3or without documented coronary artery disease, MI and  
4reduced ejection fractions, that receive ICD therapy  
5as their primary prevention of sudden cardiac death.  
6That handful of questions deal with basically trying  
7to get a sense of patients that are really, that  
8really have demonstrated tachyarrhythmias by EP  
9testing. And then the second part of the question  
10is, if yes, what is the size of the health outcomes.

11 The second question deals more directly  
12with the request that we received for coverage  
13expansion, really looking at expanding coverage to  
14the population that doesn't have any evidence of  
15induced or spontaneous ventricular arrhythmias. The  
16question is, is the evidence adequate to draw  
17conclusions about the net health outcomes in Medicare  
18patients with a prior MI, ejection fraction less than  
1930 percent and without evidence of an arrhythmia? If  
20yes, what is the size of the net health outcomes from  
21that.

22 And we have one discussion question,  
23focused mainly on EP testing and inducibility. Two  
24of these trials that we mentioned used EP testing to  
25identify high risk patients, two did not, so the  
0047

1discussion question is, what is the utility of EP  
2testing? Thank you.

3 Dr. Sox: We're going to have an hour for  
4committee discussion and questions for the  
5presenters, but I thought I would give people an  
6opportunity to ask one or two questions, sort of  
7clarification or questions of fact to our first two  
8presenters while it's still a burning question. Does  
9anybody have any questions they would like to address

10to them before we go on? Yes, Dr. Bigger?

11 Dr. Bigger: Just one point I wanted to be  
12sure about. On the third from last slide that  
13Dr. Chin showed, the graph of the survival curve,  
14this one. Is this actual MADIT II data or does this  
15come from the simulations and other statistical work  
16done at CMS?

17 Dr. Chin: Those curves are from the  
18actual MADIT II data.

19 Dr. Goodman: The only difference between  
20that and what I did, I tried to separate the control  
21groups. That's a combined control group.

22 Dr. Bigger: Thank you.

23 Dr. Sox: Any other questions?  
24Dr. Buxton.

25 Dr. Buxton: You placed a lot of  
0048

1importance, it seems, in the presence or absence of  
2inducible tachycardia. I don't remember seeing  
3anything in the MADIT II protocol specifying the  
4stimulation protocol, and that is critical and if  
5you're going to base any kind of analysis on this,  
6especially in a study that wasn't designed to  
7evaluate the utility of electrophysiologic testing,  
8you'd better be certain that a uniform stimulation  
9protocol was applied, that a standard stimulation  
10protocol was applied across the board. So we need  
11more information on that.

12 Dr. Sox: Okay. Well, we'd like to make  
13sure that at some point we do present that  
14information, but I think what we should do now is to  
15move on to the requestor's presentation from the  
16Guidant Corporation, and Dr. Joseph Smith and  
17Dr. Arthur Moss are going to share the podium for  
18that presentation.

19 Dr. Smith: Dr. Sox, members of the  
20committee, thank you very much for the opportunity to  
21be here today. I'm Dr. Joseph Smith, senior vice  
22president and chief medical officer of Guidant  
23Corporation. Guidant Corporation has a long history  
24of consistent commitment to vigorous research in  
25sudden death prevention and has been either sole  
0049

1sponsor or co-sponsor of all of the trials mentioned  
2in the summary of evidence that you have before you  
3today.

4 We appreciate that decisions of the  
5magnitude considered here today, extending CMS  
6coverage for MADIT II patients, often benefit from  
7public discourse. We're delighted to have the  
8opportunity to clarify misconceptions and remove any  
9residual confusion regarding the design, conduct,  
10results and implications of the MADIT II trial. The  
11evidence before you from the MADIT trial is both  
12clear and compelling and is consistent with prior  
13trials demonstrating the life saving efficacy of ICDs  
14in patients at risk. These results have been broadly  
15disseminated and widely accepted.

16 To frame subsequent discussion, we  
17understand the CMS argument has four major  
18components. One, the exclusion criteria were not  
19uniformly applied and as a result, two, a subgroup of  
20patients with known indications for ICP therapy were  
21enrolled and that this subgroup biased the overall  
22trial results. Three, apparent absence of data on  
23inducibility, particularly in the conventional arm,  
24made it impossible to assess benefit in the

25non-inducible group. And four, in an attempt to  
0050

lassess this mortality benefit indirectly, an  
2admittedly limited retrospective subgroup analysis  
3was performed, the results of which are inconclusive.  
4 Dr. Moss will address each of these  
5concerns in his presentation, but at this point I  
6think it is vital to point out that from the onset  
7that we should not let these speculations distract us  
8from the overall results of this large, well done  
9randomized control trial.

10 First, it must be noted that the trial  
11design of MADIT II constitutes a paradigm shift.  
12While previous trials, including MUSTT and MADIT  
13focused on EP study results, MADIT II was purposely  
14designed without using EP testing as a risk  
15stratifier, focusing instead on the reliably  
16predictive power of severely diminished ejection  
17fraction, in this trial an EF of less than 30  
18percent, in identifying a patient population with  
19high total mortality and sudden death mortality.  
20This design decision was rightly based on concerns  
21regarding the poor reproducibility, uncertain  
22reliability, and dubious incremental risk  
23stratification efficacy of EP study in this already  
24high risk population.

25 Subsequent focus on the implications of EP  
0051

1study as a risk stratifier within this group has been  
2a source of confusion as it runs counter to the  
3fundamental trial design. The analysis provided by  
4CMS suggests that MADIT patients were enrolled in  
5MADIT II, and this subgroup of MADIT patients biased  
6the trial results. To be clear, MADIT II patients,  
7defined as those with EF less than 35 percent,  
8non-sustained ventricular tachycardia, and inducible  
9nonsuppressible ventricular tachycardia EP study were  
10specifically excluded. The electrophysiologist  
11investigators who enrolled MADIT II patients verified  
12that these patients were not MADIT patients in the  
13process of performing hundreds of pretrial EP studies  
14and excluding those patients meeting MADIT criteria.  
15The total of those studies available is 257 negative  
16EP studies.

17 The CMS analysis speculates as to the  
18potential importance of EP study as a stratifier of  
19ICD benefit. In their post hoc analysis of  
20non-randomized patients in the ICD arm, they suggest  
21that by removal of this collection of inducible  
22patients from analysis, the remaining trial results  
23are then unclear. This analysis has admitted  
24statistical shortcomings. Dr. Moss will address and  
25expand on this analysis, providing a Cox proportional  
0052

1hazard model that controls for measurable bias and  
2allows for more definitive conclusions.

3 The design of MADIT II does allow for the  
4analysis sought in the CMS critique when one focuses  
5on only patients who were found to be non-inducible  
6on EP study performed prior to randomization. This  
7analysis was done by Dr. Moss's group only in  
8response to CMS analysis and is based on data made  
9available earlier this year. As described  
10previously, 257 patients enrolled in the MADIT II  
11trial had a prior negative EP study, 113 randomized  
12to the conventional arm, 144 to the ICD arm. The raw  
13mortality benefits seen in these non-inducible

14patients is 54 percent, 19.5 in the conventional arm  
15versus 9 in the ICD arm. This mortality benefit,  
16while numerically greater, is not statistically  
17different from that seen in the entire MADIT II  
18trial. These findings contradict the speculation  
19that a low risk low benefit subgroup might have been  
20identified by a negative EP study.

21 In this presentation, Dr. Moss will review  
22in greater detail those points I have briefly framed,  
23specifically addressing the issues raised in the CMS  
24critique, namely that the exclusion criteria were  
25uniformly applied, a significant subgroup with known  
0053

1indications for ICD therapy were not enrolled and  
2therefore, did not bias overall trial results. There  
3is data on the benefit experienced by non-inducible  
4patients and that benefit appears no different from  
5that seen in the entire population. And a Cox  
6proportional hazard model analysis, when performed on  
7the data used in the CMS analysis, does provide  
8consistent evidence of similar benefit in the  
9inducible and non-inducible arms.

10 In closing, it is a distraction to focus  
11on what might have been seen had the trials been  
12designed differently, and it is inappropriate to  
13focus on a statistically limited post hoc  
14non-randomized subgroup analysis. It is baseless to  
15imagine that physician investigators, many of whom  
16were instrumental in creating the initial MADIT  
17indications, would fail to identify patients with  
18these indications so that they could then be  
19randomized in this trial. And even in this worst  
20case interpretation of the trial and its  
21investigators, the most appropriate statistical  
22analysis strongly suggests that the trial results  
23would stand unaffected, as the benefit in the  
24non-inducible patients appears no different from that  
25seen in the inducible patients.

0054

1 This finding is consistent with the  
2observations which gave rise to the specific design  
3of the MADIT II trial as well as the recently  
4released analysis of the MUSTT investigators in their  
5report on the fate of patients with the same severe  
6level of LV dysfunction. However, this trial should  
7not be evaluated on the basis of these subgroup  
8analyses, but rather on its merits as a well done,  
9large randomized control trial that demonstrated  
10significant mortality benefit in a well defined  
11population.

12 There is no significant flaw in this  
13study, which has escaped notice by the many  
14investigators, the more than 70 institutional review  
15boards, the Food and Drug Administration, the New  
16England Journal of Medicine, the American Heart  
17Association, the American College of Cardiology, the  
18North American Society for Pacing and  
19Electrophysiology, and the many private insurers who  
20have already made their coverage decisions.

21 More studies in this area will be done to  
22further define and refine the parameters that  
23identify those who are at risk and then benefit from  
24ICD therapy. This research only makes sense to  
25continue, however, if we ultimately use the derived

0055

1information to benefit the patients.

2 It's now my distinct pleasure to introduce

3Dr. Arthur Moss, professor of medicine, University of  
4Rochester, independent principal investigator of the  
5MADIT II trial.

6 Dr. Sox: I just want to point out that  
7you have the slides that Dr. Smith presented in your  
8blue packet, as well as Dr. Moss's slides.

9 Dr. Moss: Dr. Sox and members of the CMS  
10MCAC committee, and consultants, as well as  
11attendees, it's my pleasure to present the MADIT II  
12findings, not only the primary findings, but  
13additional analyses that we have performed both from  
14a scientific standpoint and in response to the  
15questions that were raised by the CMS analysis, and  
16we appreciate the opportunity to bring this to a  
17discussion with our colleagues who have just  
18presented their view of things.

19 So, MADIT II is a trial that was designed  
20to evaluate the effect of ICD therapy on survival in  
21patients with a prior myocardial infarction and left  
22ventricular dysfunction. Let me just say by  
23disclosure that this trial was supported by a  
24research grant to the University of Rochester by  
25Guidant Corporation. I personally hold no stock or  
0056

1stock options in any device company. I'm not a  
2member of any speakers bureau or corporate consulting  
3or advisory group.

4 What I will present are, my presentation  
5will be in five parts, will give the background  
6rationale, the study design, the results with  
7considerably added information since the primary  
8analysis and publication, then the response to the  
9CMS summary, and then conclusions.

10 First let me say that there were several  
11versions of the data set but when the trial ended  
12November 20, 2001, we took the first data set in  
13December 7th, the data set which included most of the  
14follow-up data, certainly all of the mortality data  
15never changed. Version II was used in the New  
16England Journal publication. Version III, which was  
17cut July 27th, was a complete follow up after final  
18close-out visits. Version III is the data that I  
19will use in this presentation, and this information  
20was provided to CMS about a month ago.

21 First, let me emphasize the importance of  
22the reduced ejection fraction and as Dr. Smith said,  
23this does represent a paradigm shift. That is, from  
24many prior studies the ejection fraction is an  
25excellent risk stratifier and with the cut point  
0057

1being somewhere below 30 percent and where you have  
2the very steepest incline in mortality. And if you  
3look at ejection fraction in ICD trials, whether it's  
4MADIT I, AVID, MUSTT, CIDS, and now MADIT II, all  
5showed the importance that the lower the injection  
6fraction the greater the ICD efficacy. This is an  
7important point to keep in mind. MADIT II utilized,  
8and is the only trial that used an ejection fraction  
9cut point at 30 percent or below. All of the other  
10trial included patients in this other area.

11 Now the rationale. When we were designing  
12the trial we felt that patients who had a prior MI  
13and an ejection fraction less than 30 percent would  
14have extensive myocardial scarring and would be at  
15high risk for arrhythmias and sudden death. Also, at  
16the time we were designing the trial, the information  
17from Dr. Sweeney's experience, Michael Sweeney, whose

18experience from the Mass General and the Brigham and  
19Women's Hospital reported that EP testing for  
20inducibility, that is, the reproducibility of the  
21test was very poor, with only a 36 or 38 percent  
22reproducibility when the same test was done on the  
23second day. If they had two consecutive days, there  
24was a very poor reproducibility of the test. And  
25this is what concerned us about using inducibility as  
0058

1a screening technique, particularly in the low  
2ejection fraction group.

3 So the study design was the randomization  
4that you know about, the three to two randomization  
5so that we'd have more patients in the ICD group. We  
6used all cause mortality as the end point, and it was  
7a sequential design with preset stopping boundaries  
8and just a slight modification of the group  
9sequential design that is standardly done in almost  
10all trials.

11 Now the eligibility criteria were  
12eminently simple. Chronic coronary disease with a  
13prior documented myocardial infarction and the low  
14EF. During the first four months or five months of  
15MADIT between July and December of 1997, initial  
16eligibility required frequent or paired ventricular  
17premature beats on a screening 24-hour Holter. All  
18of the first 3 screened patients had these  
19arrhythmias, that is, frequent or paired. None had  
20non-sustained VT. And on the basis of this  
21information, plus the fact that the Holter was  
22inhibiting enrollment, we eliminated the screening  
23Holter on December 31st, '97 after the first 21  
24patients were enrolled.

25 Let me just go over quickly the exclusion  
0059

1criteria. Was any patient known to have a MADIT I  
2indication which was non-sustained VT, inducibility  
3and non-suppressibility, those were the criteria of  
4MADIT I. New York Heart Class IV enrollment, we  
5waited on enrollment until the patients were at least  
6more than one month post infarct for eligibility. We  
7waited three months after bypass surgery. We  
8eliminated patients who had advanced organ system  
9disease, and that was all spelled out in the  
10protocol. And of course, any of the patients under  
1121 years of age.

12 I'm not going to go through all the  
13results. They're in the publication. And the  
14baseline characteristics, I only want to emphasize  
15two things, in addition to the fact that they were,  
16of course, very well balanced. One is that the  
17interval between the index MI at enrollment was about  
18five years, that is the average, the interval was  
19greater than five years in roughly 50 percent of the  
20patients, so we're talking about chronic coronary  
21disease. And the second thing is that this study  
22involved patients with an average ejection fraction  
23of 23 percent. MUSTT had an average ejection  
24fraction of 29 percent. Just to put this in  
25perspective, this is the sickest group of patients  
0060

1who have been studied in any randomized trial.

2 In addition to the Kaplan-Meier curve, and  
3I will make comments later about this separation in  
4the early portion, but let me say that the total  
5mortality was reduced from 19.8 to 14.2, the hazard  
6ratio was .69, in other words a 31 percent reduction

7in all cause mortality, and this is the adjusted P  
8value taking into account the sequential design.

9 Now let me share with you some data that  
10has not been published yet but is being presented at  
11NASPE, we have submitted 11 abstracts and we will try  
12and share with you the information. If we take a  
13look at the cardiac deaths now, we said that the  
14total mortality was 19.8 in the conventional group  
15and 14.2 in the ICD group. If we now just look at  
16cardiac deaths, the mortality was 16.3 in the  
17conventional group and 10.6 percent in the ICD group.  
18If we look at sudden death, it was 10 percent, or  
19actually 61 percent of the cardiac deaths were sudden  
20in the conventional group, and in the ICD group it  
21was reduced to 3.8 percent, that is, 35 percent of  
22the deaths were sudden death in the ICD group. This  
23reduction in total mortality in the overall total  
24mortality from 19.8 to 14.2 is accounted for almost  
25exclusively by the reduction in sudden cardiac death.  
0061

1In other words, the device is doing what it's  
2supposed to do.

3 Now let me show you some additional  
4subgroup analyses. We have now looked at 30  
5subgroups and we have yet to determine and find any  
6subgroup that differs significantly in hazard ratios.  
7Here we're looking at hypertension, diabetes, atrial  
8fibrillation, left bundle branch block, where the  
9patients were enrolled from, and here you have the  
10mean of the entire population, study population. The  
11mean hazard ratios are by the vertical lines and you  
12see that the all patients, it was .69 and if you look  
13at any of the subgroups, although there is some  
14variation in there, no significant differences  
15between the subgroups and any one of them. So none  
16of 30 analyses that we have done have fallen on the  
17right side of this hazard ratio line. So we have not  
18identified any subgroup that does not benefit from  
19the defibrillator.

20 Let me just expand a little bit on this.  
21This is a variation of what we presented in the New  
22England Journal article. I just want to highlight  
23the age, that if anything, the older age gets a  
24little bit better effect, lower hazard ratio, but not  
25significantly so. And let me also go to QRS width.  
0062

1The QRS width that has been talked about, although  
2the benefit seems to get better with wider width, it  
3is not significantly different, there is no  
4significant difference in the hazard ratios between  
5any of the subgroups.

6 Let me take this age just a little bit  
7more because Medicare is dominated in part by the  
8over 65 age group. So if we do a subgroup analysis  
9and detail, age greater than or equal to 65, that  
10hazard ratio for this group is .58, so it's lower  
11than the total group. Once again, the sicker  
12patients seem to get the better benefit. In the  
13subgroup analysis we had 75 patients in this age  
14group who had a pacemaker to begin with, before  
15enrollment, before randomization, and they did not do  
16very well. But if you look at the QRS width of .12,  
17.12 to .15, greater than .15, the hazard ratios are  
18in fact identical and there is no significant  
19difference of course in these hazard ratios. So even  
20in the older age group we get the same pattern and if  
21anything, more strikingly so.



22 Now, let me see if we can respond to the  
23CMS MCAC document. One, the exclusions were not  
24uniformly applied. The MADIT I/MADIT II overlap.  
25The non-inducible ICD patients, what their -- let me  
0063

1say, we will show you that in the non-inducible group  
2with adjustment for imbalances, the hazard ratio  
3turns out to be 0.68, similar to the total group.  
4And we'll make some comments on the heart failure  
5question.

6 Okay, the exclusions. The trial was  
7initiated in July '97 and included the VPBs and the  
8pairs. If non-sustained ventricular tachycardia was  
9found, EP testing was required and patients were  
10excluded if he or she met MADIT I criteria. This was  
11consistently applied throughout the entire trial and  
12there was no patients who to our knowledge of  
13their -- there is no patient with MADIT I criteria  
14that we knew about who got into the trial.

15 Now the question of overlap. Let me just  
16say that these are the MADIT I criteria, EF less than  
17.35, non-sustained VT, EP inducible,  
18non-suppressible. Here's the MADIT II criteria. Let  
19me show you our best estimate of what exists. If we  
20take the MADIT II group and we go to the best  
21literature we can find, and if we take from  
22Dr. Bigger's article that was published in  
23circulation, taking a look at 24-hour Holters and  
24look at those patients who had an ejection fraction  
25less than 30 percent, 22 percent of these patients  
0064

1had non-sustained VT. EP testing in MADIT II was 36  
2percent that you've heard about. In MUSTT it was 35  
3percent, that is, who had positive inducibility. VT  
4non-suppressibility in MUSTT was 55 percent. So if  
5you say what was the overlap, 22 percent times 36  
6percent times 55 percent gives a figure of about 4  
7percent overlap. We believe that about 4 percent of  
8the patients in MADIT II would have met the formal  
9MADIT I criteria. This is our best estimate based  
10upon this approach.

11 Now let me go into EP testing, because  
12this was highlighted in Dr. Goodman's talk. And of  
13course EP testing at the time of implant or before  
14implant was the standard of care. Let me comment  
15that the criteria for enrollment that the patients  
16could have had an EP test anywhere up to six months  
17before enrollment, and that information could be used  
18and utilized by the ICD implanting physician as  
19information with regard to inducibility, because many  
20of the doctors did not want to repeat an inducibility  
21at the time of implant. The inducibility was also  
22done sometimes by the catheter technique and  
23sometimes through the defibrillator itself.

24 Now the major secondary objective of MADIT  
25II clearly spelled out in the published article that  
0065

1was published in 1998 or '99 in terms of the protocol  
2was to determine if EP inducibility in ICD patients  
3is associated with a higher appropriate ICD discharge  
4rate for interrogated VT and VF during follow up than  
5non-inducibility. This was in a high level second  
6level objective.

7 Now let me just say, for those that were  
8done through the catheter, we used a standard  
9criteria for inducibility, and as was pointed out,  
10actually there were 36 percent of the patients were

11inducible and 64 percent were non-inducible. Now let  
12me emphasize what is terribly important. The  
13non-inducible patients were in fact sicker with more  
14mortality associated risk factors, a higher  
15percentage with a lower ejection, with a lower New  
16York Heart classification, a higher percentage with  
17elevated BUN, and a lower percentage on the use of  
18beta-blockers than the inducible group. This was  
19highly significant at .03. So the inducible and  
20non-inducible patients were not randomized, so that  
21you have to take into account that the non-inducible  
22group is sicker.

23 Now let me just take you through this.  
24This is EP inducibility and appropriate ICD therapy  
25either for VT or VF. What we see is that those  
0066

1patients who were inducible had a greater appropriate  
2utilization of the ICD therapy for terminating VT.  
3So inducible was associated with an increased  
4utilization of the ICD for treatment of documented  
5VT. However, EP inducibility when we looked at with  
6regard to VF, we see exactly the reverse, that the  
7non-inducible patients had a greater utilization of  
8the device for VF than did the inducible patients.  
9So inducibility depends upon whether, if you have VT,  
10you're going to actually have a greater utilization  
11later on for VT, and if you have non-inducibility,  
12you're going to have a greater utilization for  
13ventricular fibrillation.

14 Now, some comment was made that there was  
15only 20 percent or 19 percent utilization of  
16appropriate therapy in the ICD arm. Well, that did  
17not take into account the time, and here is the  
18cumulative probability of appropriate therapy for  
19VT/VF in MADIT II patients and in fact, the figure is  
20not 20 percent, it's 40 percent when taking into  
21account the time exposure. And this is an important  
22difference from the raw or crude data that was  
23presented earlier.

24 Now, if you're talking about the question  
25of non-inducible group, we have to recognize that the  
0067

1non-inducible group had more risk factors for  
2mortality than the inducible group. Therefore, the  
3comparison of crude mortality between the  
4non-inducible and inducible is invalid because these  
5two groups differ in risk factors. Now Dr. Goodman  
6presented their approach of trying to estimate how  
7many of the patients in the conventional group might  
8have been inducible, et cetera. We have approached  
9this in a different way. What we have done is we  
10have looked at the non-inducible group and we  
11compared it to the conventional group, taking into  
12account the imbalance in risk factors.

13 And so this is a traditional Cox model,  
14proportional hazard model, and what we adjusted for,  
15and you can see that the BUN, the New York Heart  
16Association class, the no beta-blockers, each made a  
17very significant contribution to the model. And when  
18we model this taking the adjustment into account, we  
19find that the hazard ratio for non-inducible ICD  
20patients versus the conventional, looking at  
21mortality, had a hazard ratio of .68, which is about  
22as close as you can get to .69 of the total  
23population. So I would like to emphasize this point,  
24a 32 percent reduction in the risk of death per unit  
25time, et cetera, after adjustment for risk factor

0068

limbalance.

2 Now let me just show some other supportive  
3 data. We have 29 patients where we had absolute  
4 documented evidence from interrogation that the  
5 cardiac, first cardiac arrest was aborted by the ICD.  
6 Okay? And we looked at the distribution, and it  
7 turns out that of the 29 patients, 83 percent were in  
8 the group that was non-inducible, and this takes into  
9 account, this is the interrogation data and of the  
10 non-inducible group, they of course had more severe  
11 cardiac disease, as I have shown. So the ICD aborts  
12 cardiac arrest in more non-inducible than inducible  
13 patients.

14 Now let me talk about a very important  
15 thing, pre-enrollment. We found that we had 113  
16 patients in the conventional group and 144 in the ICD  
17 group who had non-inducibility before enrollment, and  
18 of course then they ended up getting randomized. So  
19 this is the best randomized comparison of these  
20 patients who had pre-enrollment, negative EP tests,  
21 non-inducible, and they subsequently got enrolled  
22 into, were randomized to conventional or ICD. And  
23 what we see here is the conventional group had a 19.5  
24 percent mortality, the ICD group of this EP negative  
25 was 9 percent. And so when we're comparing patients  
0069

1 who were non-inducible before enrollment, the MADIT  
2 II mortality rate in ICD patients is considerably  
3 lower than in conventional patients.

4 So, the summary with regard to EP testing,  
5 first, EP testing has poor reproducibility and if one  
6 is interested, there was one sub-study by Dr. Helmut  
7 Klein who tested reproducibility and found almost the  
8 same results as Dr. Sweeney, so that we have  
9 non-reproducibility in the MADIT population itself.  
10 The non-inducible patients are sicker than the  
11 inducible patients. The non-inducible patients  
12 receive more ICD shocks for ventricular fibrillation  
13 than do the inducible. The ICD aborts VF arrests in  
14 more non-inducible than inducible. And when we do  
15 the best adjusted analysis, taking into account the  
16 imbalances, we get a hazard ratio of 0.68 after  
17 adjustment for the risk factors.

18 Now let me just say a word about heart  
19 failure. This has come up. In the total MADIT  
20 population we have 244 patients who had heart failure  
21 requiring hospitalization. There are many different  
22 ways of looking at this, and we have looked at this a  
23 dozen different ways. We think the best -- and they  
24 all show essentially the same result. We think the  
25 best way is to look at the number of patients with  
0070

1 heart failure events, that is requiring  
2 hospitalization, per thousand follow-up months. And  
3 the reason for this is because of the increased  
4 survival rate in the ICD group compared to the  
5 conventional group, there is differential survival,  
6 so expressing it as a rate is we think the best way  
7 to do it. And in the conventional group it was 8.6,  
8 that is number of patients hospitalized for heart  
9 failure per thousand months, 10.5 in the ICD group.  
10 This difference is not significant, it's a P value of  
11 .16. And let me say, this analysis is done using a  
12 conditional binomial test to account for this  
13 differential survival affair, so this is based on  
14 rates. But I have to tell you that we've looked at

15this many different ways and we get P values ranging  
16from about .15 to about .3, but we never saw any  
17results indicating that there was a significant  
18increase in heart failure in the ICD group.

19           Let me just comment now in comparing the  
20trials. You've heard these comparisons. This is  
21just looking at it another way. This is MADIT I,  
22AVID, MUSTT, MADIT II, and of course CABG Patch is  
23different. Although the emphasis was well, maybe  
24CABG Patch didn't do inducibility, I personally think  
25that the difference relates to the fact that the  
0071

1patients had a defibrillator at the time they were  
2being treated for major coronary disease, angina  
3pectoris, unstable angina with bypass surgery. But  
4all of these others line up very very similar.

5           And it's my recollection that AVID didn't  
6have required EP testing to come in, so they should  
7have included AVID in the analysis. Once again,  
8patients were not randomized in the MUSTT trial to  
9defibrillator versus non-defibrillator. It was the  
10patients who failed EP suppressibility ended up who  
11got defibrillators.

12           So let me conclude. In MADIT II  
13population the ICD is associated with a 31 percent  
14reduction in risk of all cause mortality, hazard  
15ratio .69. No significant difference in ICD efficacy  
16between any subgroups that we've looked at, and we've  
17looked at many. ICD patients who were non-inducible  
18at EP had a 32 percent reduction in mortality, that  
19is hazard ratio of .68, after adjustment for  
20imbalances. And MADIT II had minimal inclusion of  
21potential MADIT I patients.

22           Thank you very much.

23           Dr. Sox: I think we'll move on now to  
24hear from Marshall Stanton, from Medtronic, and then  
25perhaps time for a couple clarifying questions before  
0072

1we take a break.

2           Dr. Stanton: Thank you very much. I am  
3Dr. Marshall Stanton. I am vice president and  
4medical director for Medtronic's Cardiac Rhythm  
5Management Division. I am a cardiac  
6electrophysiologist and I worked for 10 years at the  
7Mayo Clinic before joining Medtronic.

8           I have been a member of the MCAC panel for  
9the past three years, serving as industry  
10representative to what was the Medical/Surgical panel  
11under the old MCAC structure. In my experience on  
12that panel, the evidence from a single large, well  
13run, randomized controlled trial like MADIT II has  
14always been acknowledged to be the gold standard. As  
15an industry representative and an experienced  
16clinician, I urge the panel to consider not only gold  
17standard evidence but also practical evidence, the  
18consensus of the practicing clinical community. MCAC  
19and CMS have made great strides to ensure that this  
20perspective, which underlies much of current clinical  
21practice, is carefully considered in the development  
22of coverage policy.

23           For that reason, I find it especially  
24curious that the CMS Summary of Evidence presents the  
25MADIT II trial in such a negative light. The  
0073

1evidence supporting coverage of ICDs for the MADIT II  
2population includes not only the gold standard,  
3according to MCAC's hierarchy of evidence, but also

4the consensus of the practicing clinical community.  
5Indeed, the Data Safety and Monitoring Board stopped  
6the MADIT II trial because of the compelling survival  
7benefit of ICDs, and the results were published in  
8the prestigious New England Journal of Medicine. In  
9my experience on MCAC's Medical/Surgical panel, the  
10weight of evidence supporting coverage of MADIT II is  
11unprecedented.

12 Because I found CMS's summary of evidence  
13regarding MADIT II to be somewhat perplexing, I  
14reviewed the MCAC Executive Committee recommendations  
15for evaluating effectiveness, dated February 23rd,  
162001. On page 2 of the recommendation, the Executive  
17Committee notes that, "the most rigorous type of  
18evidence is ordinarily a large, well-designed  
19randomized controlled clinical trial. The ideal  
20randomized clinical trial has appropriate endpoints,  
21enrolls a representative sample of patients, is  
22conducted in clinical practice in the patient  
23population of interest, and evaluates interventions  
24as typically used in routine clinical practice."

25 The MADIT II study clearly fulfills all of  
0074

1these criteria. The study was large, well designed,  
2randomized, controlled and adequately powered. The  
3results were strong -- a 31 percent relative  
4mortality benefit. Half the enrollees were Medicare  
5age.

6 MCAC has historically viewed one large,  
7well-designed randomized controlled trial as adequate  
8evidence for coverage. In fact, small non-randomized  
9trials have been viewed as adequate evidence. The  
10MCAC guidance document goes on to say, "If the  
11evidence is adequate to draw conclusions, the next  
12question is the size and direction of the effect  
13compared with interventions that are widely used."  
14The magnitudes of effect size that merit coverage are  
15described as one, the improvement in health outcomes  
16is so large that the intervention becomes a standard  
17of care, or two, the new intervention improves health  
18outcomes by a significant albeit small margin as  
19compared with established services.

20 As previously stated, the MADIT II effect  
21is 31 percent relative benefit for the overall trial  
22and 9 percent absolute mortality benefit at three  
23years of follow-up on the Kaplan-Meier curves. I  
24think it's important to look at those curves, as CMS  
25and Dr. Moss have pointed out, so perhaps we will  
0075

1have more discussion on it. That magnitude of  
2life-saving effect is far in excess of other medical  
3therapies that are widely considered standard of  
4care, including beta-blockers for post-MI prophylaxes  
5and ACE inhibitors for heart failure. In that  
6context the magnitude of effect size is a one by  
7MCAC's definition. Indeed, this could be considered  
8breakthrough technology for this patient population.

9 Finally, the MCAC guidance document tells  
10us, "The process is intended to serve the public by  
11identifying medical goods and services that improve  
12the health of Medicare beneficiaries." This study  
13shows a definite improvement in health and clearly  
14identifies a patient group able to benefit from this  
15therapy. Patients are easily identified and risk  
16stratified by a previous myocardial infarction and an  
17ejection fraction less than or equal to 30 percent.  
18No other methods of risk stratification, including

19signal average ECG, T-wave Alternans, QRS duration or  
20EP study have been shown in randomized trial to  
21further define who would benefit to a greater or  
22lesser degree from ICDs. This should not be confused  
23with the fact that EP testing has utility in  
24different patients and for other reasons in this  
25patient group.

0076

1 CMS has proposed that we ignore the  
2results of a trial that was well designed and well  
3run, by their own MCAC guidance criteria, and instead  
4accept guesses as stated by Dr. Goodman, and a post  
5hoc analysis based on the inappropriate removal of 36  
6percent of patients from one arm of the study, and  
71.6 percent of patients from the other. We are asked  
8to accept the argument that since the percent  
9inducible patients is similar in MUSTT and MADIT II  
10trials, and only inducible patients were allowed in  
11the prior studies, that somehow that means that only  
12the inducible patients in MADIT II benefited from the  
13therapy. In conjunction with removal of patients,  
14CMS performs the questionable practice of subsetting  
15the MADIT II patient population below adequate  
16statistical power and then highlighting the resultant  
17nonsignificant difference as a meaningful finding.  
18Their conclusion is unsupported in addition to their  
19methodology being unscientific.

20 If the situation were reversed and a  
21requestor came to CMS saying our study didn't show  
22anything, but if you're just willing to make the  
23following assumptions and selectively remove some  
24data, we might just have something here, then there  
25would not be an MCAC panel meeting today. This

0077

1approach is clearly not accepted by the FDA, nor by  
2peer reviewed medical journals.

3 Further, the CMS argument is based on the  
4supposition that EP testing can risk stratify people  
5into those who are at high risk of death and those  
6who are not. EP testing is no longer accepted as an  
7appropriate risk stratifier in post-MI patients by  
8the medical community. This is based upon the  
9scientific literature, including last year's  
10publication of further data from the MUSTT study from  
11Dr. Buxton. Those data show that in patients with an  
12ejection fraction of less than 30 percent, those  
13people who are inducible at EP study and not treated  
14have a five-year mortality of 57 percent, and those  
15who are non-inducible have a five-year mortality of  
1654 percent.

17 The MADIT II data are consistent with and  
18add to the body of literature supporting the use of  
19ICDs as primary prevention in this patient  
20population. The CABG Patch trial is an excellently  
21run study and it provided important information which  
22is adopted into clinical practice. It identified a  
23group that does not benefit from prophylactic ICD  
24use, that is, patients with low ejection fraction,  
25positive signal average ECG, and requiring

0078

1revascularization, a group excluded from MADIT II.

2 As I mentioned, CMS and MCAC have  
3historically considered consensus of the practicing  
4clinical community as an important element of the  
5evidence base when considering questions related to  
6coverage. The three relevant medical specialty  
7societies, NASPE, the American College of Cardiology,

8and the American Heart Association have weighed in on  
9the MADIT II results with a solid IIA recommendation  
10in their recently updated guidelines. The European  
11Society of Cardiology gave a IIA recommendation in  
12their guidelines as well.

13 CMS has often used Blue Cross Blue Shield  
14TEC assessments as the basis for determining coverage  
15policies. Blue Cross Blue Shield TEC recently found  
16that the MADIT II indication met all five of its  
17technology assessment criteria. Blue Cross Blue  
18Shield TEC says the MADIT II evidence is sufficient  
19to provide coverage to 85 million covered lives.  
20Aetna and Kaiser already cover MADIT II patients  
21without restriction. In total, more than 115 million  
22non-Medicare patients have or are recommended for  
23MADIT II coverage.

24 Numerous organizations with rigorous  
25evidence-based medicine processes have reviewed the  
0079

1same clinical data that are before you and have  
2concluded that coverage of the MADIT II indication is  
3appropriate. Medicare beneficiaries should have the  
4same access to life-saving technology that's widely  
5available to non-Medicare patients. To deny Medicare  
6beneficiaries access to this therapy creates a second  
7class healthcare system in the United States.

8 Finally, I would like to thank CMS for the  
9opportunity to present, and to ask the panel to  
10support the MADIT II evidence and to allow  
11unrestricted coverage for beneficiaries meeting the  
12MADIT II indication. Sudden cardiac death occurs in  
13about 450,000 people in the United States each year.  
14It is the single largest cause of death, greater than  
15deaths from AIDS, breast cancer, lung cancer and  
16stroke combined. Patients with this indication are  
17dying every day and the study has already been out  
18for almost a year. Coverage will save lives. I ask  
19that rapid action be taken by CMS to institute  
20coverage and that my presentation be incorporated  
21into the record. Thank you.

22 Dr. Sox: Thank you, Dr. Stanton. We'll  
23now treat ourselves to a ten-minute break, and resume  
24at five after ten.

25 (Recess.)

0080

1 Dr. Sox: We've got the next 40 minutes or  
2so to ask questions of the presenters so far. And  
3perhaps what I should do before we resume is just  
4remind you that the group that's up here behind the  
5microphones will function as a panel of one, one  
6panel, up until the time that we basically take a  
7vote, and at that time the five individuals to the  
8right of Dr. Curtis will not vote and it will be just  
9up to the people down here to vote on the question, a  
10question of one.

11 Sean Tunis asked for a moment to make a  
12few clarifying remarks before we jump into the  
13discussion. Sean.

14 Dr. Tunis: I just wanted to make sure  
15that the committee understood, as well as the guests  
16here understood that the document on the, the  
17analysis by the CMS staff produced and distributed to  
18you and the presentation by the CMS staff represents  
19the interim work they have done, it is not a near  
20policy nor a policy document, and it should be taken  
21as nothing more than an attempt to provide you all  
22with some of the issues, some of the underlying

23issues that need to be discussed as you come to your  
24voting question.

25           The whole sort of premise of the coverage  
0081

1promulgation process is to have the opportunity for  
2public discussion and back and forth on some of the  
3more complicated issues. I think the, just to  
4respond directly to the implication that there is  
5some lack of legitimacy about having this meeting at  
6all, I remind the committee that these  
7recommendations by the ACC, AHA and NASPE on this is  
8a two-way recommendation and that there is  
9conflicting evidence, or conflicting in the sense  
10that it is not a Class I recommendation that there is  
11consistent and multiple studies and consistent expert  
12opinion of the value of the intervention. A two-way  
13recommendation from the ACC reflects the exact same  
14uncertainties about the analysis of the evidence that  
15we are here to consider, and that's the purpose of  
16this meeting.

17           So again, two points to make, which is  
18that the CMS document was publicly distributed for  
19purposes of living up to CMS's commitment to have  
20these issues discussed in public, and that the  
21purpose of this meeting is to fully explore the  
22acknowledged uncertainties in the evidence that  
23represent the opinions of the American College of  
24Cardiology and other organizations, as well as CMS's  
25issues.

0082

1           Dr. Sox: Before we begin discussion, I  
2would like to make an observation that might help us  
3to focus a little bit. The CMS analysis was sort of  
4predicated on the notion that there may be important  
5large subgroups within the MADIT II study which  
6differ in their response to the therapy and which can  
7be identified by EP testing. The two, the requestor  
8presentations seemed to me to focus on the idea that  
9EP is not a particularly good way to identify  
10subgroups of post-MI low ejection fraction patients  
11in a way that predicts their response to the therapy.  
12So, it's really crucial to get to the bottom of this  
13question of whether EP really helps at all because it  
14is in a way at the heart of the presentation that  
15Dr. Chin and Dr. Goodman made, and the contrary  
16assertion was at the heart of the presentation by the  
17requestors. So I'm beginning to think in my own mind  
18that that's a question that we need to focus on in  
19this discussion.

20           So with that said, and not meaning to  
21limit the discussion at all but simply to raise that  
22point, does anybody have any questions they would  
23like to address to any of the presenters? Yes,  
24Dr. Curtis?

25           Dr. Curtis: I wanted to ask Dr. Moss for  
0083

1a point of clarification about the MADIT II. Were  
2patients systematically screened for MADIT I type  
3indications prior to enrollment or not?

4           Dr. Moss: The answer to that is no, we  
5did not do Holter recordings on all the patients to  
6get into the trial. That would have -- when we tried  
7to do this initially, it inhibited enrollment. And  
8then further articles surfaced, actually referred to  
9in the CMS document, the articles by Dr. Steven Sing  
10and others that we have the articles here, where the  
11conclusion is that non-sustained VT has no



12predictable ability to discriminate endpoints.

13 Let me just take one minute to answer

14that. This is from Dr. Sing's conclusion.

15Non-sustained ventricular tachycardia, this is now in  
16patients with heart failure, was not an independent  
17predictor of all cause mortality or sudden death, and  
18then -- that was in Journal of American College of  
19Cardiology in 1998 -- and then in Circulation in  
202000, Tirlenk et al from the PROMISE study, that is  
21the ambulatory ventricular arrhythmias in patients  
22with heart failure, this is the title, do not  
23specifically predict an increased risk of sudden  
24death. So the answer is as evidenced, we initially  
25had the 24-hour Holter screening but after the first  
0084

1five months, that was eliminated and that was  
2discussed with the FDA.

3 Dr. Curtis: And as a follow-up to that,  
4it did appear that there were patients who had had EP  
5studies before enrollment and if they were negative  
6they were eligible for MADIT II. So does that mean  
7then that if a patient happened to have been  
8identified with non-sustained VT, if you happened to  
9pick it up on telemetry, then an EP study was  
10required and they only got in if they were negative?

11 Dr. Moss: That is exactly correct.  
12Anybody who had non-sustained ventricular tachycardia  
13identified in any way would undergo EP testing and  
14had, if they were inducible and not suppressible,  
15they were excluded from the trial and they had a  
16defibrillator implanted as part of the approved  
17protocol and they were not part of the trial.

18 Dr. Curtis: Thank you.

19 Dr. Sox: If I could ask a follow-up  
20question, Dr. Moss. You nonetheless accumulated a  
21fair number of patients that were inducible and  
22presumably they did not have non-sustained VT. How  
23did you come to find out that they were inducible?  
24Was that because you performed EP studies on them for  
25some other reason?

0085

1 Dr. Moss: Well, I think the best answer I  
2can give is that frequently in patients who had low  
3ejection fraction, physicians were doing inducibility  
4studies and if they found that they were inducible  
5and not suppressible, even though they didn't exactly  
6meet the MADIT I criteria, they frequently had ICDs  
7implanted. This is unrelated to the study. I mean,  
8they just screened them out, so that there were  
9groups around the country who were trying to screen  
10patients both with, some with Holters, but frequently  
11just on the basis of vague symptoms of palpitations  
12or near syncope or dizziness, who had low ejection  
13fractions and if in fact they were found to be  
14inducible, these patients very frequently received an  
15ICD and never got to us. I'm not sure that's an  
16answer to your question.

17 Dr. Sox: Well, yet the --

18 Dr. Moss: Oh, the inducible patients who  
19are in the study?

20 Dr. Sox: That were in the study, the  
21enrolled patients, how did you find out that they  
22were inducible if you excluded all the patients  
23who --

24 Dr. Moss: They were inducible after  
25enrollment, after randomization into the ICD arm.

0086

1And there were a small group of patients who may have  
2been inducible prior to entry into the study who got  
3randomized into one group or the other. It was just  
4a matter of -- Dr. Hall, do you want to respond to  
5this?

6 Dr. Sox: Maybe I could ask the question  
7another way. In your study protocol, did your study  
8protocol say anything about the performance of EP  
9studies in patients who enrolled in the study, did  
10you have a standard approach?

11 Dr. Moss: Only in that it was in the ICD  
12group, it was recommended that they have an EP test  
13at the time of the ICD implant. That was the only  
14recommendation. The decision as to whether they did  
15that or not was left up to the implanting physician.

16 Dr. Sox: And was there any decision made  
17if they were found to be inducible or not inducible  
18after those studies, was there any provision made  
19about taking them out of the study, or did everybody  
20stay in?

21 Dr. Moss: Everyone stayed in and they  
22were followed entirely with intention to treat.

23 Dr. Sox: Thank you. Dr. Redberg.

24 Dr. Redberg: I'm looking now on the slide  
25on the data comparing the inducible versus  
0087

1non-inducible from the MADIT II data, to the ICD  
2mortality where it differed from 9.5 to 16.6 percent,  
3and I understand that those obviously weren't  
4randomized. But I do also believe that, you know,  
5and certainly I agree with your statement before that  
6the main expectation would be reducing arrhythmic  
7deaths by use of the defibrillator because that's  
8obviously what it's going to do, and that if you do  
9believe inducibility is a predictor for arrhythmic  
10deaths, and it's certainly what I have been taught  
11through my cardiology training, then it does sort of  
12seem from the data and also from what you would  
13expect that you would have a greater reduction in  
14mortality in inducible than in non-inducible  
15patients.

16 You did point out that the non-inducible  
17group had more comorbidity because it wasn't a  
18randomized group, and I'm sure that's true, although  
19I also expect that in general, if you compared a  
20trial population to the Medicare population, they're  
21going to have a lot more comorbidity because trial  
22patients are always healthier than the patients we  
23actually see in our offices. And so I'm wondering,  
24so it's my, you know, take from this slide and the  
25data we have, and I understand we don't have the date  
0088

1on the control group, but it certainly seems to me  
2that inducibility does separate the mortalities there  
3because there's a big difference in mortalities such  
4that the non-induced mortality really is a lot closer  
5to the control than the inducible group. And I'm  
6just wondering if there is any other information that  
7you would have that would tell me that that's not a  
8reasonable assumption.

9 Dr. Moss: Well, the assumption is partly  
10complicated by the fact that the non-inducible group  
11is sicker, so you have to take that into  
12consideration. And when you take that into  
13consideration, the inducible and non-inducible groups  
14behave in a very similar way. So if you just look at  
15cruderaw mortality and not take time into

16consideration, then you get a very biased and what we  
17think is a somewhat, not somewhat, an inappropriate  
18conclusion, because those patients were not  
19randomized.

20           With your earlier comment that  
21inducibility has been the standard for identifying  
22patients with sudden death the question is, how do  
23you come to grips with a test that has very poor  
24reproducibility. And any statistician who I speak  
25with, that when they see a reproducibility of 38  
0089

1percent, they tell me there is no way you separate  
2the two groups because if you can get, have such a  
3poor reproduction when doing the same test the next  
4day, then how can you realistically use that test.  
5           Now I showed the data from Dr. Michael  
6Sweeney's presentation from 1997. That was what we  
7drew upon when we designed the trial. Dr. Helmut  
8Klein, and I will be glad to show the slides, did a  
9similar reproducibility, but he used a longer time  
10interval between the testing and he came to almost  
11the same conclusions, that they could not get the  
12patients who were inducible at one time when studied  
13the next time, had a very low likelihood of getting  
14the same result. And when you have that type of a  
15test, I don't see how you can use it as a  
16discriminator for patients. So if we have 36 percent  
17of the patients who were inducible at one point in  
18time and as Dr. Buxton pointed out, these were done  
19sometimes through the defibrillator, sometimes with a  
20catheter, sometimes within the six-month period  
21before, so the trial wasn't designed to ask and  
22answer that question. But in a test that's not  
23reproducible, I don't know how one can use that as a  
24screening test.

25           Dr. Redberg: That's interesting to me.  
0090

1It appears to me that Sweeney is an abstract, and I  
2don't know if that has been published in full  
3manuscript form.

4           Dr. Moss: I don't think so.

5           Dr. Redberg: And I think you would agree  
6that EP study has certainly always been used, or  
7certainly we have always been taught in practice as a  
8very reliable way to predict arrhythmias and you  
9know, we have structured, all the other trials had EP  
10testing I think for that reason, because EP studies  
11have been considered to be important. I certainly  
12don't think we do have good reproducibility data, but  
13I also do think that there's clearly a difference  
14between that inducibility group and the  
15non-inducibility group, and to say that even if it's  
16not that and due to comorbidity, as I said, I do have  
17concerns that the actual Medicare population would  
18certainly have a lot more comorbidities than the  
19MADIT II patients.

20           Dr. Moss: I'm not sure exactly how to  
21respond to that other than to say that even within  
22MADIT II we could not find the reproducibility in  
23these patients who were -- this is Dr. Helmut Klein's  
24work and if you want I will be glad to show you his  
25data that is being -- well, we submitted it for  
0091

1abstract presentation at NASPE, and it's in  
2preparation for manuscript, so I'm not sure -- oh,  
3the only other comment is virtually all of the  
4inducibility testing when you go back historically

5have been done on patients with relatively good  
6ventricular function.

7           That is, when you go back to Mark  
8Josephson and Leonard Horowitz studies of  
9inducibility, it was the fact that inducibility into  
10VT predicts subsequent VT in good risk, relatively  
11good risk patients. Nobody has really concentrated  
12on this extremely severe group of patients with an  
13average ejection fraction of 23 percent. That seems  
14to overwhelm the issue of inducibility.

15           Dr. Redberg: I'm just trying to, if you  
16could explain the 19 percent, the result that says 19  
17percent of patients got implantable defibrillators  
18actually received appropriate therapy. I don't  
19understand how that data is the same as this date  
20showing probability of first therapy, which looks  
21like it goes up to 40 percent at four years.

22           Dr. Moss: I will be glad to give you my  
23comment on that and I would like Dr. Hall to comment  
24also. The difference is they just took the numbers  
25not taking time into account, that is, the time of  
0092

1occurrence as you go out in time, the numbers get  
2smaller. That is the denominator, so that the  
3Kaplan-Meier survival curve or occurrence curve is a  
4much more accurate reflection of what is going on.  
5It's very similar in a way to the Kaplan-Meier  
6mortality curves. You have to take time into  
7consideration. But I'm going to ask Dr. Hall to make  
8a comment.

9           Dr. Hall: My name is Jack Hall. I am a  
10statistician for the University of Rochester, a  
11statistician for MADIT I and MADIT II studies, which  
12were of course sponsored by Guidant. The two  
13statements by the CMS report and Dr. Moss's are not  
14in contradiction. The 19 percent of the patients, if  
15I assume that's a correct figure, did have  
16utilization but of course some patients were only in  
17the trial for a month, others 6 months, others 12  
18months, others three or four years. And indeed, the  
19Kaplan-Meier says at the end of four years, by the  
20time that four years have elapsed, 40 percent will  
21have made good use of the defibrillator. The 19  
22percent figure you have to keep in mind, on average,  
23the patients were only followed for 20 months.

24           Dr. Redberg: So you changed the  
25denominator.

0093

1           Dr. Hall: If you look at Dr. Moss's  
2Kaplan-Meier curve, and looking at 20 months, you  
3will probably see something like 19 percent.

4           Dr. Sox: Dr. Matuszewski, you had a  
5question?

6           Dr. Matuszewski: Yes, for Dr. Moss.  
7Dr. Moss, can you give me a sense of how many  
8patients were screened before the 1200 plus were  
9enrolled in MADIT II?

10           Dr. Moss: I don't think we have an  
11accurate denominator on that. As we point out in the  
12article in the New England Journal, we attempted to  
13keep logs of the patients who were screened. That  
14just did not function as such in the way the patients  
15were referred, because they came from so many  
16different sources. They came from clinical  
17cardiologists who referred their patient to the  
18electrophysiologist. They came from radionuclide and  
19echocardiographic laboratories. So the number that

20were screened was probably very large, but we do not  
21have an accurate number on that.

22 Dr. Matuszewski: Do you have any sense  
23how many were excluded because they met MADIT I  
24criteria?

25 Dr. Moss: I don't think I have an  
0094

1accurate number but let me just check with and see if  
2any of my colleagues have that number. It's a number  
3that's less than double digits, somewhere in the 7 or  
48 percent, but we don't have that number.

5 Dr. Matuszewski: And then two more quick  
6ones. 3.8 patients per center enrollment, is that  
7accurate, for the 72 centers?

8 Dr. Moss: You know, I don't -- I mean,  
9it's whether you're taking a mean or a median or  
10what.

11 Dr. Matuszewski: That was per year  
12enrollment?

13 Dr. Moss: But as a mean figure, overall  
14the total group we had 76 centers and an enrollment  
15over four years to get roughly 1200 patients. We had  
16some centers that enrolled 20 or 30 patients, some  
17that enrolled 50 patients, and some that enrolled a  
18few patients. And the analyses that were provided  
19adjusted for and took into account the center  
20effects. Dr. Hall, would you like to comment on  
21that?

22 Dr. Hall: Yes. On average, 16 patients  
23per center over four years.

24 Dr. Matuszewski: Finally, was there any  
25clustering at centers, or individuals who performed  
0095

1the EP studies, either post-implementation or prior  
2to, so was it an effect of the 500 studies that were  
3done were the result of a handful of clinicians?

4 Dr. Moss: No, that wouldn't be the case.  
5This was, each center had roughly three or four  
6co-investigators, electrophysiologists at the center  
7who were involved in the implantation. There was no  
8heavy concentration in any few centers that dominated  
9the results or dominated the EP inducibility. It  
10was, I would say reasonably distributed across the  
11wide margin of centers.

12 Dr. Sox: Dr. Flamm.

13 Dr. Flamm: This question is to Dr. Moss.  
14I would like to clarify and understand the difference  
15between the results that you presented on  
16pre-enrollment EP results and the non-inducible, the  
17patients who were non-inducible on EP, and then  
18subsequently randomized into the conventional and the  
19ICD arms. And there were a total of 257 patients, of  
20which 113 were in the conventional arm. I would like  
21to understand the difference between those data and  
22the data that Dr. Goodman used where all the EP  
23results were in the ICD arm and I think virtually  
24none of the EP results were in the conventional arm.  
25So, are we talking about the pretrial EP results were  
0096

1not made available in the analysis that Dr. Goodman  
2did? And I would like to clarify that, because we  
3basically have --

4 Dr. Moss: I don't know precisely what Dr.  
5Goodman did. I can tell you what we did. We thought  
6it was important to compare apples with apples, and  
7so we took the patients who had a preceding  
8non-inducibility, preceding formal randomization. So

9we had accepted up to six months before for patients,  
10we could go back for patients who were randomized,  
11what their EP studies were prior to six months. That  
12was in the original design of the protocol. So that  
13group who had EP testing before and subsequently then  
14were randomized, we thought that's the best way to  
15compare apples with apples, because randomization  
16tends to make sure that you have the same risk  
17distribution and risk factors. And so that's what we  
18thought was the most appropriate way.

19 We thought there were two appropriate  
20ways. One was to look at the group of patients who  
21had EP testing before and subsequently, and then got  
22randomized. And the second was taking all the  
23patients who were non-inducible, finding out that  
24they were sicker, adjusting for risk factor  
25difference between that group and the conventional  
0097

1group, so that we took into the risk factor mortality  
2risk factors. And that's when we ended up with a Cox  
3hazard ratio of .68, a 32 percent reduction in  
4mortality in the non-inducible group with ICD therapy  
5when adjusted for mortality risk factors, because the  
6non-inducible group was clearly a sicker group.

7 Dr. Flamm: Okay, I understand. Is there  
8anybody else from Guidant, whoever provided the data  
9used by Dr. Goodman, to know whether those pretrial  
10non-inducible patients were included in his data set?

11 Dr. Moss: Well, we provided CMS with the  
12entire complete data set, they had all the  
13information. They worked for the most part off of  
14Version II, which we did for a long time. Version  
15III was only a slight change, and they had available  
16to them Version III. I think you should really ask  
17them. I don't know what they did. I know they had  
18the same data that we did and the same data was  
19available.

20 Dr. Sox: I think Dr. Curtis was next.  
21Anybody who wants to be recognized, just raise your  
22hand so I can get you.

23 Dr. Curtis: It sounds like the majority  
24of the EP tests that were done as part of the MADIT  
25trial were at the time of ICD implantation through  
0098

1the ICD; is that correct?

2 Dr. Moss: No. The majority were done  
3through catheter. A small percentage, I can give you  
4the specific figures, but I think it was only 8  
5percent that were done through the ICD. I will find  
6those numbers and give them to you, but go ahead.

7 Dr. Curtis: And was there a standardized  
8protocol recommended?

9 Dr. Moss: Yes. The standard protocol was  
10the protocol that Dr. Jay Mason had used in their  
11study that had been previously published and had been  
12utilized, and it was the same protocol that we  
13utilized and recommended and made it one. So it was  
14a through the catheter protocol at two sites, two  
15cycle lengths, so it was exactly the established  
16protocol. We can go on with the questions, but I  
17know that we have that breakdown of the numbers.

18 Dr. Curtis: You have standard definitions  
19for VT/VF and what was considered?

20 Dr. Moss: Yes. I showed that on the  
21slide, that is, with double stimuli we would accept  
22VF, and with triple stimuli VT or sustained  
23polymorphic tachycardia.

24 Here, I have it right here. Through the  
25ICD my recollection was correct, 8.2 percent.  
0099

1 Dr. Curtis: Okay, thank you.

2 Dr. Sox: I think Dr. Lee was next.

3 Dr. Lee: I would like to follow up on the  
4question we were discussing with the previous  
5panelist, and that has to do with the data that was  
6on Dr. Smith's slide with these EP negative patients.  
7The pretrial EP negative patients shows there are 113  
8of those in the conventionally treated patients, but  
9yet in the document that we received, the CMS  
10evidence summary, it indicates that there were only  
1112 patients in the control group that had EP testing.  
12Could we get a clarification of that apparent  
13discrepancy? And that's simply because this issue of  
14inducibility and EP testing seems to be a fairly  
15critical issue in this discussion.

16 Dr. Moss: Dr. Hall, do you want to first  
17respond to that as you understand it?

18 Dr. Hall: My understanding is that the 12  
19were identified as inducible during the trial and  
20not -- the 113 you refer to was a different set of  
21data, different form, whatever, it was all about  
22pretrial activity, and so that 113 is pretrial. The  
2312 is post-trial.

24 Dr. Lee: I think it must be those 12  
25patients that were the basis of the data that  
0100

1Dr. Goodman was looking at. Could I just ask Dr.  
2Goodman a question.

3 One of your slides indicated that based on  
4your analyses that the data provided as you  
5characterized it, weak to moderate evidence that the  
6ICD effect is greater in inducible than non-inducible  
7patients.

8 Dr. Goodman: Right.

9 Dr. Lee: As I go back to the New England  
10Journal article that Dr. Moss and colleagues  
11published, if you look at some of the subgroup  
12analyses that were reported in that manuscript, in  
13particular for example, the breakdown according to  
14different age categories or the breakdown according  
15to the width of the QRS interval, you see differences  
16in terms of the hazard ratios, they're numerically  
17different at least according to the paper. There  
18were apparently formal tests performed for  
19statistical interactions and none were found to be  
20significant. Yet, I can see just from looking at  
21that plot of the hazard ratios that the absolute  
22difference in mortality rates between for example the  
23patients who were 60 to 69 years of age is going to  
24be considerably less than the absolute difference in  
25mortality in the patients who are less than 60 years  
0101  
1of age.

2 So, I have two questions. One is, based  
3on your predictions of inducibility and as you look  
4at inducible patients compared to non-inducible  
5patients and the differences between the treatment  
6effect in those two groups, did you attempt to  
7evaluate whether there was an interaction, a  
8statistical interaction present, or did you feel that  
9that was sort of carrying the predicted inducibility  
10analysis too far?

11 Dr. Goodman: Well, the last term on my  
12slide, which is the difference of the two effects, is

13the interaction term, and that was what the basis for  
14that comment was.

15 Dr. Lee: You didn't tell us whether that  
16was statistically --

17 Dr. Goodman: Well, I have the confidence  
18interval there. It was not, which is why it was  
19characterized as weak to moderate evidence. The  
20absolute difference in effects would be minus 5  
21percent with a confidence interval, you actually have  
22it there, of relatively minus 12, I think, to plus 2,  
23or somewhat broader than that, and I think the P  
24value was about .2. So it included a zero  
25difference, which is why I couched the, or made the  
0102

1warning against interpreting the subgroup effects in  
2isolation from each other.

3 Dr. Lee: Okay. I understand your reason  
4for stating then that it was perhaps a weak to  
5moderate effect. As we look at some of the other  
6subgroups that were examined, Dr. Moss and Dr. Hall,  
7in your New England Journal of Medicine paper, would  
8you also conclude that your data provide weak to  
9moderate evidence that the ICD effect is greater in  
10patients who are 60 to 69 years of age, compared to  
11these that are less than 60 years of age? In other  
12words, I'm just trying to put all of these various  
13subgroup analyses into some kind of perspective and  
14I'm just interested in what you would conclude from  
15your New England Journal subgroup analyses compared  
16to this subgroup analysis that we've heard today  
17relative to inducibility.

18 Dr. Moss: Well, let me say that first, we  
19found no statistically significant interactions  
20within any of the subgroups whatsoever, and we looked  
21at that. Now, granted that the trial was predicated  
22on looking at total mortality as the primary  
23endpoint, but in many trials that are performed, one  
24frequently finds a subgroup that doesn't behave  
25properly, in which the mean hazard ratio falls on the  
0103

1other side of the hazard, on the one value above one,  
2and that you can get a bidirectional interaction. We  
3found none of that in this study.

4 Dr. Hall did most of the interaction  
5analyses and maybe would like to make a comment.

6 Dr. Hall: It's hard to have any standard  
7of what is weak or moderate evidence. My views would  
8differ from Dr. Moss's which would differ from  
9Dr. Goodman's, I'm sure, so I'm not sure what can be  
10said about that. And certainly the once you refer  
11to, I think most of us might well disregard because  
12it seems so peculiar that the under age 60 does well,  
13the 60 to 69 doesn't look quite as good, and then the  
14over 70 looks good again. It doesn't make sense that  
15the 60 to 69 are somehow different. And in later  
16analyses we've cut at 65, especially for this group,  
17and there 65 and above looks like it's a lot better  
18than the under 65s. I would call that, maybe that's  
19weak evidence, but it's certainly not a statistically  
20significant difference.

21 Dr. Lee: The reason for the question is  
22to try to give the committee a flavor for the  
23credence that we put into this analysis of inducible  
24versus non-inducible patients, because it's another  
25subgroup analysis basically, although it was arrived  
0104

1at through a much more indirect route.



2 Dr. Goodman: I also want to point out  
3that even if you have an interaction term there, as  
4you know, it doesn't necessarily mean that the effect  
5in the non-inducible was zero. They could be  
6different and still both be non-zero, so the presence  
7or absence of the interaction term isn't necessarily  
8the end of the story.

9 Dr. Sox: They could be not only different  
10and non-zero, but they could be clinically important.

11 Dr. Goodman: Right. They could both be  
12beneficial to a different degree.

13 Dr. Sox: Okay. Dr. Krist.

14 Dr. Krist: I have two unrelated questions  
15and the first is going back to the data on the  
16pretrial EP negative population, and this is for  
17Dr. Moss. I was interested if you have any  
18information about how similar that group was to the  
19general MADIT II population or to the folks who were  
20EP negative when they were tested in the context of  
21MADIT II, as far as age or CHF status, or if there  
22was a difference in this population compared to  
23general MADIT II population.

24 Dr. Moss: We have not specifically looked  
25at that. However, since the patients were  
0105

1randomized, we would assume that they were quite well  
2balanced. And so your question is with regard to the  
3pre-enrollment EP non-inducible group that  
4subsequently got randomized to either ICD or non-ICD,  
5how they compare with any of the other groups and  
6whether the two groups ended up, that is within the  
7ICD and non-ICD arms, whether they had equivalent  
8clinical makeup or not. We just don't have that  
9information. There would be no reason to believe  
10that they would be different, since they were  
11randomized.

12 Dr. Sox: I have actually stuck myself in  
13with a question at this point. You calculated a  
14hazard ratio of I think .68 for ICD in non-inducible  
15patients, Dr. Moss, and I think I can understand how  
16you calculated the numerator for that, that's the ICD  
17group. But I'm having trouble figuring out how you  
18figured out the death rate in the non-ICD group of  
19non-inducibles, since presumably you were facing the  
20same problem that Dr. Goodman did in trying to come  
21up with a reliable calculation for that.

22 Dr. Moss: I think we can give a very  
23specific answer to that. Dr. Hall?

24 Dr. Hall: Yes. That .68 is a comparison  
25of the non-inducibles in the ICD group with all  
0106

1patients in the conventional group, but takes into  
2account and adjusts the computations for the  
3differences in risk.

4 Dr. Lee: I thought it was impossible to  
5take into account the inducibility status, that's one  
6thing you could not include in your model.

7 Dr. Sox: Right.

8 Dr. Hall: Right, that's right. We do not  
9take into account inducibility status in the  
10conventional group because it's unknown.

11 Dr. Sox: So it's not strictly comparable  
12comparison, it sounds like. That's Kerry's point.

13 Dr. Hall: In one sense not strictly  
14comparable, but in another it's comparable in the  
15sense that it has been adjusted, it's standard  
16statistical practice in any observational study to

17adjust for differences between the two groups being  
18compared.

19 Dr. Sox: Right. Okay. The next one is  
20Dr. Buxton.

21 Dr. Buxton: I think I can amplify on some  
22of the data that Dr. Moss was speaking to regarding  
23reproducibility of tachycardia induction. There are  
24six published, not abstracts, but published studies  
25in patients with myocardial infarction between one  
0107

land three months prior to the EP study that uniformly  
2showed 80 percent reproducibility in those results.  
3You could take as an adaptation data that Dr. Moss  
4quoted from the MUSTT trial, regarding inducibility,  
5if you looked at the patients who had inducible  
6tachycardia in that trial, were randomized to EP  
7guided therapy and went through electrophysiologic  
8testing on drugs. 55 percent were inducible on  
9drugs, so there is at least 55 percent inducibility  
10even in the presence of a drug, and undoubtedly the  
11drug suppressed the inducible arrhythmia in some of  
12these.

13 The answer is that it's still not very  
14high and because of that, we don't rely on repeated  
15inducibility of electrophysiologic testing to gauge  
16the efficacy of antiarrhythmic therapy in this group.  
17This trial, this MADIT II trial was not designed to  
18evaluate the utility of EP testing and I think it  
19would be a corruption of these data to try and use  
20them to decide whether or not the defibrillator works  
21in the population in question. There was a trial  
22that specifically asked that question and that was  
23the MUSTT trial. The MUSTT randomized patients who  
24had inducible tachycardia. It followed in a  
25controlled fashion patients without inducible  
0108

1tachycardia and with inducible tachycardia, and  
2showed that the risk of arrhythmic death and cardiac  
3arrest, as well as total mortality, was significantly  
4higher in the patients with inducible tachycardia.

5 The MUSTT investigators then published  
6last November in circulation an article that was  
7referred to earlier looking at the effect of the  
8patients ejection fraction on outcome and compared  
9that with inducibility. And what that analysis  
10demonstrated very clearly was that both ejection  
11fraction and inducibility contributed independently  
12to total mortality. However, in the patients whose  
13ejection fraction was less than 30 percent, the  
14electrophysiologic test for those patients who had  
15inducible tachycardia had higher event rates both for  
16arrhythmic death and cardiac arrest, and total  
17mortality than the non-inducibles. The differential  
18was not nearly so striking as we observed in the  
19patients whose ejection fraction was 30 to 40  
20percent.

21 So the electrophysiologic test does  
22restratify, it's less accurate in patients with poor  
23ventricular function, and that logically makes sense.  
24The worse the LV function, the more the likelihood of  
25heart failure and other factors that can cause a  
0109

1patient to die suddenly that we do not detect at  
2electrophysiologic testing. The electrophysiologic  
3test is not perfect, none of these tests that we have  
4for risk stratification is perfect. It's not a  
5simple issue. There are multiple ways to die

6suddenly. The one thing that's clear is that the  
7vast majority of these mechanisms for dying suddenly  
8in this population are treated effectively by the  
9defibrillator.

10 Dr. Sox: Thank you. Next is Dr. Holohan.

11 Dr. Holohan: This is a question for  
12Dr. Moss. I'm on your page 23, which is the  
13cumulative graph of shocks in patients during the  
14study, cumulative probability of administration of  
15shocks. And it's not surprising that this increases  
16simply given the fact that if an event is possible,  
17no matter how improbable, given enough time it will  
18occur, anything possible will occur. The question I  
19have is, we've talked about a cumulative probability  
20of 40 percent at four years. How many actual  
21patients of the total number in the trial were  
22followed up to four years?

23 Dr. Moss: Well, it was rolling  
24enrollment, and in the Kaplan-Meier curve in the New  
25England Journal article, we started out with, say in  
0110

1the defibrillator group, 742 patients, and the  
2denominator by one year was 503 patients, and by two  
3years it was 274 patients, and three years it was 110  
4patients. And by four years, that is those who were  
5followed for four years, were nine patients.

6 So that's why what Dr. Hall had said  
7earlier, if you don't take into account time, you're  
8comparing patients who may have only been followed  
9for one month versus those who were followed for 48  
10months, and so you really have to adjust for the time  
11exposure, it's a very important part of this. And  
12what Dr. Hall said was that if you take a look at the  
13two-year interval, or really 19 months, the average  
14follow-up, it's about 20 percent, which is very close  
15to the 19 percent that was quoted in the work of  
16Dr. Goodman. So I mean, I think that's important in  
17any trial where there is rolling enrollment, taking  
18into account the time exposure is an essential part.

19 That's the way one also calculates the  
20mortality and if you take the fact that you follow  
21patients for four years but on average the patients  
22were followed for two years, some longer, some  
23shorter, that's where you get the differential  
24mortality and it just gets larger. Now, I think you  
25also have to take into account that the device itself  
0111

1has a longevity of six or seven years or more, and so  
2one terminates a trial after an average follow-up of  
3two years because that's when the mortality was shown  
4to be significantly reduced, and we have the moral  
5and ethical obligation to terminate a trial in  
6patients who have agreed and signed up to be  
7randomized when there is a clear differential  
8survival benefit, and so that's the reason for a data  
9safety monitoring board.

10 Dr. Holohan: I understand data safety  
11monitoring. That wasn't the question I was getting  
12at, thank you.

13 Dr. Redberg: Aren't the numbers actually  
14on the bottom of that slide? It says there were five  
15patients at year four on that slide, and 72 at year  
16three. If you look at page 23, it says number of  
17patients ICD, it starts out at 720 and then it goes  
18to five at year four.

19 Dr. Holohan: You're correct.

20 Dr. Moss: Yes.

21 Dr. Sox: Does anybody else on the panel  
22 want to ask a question? We've basically got about  
23 seven more minutes before we're going to go to public  
24 comments. And you will have the opportunity to ask  
25 questions during our discussion after lunch, so I  
0112

1 I guess I will just, I probably should take them first  
2 from people who haven't already asked a question.

3 Yes, please, Dr. Weil.

4 Dr. Weil: Yes. We had spent a lot of  
5 time so far talking about the various attempts to do  
6 a sustainability non-sustainability subgroup  
7 analysis, but I wanted to go back to the point that  
8 you, Dr. Moss, raised about the likely number of  
9 patients who would have met the MADIT I criteria in  
10 the patient population, and I think you came up with  
11 a figure of approximately 4 percent, and I would  
12 appreciate if if you or Dr. Hall could go a little  
13 bit further in explaining why you believe that that  
14 figure would not be sufficient to be explained by an  
15 overwhelming treatment effect for the inducible  
16 population as opposed to non-inducible population,  
17 because we had spent so much time on trying to get  
18 into the details of these particular analyses.

19 Dr. Moss: Well, if I understand your  
20 question properly, the 4 percent figure that we  
21 estimated is one thing, but it seems to me that what  
22 you're asking is could we account for the overall  
23 effect that we observed on the basis of inducible  
24 patients having a dramatic effect. Well, only 36  
25 percent of the patients were inducible and 64 percent  
0113

1 of the patients were not inducible, so it seems to be  
2 just in an overt way that there is no possibility  
3 that the inducible patients carried all of the weight  
4 of the trial, this is what this whole discussion is  
5 about.

6 Secondly, the indication and approval by  
7 CMS for MADIT I criteria are the ejection fraction,  
8 inducibility and non-suppressibility. Those were the  
9 criteria for enrollment. Those, any patient who was  
10 found to have -- with a non-sustained ventricular  
11 tachycardia. So you have to take into account those  
12 criteria, that was the criteria that was used for  
13 MADIT I. Okay? If you now say what are the  
14 percentage of patients who met, truly met MADIT I  
15 criteria, it's a very small percentage, 4 percent, 6  
16 percent, 3 percent, I don't know. Also, it's not  
17 logical or possible that the mortality, overall total  
18 mortality reduction was carried by 36 percent of the  
19 patients in the ICD arm.

20 Dr. Sox: Dr. Wilkoff is next.

21 Dr. Wilkoff: I know this is a slightly  
22 different topic, but I want to get this information  
23 because I think it will come up later as well. My  
24 understanding is that approximately half the patients  
25 who got defibrillators had dual chamber  
0114

1 defibrillators; is that correct?

2 Dr. Moss: It's not correct. About 80  
3 percent had dual chamber.

4 Dr. Wilkoff: And do you have any  
5 information about the percentage of right ventricular  
6 pacing in the defibrillator group?

7 Dr. Moss: If I could just take a minute,  
8 I could give you the best information we have. 80  
9 percent of the patients had dual chamber pacemakers.

10In general the setting in the dual chamber pacemakers  
11was in fact 70 beats per minute. If we look at the  
12comparison of the dual chamber versus the single  
13chamber, the 20 percent, the figures and the graphs,  
14which I will be glad to show, look superimposable  
15upon the DAVID study. No significant difference in  
16mortality. More heart failure with a P value of  
17about .02. The curves look very similar, although in  
18the DAVID study all the patients had dual chamber and  
19they were programmed to either single chamber at a  
20backup pacing rate of 40, versus dual chamber pacing  
21at 70. We do have percentage of ventricular pacing  
22in both groups and we're just looking at that data  
23now, but the overall -- and we have the graphs here  
24and I would be glad to show them, are very very  
25similar to DAVID.

0115

1 Dr. Wilkoff: Because it's interesting,  
2and I don't know how this works out, but the mean in  
3the non-inducible group, the data that Dr. Goodman  
4showed us, showed that the mean heart rate was  
5slightly increased, which suggests that there may  
6have been an imbalance in the programming between the  
7inducible and non-inducible group. And also as you  
8said, the non-inducible group had more heart failure  
9throughout this. So the question whether it is, not  
10only was there possibly an imbalance between the  
11heart rate but perhaps the percentage of right  
12ventricular pacing between the inducible and  
13non-inducible group, and so that's how, there's  
14possibly another interaction that goes on with this.

15 Dr. Moss: Let me first say we're grateful  
16for you and your research group in clarifying the  
17issue of dual chamber and versus single chamber,  
18effective single chamber, and we can only say that in  
19a sense, you beat us to the punch, because the  
20findings look very similar and I think your  
21interpretations are good interpretations. And this  
22is all retrospective. In any good study, you always  
23find more information to carry out subsequent  
24studies. If I remember correctly, the hypothesis of  
25the DAVID study was the thought that the dual chamber  
0116

1might in fact do better, and it turned out that was  
2not the case, one didn't appreciate desynchronization  
3pacing, if you will.

4 And so like everything else, you design a  
5study in 1997, and the study comes out, as you look  
6over the data, it serves as very useful hypothesis  
7generating study. Had you not done the DAVID study,  
8we would have predicated, we would have wanted to  
9look at that very carefully.

10 Dr. Wilkoff: Right. I guess the point I  
11would make is it's not whether it's DDD pacing or VVI  
12pacing, it's whether it's -- what is the percentage  
13of right ventricular pacing. And when you do that  
14analysis, I would like to see it at some point.

15 Dr. Moss: We do have preliminary data on  
16that. There is no question that the dual chamber had  
17something in the order of 92 percent time, where it  
18paced the ventricle, and in the single chamber it was  
19down around 12 percent, so we do have -- you can  
20interrogate the device, which we did at close-out,  
21and you can get the percent total ventricular pacing,  
22and there is a huge difference between the single  
23chamber and the dual chamber, in the range of around  
2410 or 12 percent in the single chamber and in the

25range of 92 percent in the dual chamber, for  
0117

1ventricular pacing.

2 Dr. Sox: We're going to have one more  
3question from Dr. Redberg, a brief comment from Dr.  
4Goodman, and then we're going to hear from the  
5scheduled presenters.

6 Dr. Redberg: My question is related to  
7gender, because as you know, cardiovascular disease  
8is the leading cause of death in women and in fact as  
9we get older, there are more women than men with  
10cardiovascular disease. But the MADIT trial  
11population was only 15 percent women and in fact the  
12confidence interval is plus one when you look at the  
13data for women. And I look back at MADIT I and it  
14was 8 percent women. So I'm wondering if there was  
15some problem enrolling women in this trial or why the  
16numbers are that low.

17 Dr. Moss: I can only say we were as  
18proactive as we could to enroll women. I am pleased  
19to say that the women appeared to get a better  
20benefit from the defibrillator than the men, but in  
21electrophysiologic testing and referral, I think  
22whatever the bias is, I don't fully understand it at  
23the present time, and I think the types of positions  
24that you and associates are taking to try and expand  
25this, we are contemplating a trial in the future to  
0118

1almost exclusively focus on women, because we don't  
2think they have been adequately represented. But we  
3did our best to enhance enrollment.

4 I think the same thing was probably true  
5in the MUSTT trial and maybe Dr. Buxton would want to  
6just comment on this. It's a difficult problem.  
7Dr. Buxton, can we get at least a spontaneous  
8comment?

9 Dr. Buxton: It's true that women relative  
10to men were under representative and I think the  
11percent of women in the trial, given the mean age of  
12patients in the early 60s, is not that far off from  
13the percent of women who have myocardial infarctions  
14at younger ages.

15 Dr. Sox: Dr. Goodman, a brief comment,  
16and then we will go on to hear from the public.

17 Dr. Goodman: I just wanted to state for  
18the record, I was very chagrined to hear that there  
19was a critical variable on pretrial inducibility  
20testing that we might have missed. In fact, the  
21miracle of modern computer technology allowed me to  
22look at the data set that we were sent, and that  
23variable is not there, so I don't know if it was in  
24the original data set and not sent to us, I have no  
25idea, but we have what looks like a complete data set  
0119

1but that variable doesn't exist.

2 One other point on the logic of our  
3analysis and the issue of adjusting. We took  
4advantage of the randomization in that if indeed the  
5inducibility status was as we predicted, the  
6assumption was that the various characteristics were  
7randomly divided between the treatment group and the  
8non-treatment group, and these sorts of adjustments  
9are not necessarily done but when you're comparing  
10two randomized groups they're certainly absolutely  
11critical to be done when they are done within a  
12single group, which was the analysis that Dr. Moss  
13showed. So the two analyses are not working at cross

14purposes here, they are analyzing in a sense two  
15different things, because we were actually attempting  
16to use inducibility status in the control group that  
17they were not using in their analysis.

18 Dr. Sox: Thank you.

19 We are now going to hear from eight  
20individuals who applied for the opportunity to speak  
21before us. The ground rules are that you have five  
22minutes to speak. And those of you who have been to  
23these meetings know that I will cut you off if you go  
24over, so please don't make me be impolite. The first  
25speaker is Dr. Gregoratos, and I will remind him and  
0120

1the other speakers to state whether or not they have  
2any financial involvement with manufactures of any  
3products being discussed or with their competitors.

4 Dr. Gregoratos: Dr. Sox, Dr. Tunis,  
5members of the panel, and staff, thank you for the  
6opportunity to present you with the position of the  
7American College of Cardiology, an organization of  
828,000 physicians dedicated to the diagnosis and  
9management of heart disease, an organization of which  
10many of you on the panel belong.

11 I am Gabe Gregoratos. I'm a clinical  
12cardiologist, not an electrophysiologist, at the  
13University of California San Francisco. For the  
14record, I have absolutely no connection, financial or  
15otherwise, with any device manufacturer.

16 I would like to take a minute to discuss  
17the guideline methodology of the ACC and the American  
18Heart Association, since our guidelines have been  
19mentioned many times this morning by several  
20speakers. And the reason I am here is because I have  
21been the chair of the guideline committee for the  
22pacemakers and defibrillators since 1996.

23 The guideline process started in 1980 and  
24it is interesting that the first published guideline  
25was in fact one for pacemakers and defibrillators in  
0121

11984. The motivation of the American College of  
2Cardiology and the American Heart Association can be  
3seen from this slide, and it's taken from the  
4preamble of the first published guideline in 1984 and  
5I read only part of it, but it says, it is therefore  
6appropriate that the medical profession examine the  
7impact of developing technology on the practice and  
8cost of medical care.

9 Now I believe that our practice guideline  
10methodology is quite rigorous. There is a parent  
11task force from both organizations that appoints  
12writing committees. Writing committees consist of  
13general cardiologists, subspecialists and other  
14individuals that are related to the subject at hand.  
15The writing committee conducts extensive review of  
16numerous databases. The draft guideline is exposed  
17to an absolutely tremendous amount of peer review,  
18and the peer review process is located on this slide.

19 As you can see, there are both internal  
20and external reviewers from the ACC, the AHA. There  
21are content reviewers. There are reviewers from  
22other organizations. In the case of the current  
23update, NASPE participated. It is rereviewed by the  
24task force after the document has been modified,  
25depending on the peer reviews. And I must tell you  
0122

1as an example that I had to respond to 27 peer  
2reviews, many of which were multipage single spaced

3extensive reviews of the document. So the document  
4is extensively peer reviewed, revised, and then it  
5goes back to the parent task force, approved and back  
6to the parent organizations for a final vote before  
7publication.

8 I would like to mention very briefly the  
9classification of our recommendations, since that was  
10mentioned before. Class IIa is a recommendation that  
11pertains to conditions for which there is conflicting  
12evidence and/or a divergence of opinion about the  
13usefulness or efficacy of a procedure or treatment.  
14But I point out the weight of evidence is in favor of  
15usefulness or efficacy.

16 Most of this other information is in your  
17handout. This is the membership of the committee  
18that wrote the current update and the institutions  
19and credentials of those members are listed in your  
20handout.

21 So in my 58 seconds left, I will address  
22question 2.a, which is the question on hand today.  
23The answer to question 2.a as far as we are  
24concerned, according to our guideline, is a qualified  
25yes. The rationale for our recommendation in favor  
0123

1of prophylactic ICD implantation in the population of  
2the MADIT II types is indeed the MADIT II trial, and  
3you have heard all the data from Dr. Moss and I will  
4not bother repeating it.

5 Our committee concluded that MADIT II is  
6an important well-designed randomized controlled  
7trial of seminal significance, and that MADIT II  
8results do support the prophylactic use of ICD  
9therapy in the subject population.

10 Now we have been asked, and you probably  
11will want to ask me why did we assign this  
12recommendation at IIa and not at Class I  
13classification, and these are the questions that the  
14committee had when it arrived at its IIa  
15recommendation in June of 2002. I emphasize June of  
162002 because since then, additional data have become  
17available and I have no knowledge whether if we were  
18reconsidering the recommendation today we would  
19assign it a Class IIa or a different level  
20recommendation. And you can see the questions that  
21the committee had and you can read them on your own.

22 And I will, I have only one other thing,  
23that we believe that it is inappropriate to carry out  
24a comparison between MADIT II and the CABG Patch  
25trial for all the reasons that were previously  
0124

1mentioned from this podium and the reasons that are  
2listed in your handouts.

3 The position of the American College of  
4Cardiology is as follows: We support the ICD therapy  
5for MADIT II indications in this particular subject  
6population. We recommend strict adherence to the  
7MADIT II inclusion and exclusion criteria. We  
8recommend continued investigation of optimum risk  
9stratification of patients in this group. And we  
10recommend development of a registry of patients  
11receiving ICDs for MADIT II indications; the registry  
12very importantly should include the date and method  
13of LVEF measurement in relation to the date of  
14myocardial infarction and/or date of  
15revascularization.

16 I have additional data that I can provide  
17you later on if you require.



18 Dr. Sox: Thank you very much, sir. I  
19 appreciate your efforts to try to stay within the  
20 time limit. We're now going to hear from Dr. Richard  
21 Cohen.

22 Dr. Cohen: Thank you very much. My name  
23 is Richard Cohen, and I am here to discuss microvolt  
24 T-wave Alternans testing, which is a noninvasive  
25 means of risk stratification of patients for risk of  
0125

1 sudden cardiac death. By way of disclosure, this  
2 technology was developed in my laboratory at MIT.  
3 Dr. Joseph Smith and I were co-inventors of the  
4 technology, and MIT subsequently licensed the  
5 technology to Cambridge Heart. I have been involved  
6 with Cambridge Heart since its inception and I do  
7 have a financial interest in the company.

8 I would like to first present data from  
9 the multi-center regulatory trial which was done for  
10 the purposes of FDA clearance of this technology. In  
11 this study of patients undergoing electrophysiologic  
12 study at multiple centers, T-wave Alternans achieved  
13 a relative risk of 13.9 for prediction of ventricular  
14 tachyarrhythmia events plus total mortality. In  
15 comparison with invasive electrophysiologic testing,  
16 the event rate among patients who tested positive  
17 were comparable, about 25 percent. But the event  
18 rate among patients who tested negative was several  
19 times lower among the T-wave Alternans patients  
20 compared to the EP negative patients, accounting for  
21 the improved relative risk for T-wave Alternans  
22 compared to electrophysiologic testing, and this type  
23 of relationship between T-wave Alternans and EP has  
24 held up across multiple studies, and there's a table  
25 in your handout.

0126

1 The next study I would like to present is  
2 a study of 107 consecutive patients with Class II and  
3 III heart failure and no prior history of ventricular  
4 tachyarrhythmic events. Among patients who tested  
5 T-wave Alternans positive, at 18 months of follow-up,  
6 there was a 21 percent event rate. There were no  
7 events among the T-wave Alternans negative patients.  
8 And compared with six other noninvasive risk  
9 stratifiers, T-wave Alternans was the only  
10 statistically significant predictor.

11 The third study was a study from Japan of  
12 850 consecutive post-MI patients. In this study  
13 T-wave Alternans achieved a relative risk of 11 and  
14 had an extraordinarily low event rate among patients  
15 who tested negative.

16 As has been previously discussed, the  
17 MADIT II trial was a prospective randomized trial,  
18 demonstrated a statistically significant reduction in  
19 mortality among patients who received ICDs. One of  
20 the clinical questions that has come up, as the  
21 previous speaker indicated, is the question of  
22 whether noninvasive risk stratification can be used  
23 to further refine clinical decision making and  
24 treatment of patients in the MADIT II group. I  
25 should point out that evaluation of risk stratifiers  
0127

1 should properly be done in the context of trials  
2 designed specifically to evaluate prospectively a  
3 small number of risk stratifiers. Retrospective  
4 analysis of multiple clinical variables from  
5 preexisting studies and finding one that appears to  
6 work is fraught with statistical hazard.

7 I would like to present to you some data  
8 which was presented at CardioStim by Dr. Stephen  
9 Hanlauser, which is a subgroup analysis of the two  
10 previous studies that I showed you, the heart failure  
11 and myocardial infarction studies in patients not  
12 selected for preexisting ventricular  
13 tachyarrhythmias. 120 patients were identified from  
14 the two studies. All the original data was collected  
15 and the primary endpoint of the subgroup analysis was  
16 sudden cardiac death and resuscitated cardiac arrest.  
17 The secondary endpoint included nonlethal sustained  
18 ventricular tachycardia. Average follow-up was 17  
19 months. Ejection fraction 25.6 percent. 28 percent  
20 of the patients tested negative, 59 percent positive,  
21 and 13 percent indeterminate. The Kaplan-Meier  
22 survival curves for primary events of sudden cardiac  
23 death and cardiac arrest are shown here. There was a  
24 17 percent event rate among the positives, there were  
25 no events among the negatives. The result was

0128  
1 statistically significant. For secondary events the  
2 relative risk was, which included nonlethal sustained  
3 VT, the survival curves are well separated with a  
4 relative risk of 5.5.

5 So in conclusion, T-wave Alternans, which  
6 is a noninvasive test, appears to compare favorably  
7 to electrophysiologic testing, it appears to be an  
8 effective risk stratifier for MADIT II patients, and  
9 appears to be a promising technique to identify which  
10 MADIT II patients are most likely to benefit from ICD  
11 therapy. Thank you.

12 Dr. Sox: Thank you, Dr. Cohen. We will  
13 now hear from Dr. Theodore Chow.

14 Dr. Chow: My name is Theodore Chow. I am  
15 a practicing electrophysiologist. By way of  
16 disclosure, I hold no financial interests in  
17 Cambridge Heart. I do receive research grant support  
18 from Medtronic.

19 Members of the committee, ladies and  
20 gentlemen, I would like to present to you the  
21 preliminary results of our T-wave Alternans testing  
22 program in MADIT II type patients as an elaboration  
23 of what you just heard from Dr. Cohen. This is a  
24 prospective trial conducted by a single large  
25 community based cardiology practice aimed at

0129  
1 assessing the value of T-wave Alternans testing in  
2 patients with ischemic cardiomyopathy.

3 Since sudden death is the single most  
4 common cause of death in all cardiology practices, we  
5 have felt obliged to routinely assess risks in our  
6 patients. The strategies for risk assessment  
7 relevant to today's discussion are outlined on the  
8 left side of the slide. The merits and drawbacks of  
9 these approaches have been extensively discussed  
10 previously. I would also like to highlight that a  
11 Holter monitor is a poor predictor of risk, and this  
12 relates particularly to a MADIT I/MUSTT type approach  
13 but not to a MADIT II type approach.

14 Importantly, many patients without  
15 non-sustained VT may still be at high risk for sudden  
16 death even though they would be excluded from further  
17 evaluation according to a MADIT I/MUSTT type  
18 approach. Because T-wave Alternans have been shown  
19 to be predictive in a number of settings, we have  
20 incorporated this technology into our practice.

21 In our practice we have instituted a

22program in which patients with CAD, an EF less than  
23or equal to 40 percent, receive T-wave Alternans  
24testing and Holter monitoring. EP studies and ICD  
25implants are performed where clinically indicated.

0130

1We then follow patients for ventricular  
2tachyarrhythmic events, which were defined as either  
3sudden cardiac death, resuscitated cardiac arrest, or  
4an appropriate ICD discharge for VT or VF.

5 There were 203 patients in our trial who  
6met MADIT II criteria, of whom we successfully  
7obtained follow-up on 193, or 95 percent. Patient  
8demographics are shown here. The average patient was  
965 years old, had an EF of 25 percent. 83 percent of  
10patients were on beta-blockers, an important point  
11because it illustrated that these patients were  
12already being aggressively being treated medically  
13for arrhythmias. 38 percent of patients received an  
14ICD. Approximately 50 percent of patients tested  
15were T-wave positive, 30 percent were T-wave  
16negative, and 20 percent were T-wave indeterminate.  
17The mean follow-up time was 375 days. There were 13  
18tachyarrhythmic events, comprising of nine sudden  
19cardiac events and four appropriate ICD shocks. Nine  
20events occurred in T-wave positive patients, one  
21event was in a T-wave negative patient, and three  
22events were in T-wave indeterminate patients.

23 This is a Kaplan-Meier curve illustrating  
24freedom from ventricular tachyarrhythmic endpoints.  
25You can see that there is a clear separation in the  
0131

1curves, with T-wave positive patients having a  
2significantly higher event rate with a P value of  
30.035, and a relative risk of 6, at only 18 months of  
4follow-up.

5 Based on these data, we constructed this  
6screening algorithm in which MADIT II patients  
7received T-wave testing. Clearly T-wave positive  
8patients are at high risk and should receive ICDs.  
9T-wave negative patients appear to be at lower risk  
10and it may be reasonable to approach these patients  
11more conservatively, although this still needs to be  
12defined by a prospective randomized controlled trial.  
13T-wave indeterminate patients have uncertain outcome  
14and consequently, reasonable options would be to  
15perform additional risk stratification using EP study  
16or to proceed directly with ICD implantation.

17 In conclusion, then, I believe that these  
18data suggest the following: Number one, T-wave  
19Alternans testing is an effective noninvasive tool to  
20evaluate MADIT II patients. MADIT II type patients  
21who test T-wave positive are at high risk and should  
22receive ICD therapy. MADIT II type patients who are  
23T-wave negative appear to be at low risk and it may  
24be reasonable to treat these patients conservatively,  
25although again, this needs to be proven by

0132

1prospective randomized controlled trials. And then  
2finally, MADIT II type patients who are T-wave  
3indeterminate may be at high risk of tachyarrhythmic  
4events, their outcome is uncertain, and either EP  
5study or direct ICD implantation may be reasonable.  
6Thank you.

7 Dr. Sox: Thank you very much, Dr. Chow.

8 Our next speaker will be Mark Hlatky, from  
9Stanford University.

10 Dr. Hlatky: My name is Mark Hlatky. I'm

11a cardiologist from Stanford University, and I have  
12no financial connection with any of the device  
13companies here. I come to you as an interested  
14researcher and from our large federally funded  
15research grant where we looked at a number of issues  
16related to ICD trials.

17 I wanted to summarize a couple of points  
18about the evidence in areas where I think there are  
19gaps. The first is that there are two kinds of  
20randomized trials that are under consideration, prior  
21ventricular arrhythmias and primary prevention type  
22trials, and that the evidence here is a little  
23different for these two different types of trials.

24 For the primary secondary prevention  
25trials, AVID, CIDS and CASH have been pooled  
0133

1together, they are very consistent in their data  
2showing a risk reduction due to ICD therapy, which  
3applies equally to ischemic or non-ischemic patients,  
4although there is evidence of heterogeneity according  
5to ejection fraction, with more efficacy in the low  
6EF group.

7 The primary prevention trials are  
8different, however. These are the trials that have  
9been completed to date, and the entry criteria are  
10listed. And the main thing is that the entry  
11criteria are quite different from one trial to  
12another. The common denominator, however, is that  
13they all require a low ejection fraction to get in.  
14I put MUSTT in as a slightly different study because  
15it is actually a trial of EP testing versus non-EP  
16testing.

17 We did a meta-analysis of these trial  
18results. I also want to point out that there are at  
19least three, maybe more ongoing trials, including  
20SCD-HEF, which has been mentioned, with over twice  
21the size of MADIT II, and it has been continued by  
22its DSMB and will be finishing in the fall.

23 The main point about the primary trials is  
24that obviously there is a huge number of patients who  
25are potentially eligible for these devices. The  
0134

1trials show significance, statistically significant  
2evidence of heterogeneity of results, so that they  
3are not consistent from one to another. All of them,  
4however, share the characteristic that low EF  
5patients were enrolled. The big difference is that  
6they used different methods of risk stratification in  
7addition to low EF.

8 The MUSTT study, which is a randomized  
9trial of EP testing, showed better outcomes in EP  
10managed patients.

11 As far as MADIT II is concerned, I think  
12it has a high internal validity as a randomized  
13trial, but the question is not about its internal  
14validity as much as its generalizability. How much  
15does this apply to all patients with a low EF who are  
16post-MI in the Medicare group? I think as Dr. Moss  
17said today, the screening for this group consisted of  
18many many patients, and they actually don't know how  
19all the patients were enrolled in the study. Some  
20patients five years after MI were referred to  
21electrophysiologists for reasons we don't really yet  
22understand, and so I am not certain how well this  
23group matches with the Medicare population.

24 Most importantly, there are a number of  
25additional risk markers that have been collected in

0135

1this group but not yet fully reported or analyzed.  
2For instance, we just learned today about the EP  
3testing done prior to randomization, which was not  
4reported in the New England Journal paper, for  
5instance. And I suspect that many other patients had  
6additional risk markers, which is why they were  
7referred for entry into the study. So I think the  
8question is really whether this trial can be  
9generalized to the Medicare population.

10 The final question that I would ask about  
11this is the issue of sudden death stratification.  
12This is an area we work on in our report study, and I  
13think that there's 25 years of research that says  
14that numerous factors in addition to ejection  
15fraction predict cardiac risk. These include age,  
16sex, and markers of ischemia, and the EP research  
17world, including many of the investigators on the  
18panel, have shown additional tests such as ejection  
19fraction, non-sustained VT, signal average ECG,  
20T-wave Alternans we just heard about, and patients at  
21high risk of sudden death are those particularly  
22likely to benefit from an ICD.

23 I think the big question is whether an EF  
24below 30 percent in and of itself is sufficient to  
25put in an ICD, and I would say that the question here  
0136

1is whether the evidence is adequate. I would say  
2MADIT II is suggestive, it's highly suggestive, but  
3it doesn't really prove the case completely for this.  
4The word that was used earlier by Dr. Moss and the  
5representative of the company was a paradigm shift, a  
6paradigm shift to say that we don't need any  
7additional markers of patients with low EF. And I  
8question that because this is a single study, it's  
9very well done, but it's only a single study. And I  
10think we have 25 years of research that says that  
11there are other markers that are important and for  
12that reason I am concerned that an indication from  
13Medicare that says that ejection fraction alone is  
14necessary to put in an ICD is overly broad, and would  
15expose many patients who would not benefit from this  
16device to risks, to say nothing of the large cost to  
17the program. Thank you.

18 Dr. Sox: Thank you Dr. Hlatky. The next  
19speaker will be Dr. Bruce Lindsay.

20 Dr. Lindsay: Thank you. I direct the  
21electrophysiology laboratory at Washington  
22University, and I'm here to represent NASPE. Our  
23mission is to improve the care of patients by  
24promoting research, education and healthcare policy.

25 This slide summarizes some of the data  
0137

1from the secondary prevention trials, AVID, CASH and  
2CIDS, which looks at mortality rates per year between  
3the outcomes in patients with ICDs. One of the  
4things that has been reported in CIDS is that when  
5they looked at the data this year, they found that  
6over time there was a wider separation between the  
7ICD and the amiodarone groups; that was presented at  
8the American Heart.

9 In the meta-analysis, there are a couple  
10of numbers that I want you to try to remember. The  
11relative reduction in total mortality was 27 percent,  
12and for arrhythmic deaths, 51 percent. I mention  
13this because in total mortality, that relative  
14reduction is not too much different than the studies

15we will be referring to later. The ICD therapy was  
16preferred over drug therapy in their conclusions, and  
17especially in those with moderate to severe LV  
18dysfunction.

19 What brings us here today are the primary  
20prevention trials, and you can see here some of the  
21mortalities, both in the absolute reduction and  
22relative reduction in these trials. In the MUSTT  
23trial the numbers in parentheses are at two years and  
24the other numbers are at five years. What we're  
25really focusing on today is the data that I have  
0138

1highlighted in yellow for the MADIT II trial, where  
2the absolute reduction was 5.6 percent and a relative  
3reduction of 31 percent, and that relative reduction  
4is really not much different than some of the  
5secondary prevention trials. But because it's lower  
6in magnitude than the other studies, it's attracted  
7some attention as to whether there are better ways of  
8analyzing the subgroups.

9 We have been through that earlier on today  
10and the analysis has not shown any particular  
11subgroup that is especially prone to benefit from an  
12ICD. And I agree with Dr. Buxton's comment that this  
13study is simply not designed to look at the merits of  
14EP studies.

15 Now a question arose as to whether new or  
16worsened CF heart failure should restrict ICD use,  
17and I think this was raised because of some trends  
18observed in MADIT II and DAVID. We shouldn't lose  
19the forest through the trees, and that is that MADIT  
20II does reduce mortality. The companion trial was  
21stopped this year because ICDs improved survival.  
22There's some evidence from a German group that looked  
23at the impact of ICDs on patients awaiting cardiac  
24transplant, and it improved survival because it  
25virtually eliminated sudden death. And then when you  
0139

1look at the secondary prevention trials, certainly  
2the benefit is greatest in those with the lowest EFs.

3 So the conclusions I would come to is that  
4the patients with severe LV dysfunction are the ones  
5most likely to benefit from ICDs. Heart failure may  
6influence the model of the ICD or the way it's  
7programmed, but these are decisions that should be  
8made by physicians with expertise in the management  
9of patients with VT or VF.

10 I would like to focus now on some of the  
11data from MUSTT, and this is taken from the group  
12that wasn't treated. The upper curve, which is the  
13group at highest risk, was the low EF inducible  
14group; the third curve down was the higher EF  
15inducible group; and in between are those who had a  
16low EF and non-inducible. So the question I would  
17pose to you is why would you implant a defibrillator  
18in the highest curve and the third curve, but not the  
19one in the middle.

20 Maybe you'd say well, they don't have the  
21arrhythmic deaths. But in fact when you look at the  
22arrhythmic death rates in these patients, again, the  
23highest is the low EF group that was inducible, the  
24third group down is the high EF that was inducible,  
25but the low EF that was not inducible is superimposed  
0140

1on the third group. So how can we develop a policy  
2that would implant a defibrillator in one group and  
3not the other when in fact the risk is the same.

4           These summarize the event rates. Again,  
5the numbers in yellow represent the high risk group  
6because they have low EFs, but if you look at the low  
7EF negative induction compared to the higher EF  
8positive induction, they have the same arrhythmic  
9mortality. So I don't see how we can develop a  
10policy that would implant a defibrillator in one  
11group but not the other.

12           So our conclusion from the primary  
13prevention trials is that there's about a 31 to 54  
14percent relative reduction in mortality by ICDs. I  
15would recommend EP studies to stratify risks in  
16patients with an EF of 30 to 40 percent, but I don't  
17think they should be a prerequisite for ICD therapy  
18in patients with an EF of less than 30 percent.

19           And the recommendation from NASPE is that  
20CMS should extend coverage for ICD therapy to  
21patients who fulfill MADIT II criteria. We also  
22felt, as has been discussed earlier in the day, that  
23there are other techniques that may improve risk  
24stratification and this needs to be looked at as more  
25data becomes available. Thank you.

0141

1           Dr. Sox: Dr. Lindsay, could you just tell  
2us about any financial relationships you might have?

3           Dr. Lindsay: Oh, I'm sorry, I meant to  
4mention that. I have absolutely no conflict of  
5interests or ties to any of these companies.

6           Dr. Sox: Thank you. Our next speaker is  
7Dr. David Cannon.

8           Dr. Cannon: Thank you, Dr. Sox. Good  
9morning, members and guests. I am here representing  
10the practicing physician, as well as one who has the  
11good fortune because I have been involved in these  
12clinical trials, to see the evolution over the last  
13decade of the set of randomized trials that we have  
14been discussing today, and I therefore have two  
15concerns. One is, a no vote today would inhibit my  
16ability to take appropriate care of my patients. And  
17secondly, I think it would have a devastating effect  
18on the future of clinical trials in this country.

19           I am a member of the Guidant MAB and I was  
20asked to come to speak today by Medtronic. The era  
21of clinical trials, for those of you who didn't work  
22your way through it, is really an extraordinary one,  
23and began in 1990 at a time when the ICD was really  
24not a prominent part of clinical practice. We were  
25relying on much physiologic studies and suppression  
0142

1with antiarrhythmic drugs. No secondary prevention  
2trials had been started or completed. There were  
3prominent electrophysiologists who thought that  
4randomized trial with the ICD were, frankly,  
5unethical and shouldn't be done.

6           But, I think the wisdom in the field  
7prevailed and we did initiate a series of trials,  
8first in the secondary group and then in the primary  
9group, that had important implications for us as  
10clinicians and scientists, but also had very  
11important cost implications.

12           You have heard about AVID, CIDS and CASH.  
13I'm not going to go over those, only to say that the  
14reduction in total mortality shown in yellow here in  
15was similar in these three trials and interestingly  
16enough, was not as marked as that reduction in the  
17primary prevention trials.

18           You've also heard as much, or maybe more

19than you want to hear about MADIT, MUSTT and MADIT  
20II, but one point has not been emphasized yet, and  
21that is that there were significant treatment  
22differences in these trials. Back in the MADIT I  
23era, 1994-95, we were not using beta-blocker and ACE  
24inhibitors in the same aggressive way that we do now.  
25And in MADIT II, shown on the right, we achieved a 70  
0143

1percent use of beta-blockers and ACE inhibitors,  
2which I think accounts for some of the differences in  
3the mortality curves that you saw between those two  
4trials.

5 Just to put some human touch around what a  
6MADIT II patient looks like, this is one of our MADIT  
7II patients in the trial, a 70-year old Latino female  
8who had had a large anterior wall infarct in 1998,  
9was bypassed. Her EF was 20 percent. She had  
10multiple admissions for heart failure, was diabetic.  
11She had a narrow QRS. She was enrolled in MADIT II  
12in February of 1999. She did have a post-procedure  
13EPS and was non-inducible, and went on to have two  
14true shocks in July and August of 2000, and is  
15currently doing well. And shown on the upper panel  
16are the play-outs from the ICD at the time of her  
17defibrillation. So this is one of the 134 patients  
18to which Dr. Moss referred that survived because of  
19her enrollment in MADIT II and her reception of an  
20ICD.

21 The risk reduction in the primary  
22prevention trials, as I said, has been higher than  
23that in the secondary prevention trials, I think a  
24fact that surprises us a bit, but has held consistent  
25across all the trials.

0144

1 So what conclusions does this aging  
2clinician come to about the data that we've seen  
3today? Certainly going back to AVID, CASH and CIDS,  
4the existing evidence for current indications is  
5compelling, it's used on a daily basis, and it  
6certainly has become the standard of care.

7 Broadening coverage in the primary  
8prevention group based on the MADIT II data that  
9we've heard today, I think has at least four  
10implications. It will bring life-saving therapy to  
11Medicare patients who are eligible using MADIT II  
12criteria and what Dr. Moss and the executive  
13committee of MADIT II thought was a very simple entry  
14point, but clearly it is not as simple as we thought  
15it was. We'll strengthen reliance on evidence-based  
16medicine and clinical decision making. This was not  
17true a decade ago, but is true now. We will increase  
18reliance on specially generated practice guidelines.  
19I don't know how we can in good conscience not agree  
20with what NASPE, the ACC and AHA think is true about  
21patient care. And I think deeply importantly, it  
22will encourage the design and completion of further  
23well done clinical trials that will help clarify some  
24of the points of discussion made today. Thank you.

25 Dr. Sox: Thank you very much, Dr. Cannon.  
0145

1We will now hear from Dr. John Boehmer.

2 Dr. Boehmer: Thank you, Dr. Sox, members  
3of the panel. I come as a heart failure  
4cardiologist, one who takes care of a great number of  
5patients with low ejection fraction. I am a heart  
6failure cardiologist from Penn State College of  
7Medicine, Hershey, Pennsylvania. I have been



8involved in clinical trials, some of which have been  
9funded by Guidant and Medtronic. My work involves  
10the care of a great number of patients with heart  
11failure.

12 As is well established, heart failure  
13patients frequently suffer sudden death. I have much  
14more personal experience with these tragic events  
15than most physicians. As a result, I became involved  
16in several clinical trials to prevent sudden death in  
17heart failure. These include the Sudden Cardiac  
18Death and Heart Failure Trial, in which I'm an  
19investigator and events committee member; the Contact  
20CD trial, in which I was an investigator and events  
21committee member; and the Companion trial, in which I  
22was an investigator and on the steering committee.

23 Prophylactic ICDs have not gained wide  
24acceptance in the heart failure community. The  
25reasons are complex, but include challenges in  
0146  
1patient identification and barriers to therapy. Both  
2the MADIT and MUSTT studies included the presence of  
3ventricular arrhythmias in electrophysiologic study  
4to meet the entry criteria. Clinically, this  
5translates to the need to screen for arrhythmia,  
6presumably with an ambulatory ECG monitor, and then  
7refer those who had non-sustained ventricular  
8tachycardia to an electrophysiologist for further  
9study. In our community, this is not a terribly  
10common practice.

11 In the most recent ACC/AHA guidelines  
12published 14 months ago, the only indication for ICD  
13therapy was judged to be those who have had sudden  
14death ventricular fibrillation or hemodynamically  
15destabilizing ventricular tachycardia. Any  
16prophylactic indication was listed as Class III, and  
17routine Holter monitor was likewise listed as Class  
18III. I think this is going to change with the data  
19as it comes to bear.

20 The heart failure community had concerns  
21about MADIT and MUSTT trials. The MADIT trial was  
22complicated by small numbers and imbalance of medical  
23therapy, particularly with beta-blockers being more  
24commonly used in the ICD groups, and the heart  
25failure community is very fond of beta-blockers. The  
0147

1MUSTT study was impressive in the magnitude of  
2benefit of ICDs; unfortunately, there was no  
3prospective hypothesis that ICD therapy would have  
4led to the benefit, therefore, introducing possibly  
5selection bias. Taken together, the heart failure  
6community did not move towards aggressive use of the  
7monitoring for arrhythmia or frequent referral for  
8electrophysiologic testing.

9 The MADIT II study was the first to use  
10prophylactic ICDs in a patient identified by their  
11history of myocardial infarction and LV systolic  
12function. Importantly, there were no arrhythmia  
13criteria used in making this decision, making it  
14largely a trial of LV systolic dysfunction.

15 The study was well designed with a clear  
16prospective hypothesis that ICD therapy would improve  
17all cause mortality, the groups were well treated and  
18well balanced, the termination of the study was  
19prospectively described and the stopping rule was  
20followed, and the study was stopped during active  
21enrollment when a statistically significant survival  
22advantage was detected in the population as a whole.

23However, because of the methodology and the findings  
24that were presented today, there were no subgroups  
25that appeared to benefit more.

0148

1 Concern has been raised about using this  
2type of therapy to alter the mode of death from one  
3of sudden death to one of greater morbidity  
4associated with worsening heart failure. Although it  
5is true that the incidents of sudden death in heart  
6failure populations is lower in those treated with  
7ICDs, and the incidence of progressive heart failure  
8then becomes more common, this decision belongs to  
9the patients. Patients can elect the risk of sudden  
10death and not to have an ICD, of they can elect to  
11have the ICD and prevent sudden death. The decision  
12is not irrevocable and patients can alter that  
13decision by having the defibrillator programmed to  
14off. In my experience, many patients opt to have ICD  
15therapy when presented with this option, even though  
16they have heart failure, many of which are very  
17symptomatic.

18 Our present situation is one of  
19recognizing high risk patients, understanding the  
20data as they currently exist, and coming to our best  
21decision of what we believe is in our patients best  
22interests. To illustrate the point, a Catholic  
23priest was recently referred to me for evaluation of  
24his condition. He is a 57-year old man who suffered  
25a large anterior myocardial infarction in 1999

0149

1complicated by congestive heart failure. He  
2stabilized and is now functional Class II,  
3appropriately treated with beta-blockers, an  
4angina-tension receptor blockers, diuretics and  
5Digoxin. He has no significant comorbid illnesses.  
6He has a dilated ventricle and ejection fraction of  
720 percent on echocardiography. He has no history of  
8ventricular arrhythmias and has been monitored in the  
9hospital following his myocardial infarction, as well  
10as more recently by ambulatory ECG monitoring. He  
11has no ventricular arrhythmias demonstrated.

12 Do I recommend an ICD for him? The data  
13are compelling that he is at risk for sudden death.  
14Will his insurance pay for it? He has private  
15insurance but they have elected to follow the lead of  
16CMS. Do I recommend what I believe is best for the  
17patient, specifically implantation of an ICD, despite  
18the lack of reimbursement, or do I not? We need the  
19leadership of CMS on this issue. Although the heart  
20failure community has not endorsed prophylactic ICD  
21therapy, I think the data are now becoming compelling  
22and I think this will change in the very near future.

23 Dr. Sox: Thank you, Dr. Boehmer. Before  
24you leave the podium, could you just clarify whether  
25you have any financial relationships with any device

0150

1manufacturer?

2 Dr. Boehmer: The only financial  
3relationship is as an investigator in clinical trials  
4performed by, sponsored by Guidant, and SCD-HEF  
5trials sponsored by the NIH and Medtronic.

6 Dr. Sox: Thank you. Our next speaker is  
7Dr. Joanne Lynn.

8 Dr. Lynn: I also have no financial  
9conflict of interest. Implantable cardioverter  
10defibrillators can dramatically change the experience  
11of the last phase of life for worse as well as for

12better for a great many people at a very large cost.  
13This committee and the society generally should take  
14this opportunity to learn how to handle the  
15dissemination of very costly treatments, whose  
16usefulness varies dramatically in different  
17populations, especially when those treatments may  
18well be applied mostly to people who are inexorably  
19coming to the end of life and suffering from frailty,  
20progressive disabilities and organ system failure.  
21Specifically, we could set in motion processes that  
22would teach us how to assess the complex merits of  
23treatments that will heavily be used in the last few  
24years of life, for patients with substantial  
25coexisting illness. How to insure that patients and

0151

1their families can make thoughtful and informed  
2choices about these treatments. And how to consider  
3responsibly the merits of alternative strategies for  
4the use of caring for patients with eventual fatal  
5illnesses.

6           You should know some of the kinds of  
7issues of ICDs that have come to my attention as a  
8practitioner in long-term hospice nursing home care.  
9Hospice providers talk of dying people whose last  
10days were marred by repeated electrical discharges,  
11often proceeding until the batteries were exhausted.  
12Under some interpretations of the law, a demented  
13patient must have an ICD when it would otherwise have  
14been used in a patient without dementia, and these  
15nursing home patients are eligible as well. Patients  
16and families encounter barriers when they try to stop  
17an ICD because the patient faces a more difficult  
18dying with an alternative cause of death.

19           These situations and the limited  
20literature concerning the use of ICDs in patients of  
21advanced years with serious frailty and comorbidities  
22point up three important and potentially true claims  
23about ICD use. Some patients might not gain a longer  
24life span either because the device is ineffective in  
25their circumstance or because the patient dies more

0152

1quickly as a result of another illness. Some  
2patients might gain a longer life span but would have  
3so many adverse effects, for example from worsening  
4heart failure, during the prolonged life as to have  
5on balance no advantage. Some patients might gain an  
6increased life span without major detriment to the  
7quality of life, but the gains would be so small, the  
8cost so substantial that the use of ICDs will widely  
9be seen as unfortunate and imprudent.

10           This committee should call for the  
11collection of data needed to determine whether these  
12claims are true, and Medicare should cover ICDs only  
13for clinical situations where good evidence shows  
14that ICDs actually improve lives for patients in  
15these circumstances. Mostly, this we do not know.  
16Most studies of ICDs require having been referred to  
17the study, being able to come to the treatment  
18center, having no dementia, having no serious  
19comorbidities, being able to follow directions and  
20giving informed consent to the study. Most have even  
21required being younger than 80, which incidentally,  
22disproportionately excludes women. Criteria like  
23these has the unnoticed side effect of excluding very  
24old, frail and otherwise sick people, even though  
25these are the kinds of patients who well make up most

0153

1of the Medicare population that is eligible for ICDs.  
2 You cannot generalize to most sick  
3Medicare patients because no one has studied them.  
4Some patients may have much worsened symptoms from  
5their heart disease as well as anxiety, life  
6disruption and other adverse effects from ICD  
7discharges. Half of people who live past 85 years of  
8age will have substantial dementia; these patients  
9have not been studied. Many cardiologists, I've  
10asked many cardiologists about consent to ICD. So  
11far only one document that I have seen tells people  
12that they will still die and that before they die,  
13they will want their ICD disconnected. We are not  
14giving people honest opportunity for consent on the  
15guide to ICDs.

16 Finally, ICDs provide the opportunity to  
17learn how to respond to the issues created by very  
18high costs. If a person lives just a few years with  
19an ICD, the average added cost would be around  
20\$100,000. MADIT II criteria would provide an ICD for  
21around a fifth of all Americans over their lifetime.  
22This one device could cost Medicare \$20 billion per  
23year. No new treatment before this raises this kind  
24of cost concern for Medicare. Raising the cost for  
25the last phase of live by 50 percent may well be  
0154

1unsupported and gender divisive disparities create  
2overwhelming hardships for families and taxpayers,  
3and undercut the general support of Medicare.

4 Most of the potential use is in patients  
5of advanced years, with substantial comorbidities and  
6more than one potential cause of death. We really  
7must pause to consider appropriate care for this part  
8of our lives. Many of my elderly patients find it  
9unintelligible that they should be able to get any  
10surgery or device that might extend life but they  
11cannot get reliable nursing aide assistance,  
12medication for pain, or support for family  
13caregivers.

14 In sum, I would recommend that the  
15Medicare Coverage Advisory Committee do the  
16following:

17 First, advise CMS to issue a national  
18coverage determination for ICDs only for the  
19populations where evidence is strong that they  
20actually gain desired outcomes, which may mean that  
21only a very small part of the Medicare population  
22should be covered now, and certainly does not now  
23include elderly who have multiple comorbidities and  
24competing causes of death.

25 Second, we should call on CMS to insure  
0155

1that Medicare patients have a high standard of  
2informed consent. We should recommend that CMS  
3institute methods to monitor outcomes, that they  
4require evidence about all of the outcomes, including  
5quality of life. That they monitor changes in the  
6performance over time, and call on various parties to  
7take up discussion of the priorities and values that  
8are at stake.

9 Dr. Sox: Thank you. Well, before we take  
10a lunch break, I would just like to ask the members  
11of the panel to be thinking about a few key issues  
12that we need to be discussing once we get to the  
13discussion period in order to form a decision about  
14whether the evidence is adequate that ICDs are  
15effective. So at the risk of encouraging you to

16develop indigestion during lunch, I ask you to  
17nonetheless try hard. And we will see you back here  
18at seven minutes after one.

19 (Luncheon recess.)

20 Dr. Sox: We're going to resume the  
21meeting at this point and the first subject is open  
22public comments. We've heard from about a dozen  
23people that they would like to address the panel.  
24Because we only have a limited amount of time to do  
25this, the people who wish to address the panel are  
0156

1going to have to confine their remarks to one minute,  
260 seconds, and that should include a very brief  
3statement about financial connections, because that's  
4important we do that, to be fair to everyone.

5Because we are going to limit the time to the 20  
6minutes allotted for this, I really do ask that you  
7in the spirit of fair play, to keep it brief, one  
8minute.

9 So, what we're going to ask the people who  
10wish to speak is to line up at the microphones now.  
11This is it. We prefer that nobody else get up. If  
12you're going to get up, get up now. Okay, so we will  
13go from one side to the other. Please identify  
14yourself, state any financial conflicts, and then  
15speak for a minute. Sir, would you start please?

16 Dr. Higgins: My name is Steven Higgins.  
17I'm an electrophysiologist from Scripps in La Jolla.  
18I am on the medical advisory board for Guidant but  
19have no financial conflicts. I would like to address  
20this to the voting members because we have been  
21distracted for a long time today talking about  
22subtleties of the different aspects of the study and  
23kind of gotten away from the basic science, which is  
24pretty bulletproof in the study, pretty clear-cut. I  
25don't think there is much debate there and I'm a  
0157

1little surprised we are here.

2 But let me put this in perspective just to  
3tell you about a patient I just recently saw. I had  
4this nice 56-year old Afghani immigrant who came here  
520 years ago, started working at a video store,  
6raised a daughter who is now in UCLA in college, and  
7then suffered a big MI and went on disability, went  
8on Medicare and MediCal for the past ten years. We  
9was cared for by an excellent heart failure doctor  
10who had him on six drugs, and sent him to an  
11electrophysiologist at his center.

12 Dr. Sox: About ten seconds.

13 Dr. Higgins: Thank you. And he  
14recommended that he have a defibrillator. But for  
15some reason it was delayed for two months, and the  
16day before he was scheduled to have his surgery, he  
17was down at UCSD medical school, with his daughter  
18who was interviewing, and he died suddenly.

19 Dr. Sox: Thank you. Now we'll go to this  
20microphone.

21 Dr. Strobeck: Good afternoon. My name is  
22Dr. John Strobeck. I'm a practicing cardiologist,  
23currently treasurer and chairman of the Heart Failure  
24Society of America. The Heart Failure Society is  
25extremely delighted to present some material and  
0158

1agrees that sudden cardiac death is a major cause of  
2death, both primary and secondary prevention needs to  
3be considered. Its comprehensive practice guideline,  
4which is a data driven guideline, now currently

5recommends that ICD implantation using the MADIT  
6criteria has proven validity with evidence that's  
7comparable to the ACC/AHA/NASPE guideline strength of  
8evidence.

9 The Heart Failure Society guidelines are a  
10living document that are expanded as necessary to  
11include the results of new randomized clinical trial  
12data, especially those that are in the progress and  
13probably will deal with patients of more severe  
14symptoms of heart failure as well as those suffering  
15from more severe coexisting comorbid diseases.

16 Dr. Sox: Thank you, sir.

17 Dr. Berger: I'm Ron Berger. I'm an  
18electrophysiologist at Johns Hopkins, here in town.  
19I've consulted for Guidant in the past and have no  
20financial conflicts of interest.

21 I want to very quickly amplify and  
22summarize a couple of observations from this morning.  
23First of all, this is a well designed randomized  
24controlled trial with a very clear positive result  
25and we shouldn't lose focus on that.

0159

1 Secondly, if we look narrowly at  
2non-inducible versus inducible patients, as I heard  
3the data this morning, there is now a subanalysis  
4that's available that was confined to patients who  
5are non-inducible based on prerandomization studies  
6that had a number of patients larger than in MADIT I.  
7As I understood, it was 257 patients, 144 in the ICD  
8arm, 113 in the control arm, with a result that was  
9quite clear, that ICDs were beneficial, even in these  
10non-inducible patients.

11 I want to point out that we as an EP  
12community have taught, as Dr. Redberg had suggested,  
13that EP studies are supposed to be useful as a risk  
14stratifier. I think the new data that we're learning  
15is challenging that concept and we should realize  
16that.

17 And finally, I want to point out that just  
18because a risk stratifier may segregate patients in  
19outcomes, it doesn't mean that it will identify  
20patients who will benefit from a certain therapy.  
21And this particular study, the MADIT II study,  
22examining one risk stratifier, ejection fraction, had  
23a highly significant result.

24 Dr. Sox: Thank you.

25 Dr. Buther: Greg Buther, from San

0160

1Antonio, Texas, practicing electrophysiologist. I  
2own a small amount of stock in Guidant and Medtronic  
3both.

4 A no vote today by the committee means  
5that when I go back to work tomorrow and am faced  
6with a MADIT II patient, you're asking me to ignore  
7the results of a landmark study published in the New  
8England Journal and halted early, ignore my own  
9clinical experience, ignore the recommendations of  
10the ACC, the NHA and NASPE. Why? Because there may  
11exist a small subgroup of these patients for which  
12there is no benefit. This is unproven so far.

13 Maybe there is a subgroup that does not  
14benefit and maybe my patient that I'm going to see  
15tomorrow is lucky enough to be in it. On the other  
16hand in the meantime while we work this out, those  
17patients who aren't so lucky as to be in that  
18unidentified subgroup are going to die just as  
19MADIT II says they will.

20 Dr. Sox: Thank you.  
21 Dr. Fellows: My name is Chris Fellows.  
22I'm a practicing cardiologist and electrophysiologist  
23from Seattle. I have no financial ties. My  
24institution does receive support for research from  
25all three companies.

0161

1 My comment's about evidence based  
2medicine. We have been taught, I have been in  
3practice almost 20 years, and when I started we were  
4not evidence based. Now we are pushing more and more  
5and more to do evidence based medicine. For  
6instance, in 1997 the CABG Patch study came out and  
7before that we were putting patches in everybody with  
8a bad heart that went CABG because we knew they were  
9at risk of dying because they had a bad heart. We  
10don't do that anymore. We haven't done that since  
111997.

12 Now we have another landmark study that  
13comes out and says this is a clear-cut 31 percent  
14reduction in mortality in this group of patients.  
15All of the guidelines that I have to face every day  
16tell me to put this in. I need to be able to put  
17this in all the patients. I can't segregate them out  
18into two groups. I think it's very important that we  
19have a yes vote. Thank you.

20 Dr. Sox: Thank you.

21 Dr. Weiss: Daniel Weiss, practicing  
22electrophysiologist in South Florida. I have a small  
23amount of stock in the major companies and I have  
24done some ad hoc consulting. I have no other  
25financial interests.

0162

1 I think that one of the questions that I  
2think at least the physicians on the panel need to  
3ask themselves, the same question that Dr. Buther  
4said we need to ask ourselves. You're going to go  
5home tomorrow. What are you going to tell your  
6patient with a low EF, when you have all this data?  
7Even the people who detracted from the trial in the  
8sense that they thought there might be some subgroups  
9that would not necessarily benefit, agreed that the  
10trial was well done. It's a large well done  
11randomized control trial. That is our gold standard.  
12And to go home now and tell our patients I'm sorry, I  
13know that for every other thing I've recommended to  
14you, I've told you I'd done it based upon the trials,  
15this time I have to say you can't. Why, I don't  
16know, the committee said no. How are you going to  
17explain that to your patients? And if you can tell  
18me, then you can tell me what I can tell to mine  
19tomorrow.

20 Dr. Sox: Thank you. Yes, sir?

21 Dr. Gullum: I'm Francis Roosevelt Gullum.  
22I'm in Richmond. I'm headquartered at Duke  
23University and am an electrophysiologist.

24 I just wanted to emphasize something Dr.  
25Berger said because it was stated this morning as

0163

1well. The electrophysiology as a risk stratifier may  
2be helpful at determining which patients may have  
3ventricular tachycardia. It does not, however,  
4predict which patients are at risk for sudden cardiac  
5death. That is the thing that, we would love to have  
6that glass to look into the future and see that. But  
7when I look in the eyes of my patients, I have no way  
8to measure which ones are going to drop dead, which

9ones are going to have ventricular tachycardia.

10 The EP study can help me predict who might  
11have monomorphic ventricular tachycardia. It cannot  
12help me predict who is going to drop dead suddenly.  
13This study allows us to identify a very small subset  
14of those people who are going to drop dead this year.  
15The vast majority of the people don't have, if you  
16will, the good fortune of having a bad heart and a  
17history of heart attack and a bad EF to help us  
18identify them. They're going along their merry way  
19until they just drop dead. Thank you.

20 Dr. Sox: Thank you.

21 Dr. Zimmerman: John Zimmerman, Hackensack  
22Medical Center. I'm an electrophysiologist. I just  
23want to emphasize that we now have a study showing a  
2431 percent reduction in mortality in people with EF  
25less than 30 percent. It has been approved by the  
0164

1ACC, AHA, FDA has approved it. Some healthcare,  
2Aetna, Blue Cross Blue Shield has approved. If you  
3do not approve, if CMS does not approve the study, we  
4are going to potentially have two healthcare systems  
5in this country, we're going to have people that we  
6can put it in, people that we can't put it in, and I  
7think that's a very dangerous precedent to set.

8 Dr. Sox: Thank you.

9 Dr. Algafib. I'm Senna Algafib. I'm a  
10cardiac electrophysiologist at Duke University and I  
11have a master's degree in clinical research, and I  
12have some experience designing and running clinical  
13trials.

14 In reviewing the MADIT II paper, I see no  
15issues at all with the design and the conduct of the  
16trial, nor do I see any problems with the analysis of  
17the data. Actually, I was surprised that the main  
18focus of the discussion this morning was on subgroup  
19analyses when prominent statisticians such as Dr. Lee  
20taught me that subgroup analyses at best help us like  
21generate hypotheses, but you can never draw  
22definitive conclusions based on subgroup analyses.

23 And if you ask me, if I meet the MADIT II  
24criteria, or a family member of mine meets the  
25MADIT II criteria tomorrow, would I implant an ICD in  
0165

1them, my answer is an absolute yes.

2 Dr. Sox: Thank you.

3 Dr. Stein: Kent Stein, an  
4electrophysiologist at Cornell. I've participated in  
5industry sponsored research from all the major  
6manufacturers, no other conflicts.

7 I just want to reemphasize that this is a  
8large trial, but not as large as it was designed to  
9be because it was terminated prematurely by its DSMB  
10because it would have been unethical to have  
11continued to randomize people to conventional  
12therapy. In that setting, to focus on post hoc  
13nonrandomized subgroup analysis is to commit  
14statistical homicide. The evidence is overwhelming  
15that the population as a whole benefits. There is  
16not adequate evidence for you as a committee to  
17conclude that that benefit is confined to the  
18inducible subgroup. My patients know that they are  
19at risk of sudden death, they know that their lives  
20can be saved by defibrillators, they want  
21defibrillators and their government ought to pay for  
22it if they're Medicare beneficiaries.

23 Dr. Sox: Statistical homicide?



24 Dr. Martin: I'm David Martin, a clinical  
25electrophysiologist at the Cleveland Clinic. I've  
0166

1worked with industry sponsored research from all the  
2device manufacturers.

3 I would like the panel members to put  
4themselves in my patients' place. An EF 30 percent  
5or less, previous MI, and I recommend an EP study  
6because right now we have to do it, and they ask me  
7if it's better to be inducible or non-inducible. If  
8you're inducible, you're going to get the  
9defibrillator. If you're non-inducible, you're not  
10going to get a defibrillator.

11 All the data from MADIT II, MUSTT, all the  
12data are consistent. You live longer. I did an  
13analysis from our EP database. If you're inducible,  
14you live longer. All those patients got ICDs. If  
15you're non-inducible, you don't get an ICD, those  
16patients had higher mortality. Thanks.

17 Dr. Sox: Thank you. Well, that ends the  
18period for public comment. The committee can ask  
19questions of you, but according to the rules of the  
20game, you have had your shot at identifying,  
21addressing us except under sort of our rules.

22 We're now going to proceed to the  
23discussion period, and I'm going to stand up. Can  
24you turn this thing on? Well, now is the time when  
25we really kind of work as a group, we try to ignore  
0167

1those folks out there and work toward a conclusion  
2and a vote.

3 I'm going to start off by addressing the  
4voting panel and ask them a question about the  
5procedure which, I am going to make a proposal and  
6so, this is our second voting question, but it's  
7really the important one for us so we're going to  
8focus our attention on that. If you read that  
9question, you see that there really are two questions  
10contained within it. One is, is the evidence  
11adequate to draw conclusions about the net health  
12outcomes, which are based on the studies that we have  
13been discussing this morning. And then the other  
14question embedded in that is the question about  
15applicability to Medicare patients.

16 In the MCAC sort of operating rules, we  
17have been taught to first of all deal with questions  
18of internal validity. Is the evidence adequate to  
19judge effectiveness in the studies that are available  
20in the public record? And the second question is, is  
21the evidence adequate to judge the applicability of  
22the findings to all Medicare patients, in this case  
23with a reduced ejection fraction and a prior MI.

24 I think it's going to be easier for us,  
25and I'm now speaking to the voting panel, to  
0168

1effectively divide this question and to focus on  
2first of all the question about whether the studies  
3that we have been presented, which really amount to  
4the MADIT II trial, have proved that the use of ICDs  
5are effective in the study population. And that's  
6going to involve a fair amount of discussion, I  
7think, about whether it's desirable, appropriate or  
8not, to divide the population into inducible and  
9non-inducible patients, and then actually discuss  
10that, take a vote on whether we believe that in that  
11population of patients defined by those inclusion and  
12exclusion criteria, ICDs are effective.

13 We then move on, I propose, to the second  
14question which is, is the evidence adequate to judge  
15the applicability of findings to use in Medicare  
16beneficiaries in general, and again, it would be  
17Medicare beneficiaries with a low ejection fraction  
18and post-MI. I think we'll do a lot better if we try  
19to divide that question instead of trying to deal  
20with it and vote with it all of a piece.

21 So, my question to again, the voting  
22panel, the people who are going actually going to  
23vote is, how do you feel about dividing the question?  
24Is there anybody who would like to object, that's  
25probably the quickest way to get to it. There are no  
0169

1objections, so we will then rephrase the question,  
2the two voting questions so that they match up with  
3the division of the question. We will also apply  
4this technique to the first voting question, but  
5we're going to spend most of the time on the second  
6voting question since the first voting question is  
7about a patient population for whom CMS already  
8covers the ICDs.

9 I'll make a suggestion about how to  
10rephrase this, since I'm the editor, and  
11unfortunately even in my real job my word is not law,  
12but I will suggest that we say, is the evidence  
13adequate to draw conclusions about the net health  
14outcomes in something like Medicare age patients  
15meeting the exclusion and inclusion criteria for the  
16clinical trials? Does that sound reasonable?

17 So Medicare age patients, I would say who  
18meet the inclusion and exclusion criteria for the  
19MADIT II trial.

20 Dr. Curtis: Aren't you really saying  
21exactly the same thing but just rewording it?

22 Dr. Sox: Beg your pardon?

23 Dr. Curtis: It looks to me like you're  
24saying exactly what the original question was, only  
25just using different words.

0170

1 Dr. Sox: Well, no. We're changing it  
2from all Medicare patients to Medicare age patients  
3who meet the inclusion and exclusion criteria for the  
4MADIT II trial.

5 Dr. Curtis: Well, originally it said  
6Medicare patients with a prior MI, LV et cetera,  
7et cetera, will are the inclusion criteria for  
8MADIT II.

9 Dr. Sox: Well, that's the inclusion  
10criteria but it doesn't include the exclusion  
11criteria, for example, patients who have a serious  
12illness and may die within two years of  
13randomization.

14 Let's look at that top paragraph and see  
15if it does it. Maybe we need to say as primary  
16prevention for sudden cardiac death, add that here.  
17So, does that top paragraph do it? Okay? So we can  
18delete the second bullet now.

19 Dr. Carlson: Dr. Sox, one of the  
20exclusion criteria from MADIT II was a MADIT I  
21indication.

22 Dr. Holohan: Prior to enrollment.

23 Dr. Carlson: I just wondered if you get  
24yourself into a circular, and maybe we should say  
25other than MADIT I.

0171

1 Dr. Sox: So you're suggesting other than

2the MADIT I criteria, since they already cover that?

3 Dr. Carlson: Yeah.

4 Dr. Sox: That's a good qualifier. Any  
5other comments or concerns? Rita.

6 Dr. Redberg: Why would we just not use  
7the inclusion and exclusion that are listed for the  
8trial?

9 Dr. Sox: Beg your pardon?

10 Dr. Redberg: Why not just use the  
11exclusion and inclusion that are listed here for the  
12trial?

13 Dr. Sox: Well, mark has raised the  
14question about whether the MADIT I criteria, whether  
15you then get into a circular argument. You guys are  
16going to, electrophysiologists have got to help us  
17general internists out on that one.

18 Dr. Curtis: You know MADIT I patients,  
19that's covered already, we know that. I think what  
20we want the question to say is if you have a MADIT II  
21patient, is the evidence sufficient? So I don't -- I  
22mean, you could qualify it and say who don't have a  
23Class I indication for an ICD, who don't meet  
24MADIT I. I mean, we all know that.

25 Dr. Sox: So you think the qualification  
0172

1is unnecessary?

2 Dr. Curtis: I do, yeah.

3 Dr. Sox: Reasonable, Mark? Okay, let's  
4take it out. So then in the second line I think we  
5want to say something like, if yes, is the evidence  
6adequate to apply the findings of the MADIT II trial  
7to all Medicare patients who meet the inclusion  
8criteria for the MADIT II trial. Let's see what you  
9guys think. Rita, what do you think?

10 Dr. Redberg: I think it probably means  
11about the same thing, so whatever is fine.

12 Dr. Sox: Okay. So we reframed the  
13question, divided it really in two and we can still  
14fuss with the wording, but at least I think we have  
15gotten to a point where we can now discuss the  
16divided question. Dr. Krist?

17 Dr. Krist: Just as a clarification, I  
18mean, our purpose here that we're trying to with the  
19first part address the internal validity, and the  
20second part the external validity?

21 Dr. Sox: Basically, yeah.

22 Dr. Krist: Because there's still other  
23components of internal, or -- the first one the way  
24it's worded isn't just internal validity because  
25there's also components, it's not just that they meet  
0173

1inclusion or exclusion criteria, it's also is the  
2population that was referred similar and those type  
3of aspects. Are we supposed to be addressing that  
4with the first one, referral by -- beyond the  
5exclusion and inclusion criteria.

6 Dr. Sox: I think the issues about how you  
7assemble the cohort of patients for the study, those  
8probably deal mostly with the second question.

9 Dr. Krist: So that's where we want to  
10focus then, okay.

11 Dr. Sox: So I would like now to suggest  
12that we begin the discussion of the second question,  
13and I would like to hear suggestions about things  
14that we ought to talk about with respect to the first  
15question. And I think we ought to address the  
16question that has been raised by CMS, which is, is it

17appropriate to divide the population, is it possible  
18to divide the population with hopes of identifying  
19within the MADIT II population a group of people who,  
20in whom the effect of ICD is in doubt or so small  
21that we wouldn't use it. I think we need to address  
22that because CMS has raised the issue in their  
23analysis and we have to really help them with that.

24Yes, Dr. Curtis.

25 Dr. Curtis: If I could start it off, you  
0174

1know, I think a comment that was made this morning  
2was so important to this deliberation, the fact that  
3this trial is a well designed randomized clinical  
4trial and it has a positive outcome. And I mean, you  
5might be concerned about issues like cost and all  
6that sort of thing, which is not what we're  
7deliberating today, but that to me is where the  
8impetus starts coming for trying to subdivide  
9everything and see if you can find some group.

10 I mean, it would be nice if we had risk  
11stratifiers that could tell us that some patients  
12wouldn't benefit, but this trial didn't do that.  
13This trial was designed to be simple, to apply in  
14clinical practice, where you could take patients that  
15had low ejection factors, they had a prior MI, you  
16put a defibrillator in and there was more survival  
17than in the ones who don't get it, and that's the  
18bottom line. You can't take that trial and then  
19start picking out EP study results and do anything  
20with it.

21 And the comment I wanted to second is the  
22fact that if the trial were negative and somebody  
23came in here and said well, I know the trial's  
24negative but if I subdivide it like this, this group  
25works, we would throw them out of the room, okay? We  
0175

1know that. You know that wouldn't get through the  
2FDA or anything here. So here we have a positive  
3trial result and then to take that and turn it around  
4and say well, but I don't like the idea of applying  
5it to everybody so I'm going to start trying to  
6subanalyze things, the trial wasn't done that way,  
7the conclusions that you're going to draw about EP  
8studies out of MADIT II would be invalid because they  
9are post hoc subgroup analyses. They may generate  
10hypotheses, maybe it would be good in the future to  
11look at a study like that, but this study was not  
12designed that way and it's not going to give you that  
13kind of answer.

14 Dr. Sox: Let's talk about that.  
15Basically, is it legitimate sort of at a policy level  
16as well as at a statistical or scientific level to  
17raise the question about subgroup analysis? I think  
18that's a great question and I think CMS, we need to  
19hear what CMS has to say and we need to reply, if  
20only in our vote. So Steve, could you address the  
21question about the sort of subgroup analysis that  
22we're doing? It's not the sort of thing that  
23ordinarily would get very far at a manuscript  
24conference at a journal.

25 Dr. Goodman: No, I would agree with what  
0176

1you said almost to the word. Except, I think one of  
2the important issues here is to distinguish a  
3hypothesis and a subgroup analysis that came from  
4this trial, as opposed to a subgroup analysis that in  
5fact was generated by prior trials. You see, the

6hypotheses here are being explored not because they  
7were suggested within this trial. In fact, there has  
8been quite vigorous debate about whether we even have  
9the information to address that subgroup issue.

10 The issue is that these hypotheses have  
11been raised by prior research and prior knowledge, so  
12this is the not the same of subgroup hypothesis  
13generating issue that we normally confront, which is  
14that we do a trial, we have indications, and then we  
15try to dice and slice it, and claim legitimacy for  
16some subgroup on the basis of that slicing. In a  
17sense, and you can debate this, this slicing was  
18already suggested buy either prior trials -- again,  
19this can be debated, this is free to discuss, or what  
20is known about cardiac electrophysiology.

21 So it doesn't quite have the same status  
22as the kind of subgroup analyses that I think you  
23very rightly criticize. It did not arise from this  
24trial, it arose from trials with more restricted  
25entry criteria which suggested this hypothesis in the  
0177

1first place.

2 Dr. Wilkoff: Which trial are you talking  
3about?

4 Dr. Goodman: I think the trials that use  
5the EP testing as the -- like the MADIT I trial, but  
6I'm saying this is for you to discuss, whether the  
7issue of inducibility that was used, whether  
8inducibility which was used as an eligibility  
9criteria for the other trials, which showed efficacy,  
10is a legitimate thing to explore in this trial. It's  
11not the same as a subgroup hypothesis that's  
12generated within a particular trial, it doesn't have  
13the same status.

14 I think some of these issues are  
15legitimate that are being raised, but to say it's  
16automatically impugned because it's a subgroup of  
17this trial is not I think, I don't think that  
18completely stands. I think it's a subject of debate,  
19how legitimate this hypothesis is, and that's one of  
20the questions on the floor.

21 Dr. Curtis: But I would say if I was  
22going to look at anything like this, and I know it's  
23data that you said you didn't have, but to know that  
24there were EP negative patients before the trial who  
25got randomized and look at those outcomes makes more  
0178

1sense to me than the analysis you were showing where,  
2you know, making assumptions about how many people  
3would or would not have been inducible, and type of  
4patients.

5 Dr. Goodman: I would have been delighted  
6not to have had to make those assumptions. If I had  
7had that data, I certainly would have tried to use it  
8as best as I could.

9 Dr. Sox: So Steve is basically, I think,  
10asking our advice as expert electrophysiologists  
11about whether it's reasonable on the basis of prior  
12studies and what we know about the biology to ask the  
13subgroup analysis questions. And I would really like  
14to have, if I can, each one of the experts address  
15that question. Jonathan, do you want to --

16 Dr. Weil: Before we do that, I was  
17wondering if we could perhaps hone that question in a  
18little bit more by focusing on with respect to EP  
19studies, the following question: For patients with  
20less than 30 percent EF, is inducibility a very

21strong, or a strong predictor of sudden cardiac  
22death? What is the evidence for that? Because I  
23think that begins to inform the question, and I think  
24we have to look at what studies exist in the very low  
25EF less than 30 percent and the predictability of  
0179

1SCD. That would form the strongest evidence to say  
2yes, this is a legitimate hypothesis or question.

3 Dr. Sox: So, who would like to start?

4Dr. Wilkoff.

5 Dr. Wilkoff: Yeah, I will say something.

6I actually would state it the other way, is

7non-inducibility a predictor of doing well? And

8actually, we were talking about these other studies.

9The only other study that really looked at

10non-inducibility was the MUSTT trial, which I want to

11hear Dr. Buxton talk about in just a second. But I

12mean, there wasn't a difference. This is not EP

13data, we don't get any EP data out of this trial. We

14use it as an inclusion criteria for MADIT I, it was

15an inclusion criteria for the randomized patients in

16the MUSTT trial.

17 If we're going to look at EP negative

18patients, and we're going to get any data from any of

19these trials, it would have to be in the

20non-randomized portion of the MUSTT trial. And we

21have already said that in that group of patients they

22were at high risk of dying, at significant risk of

23sudden cardiac death. And so, I don't see that those

24questions were raised from the trials. We didn't

25have any data that really said that non-inducible  
0180

1patients, from any of these trials, that

2non-inducible patients were not at risk.

3 As a matter of fact, this trial is the

4first time we have randomized data that since you

5know about two-thirds of them would have been

6non-inducible, this is the first time we have data

7that says that a group likely not to be inducible is

8not only at risk, but also improves the risk when

9they're treated with an implantable defibrillator.

10And we can look at lots of groups that are at risk.

11The difference about these defibrillator trials is

12now we have a treatment that takes that high risk

13group of patients and improves their risk. That's

14the remarkable thing that happened with MADIT, with

15MUSTT.

16 And now with MADIT II, we know we have a

17high risk group of patients, we know what group, know

18what treatment improves that risk. What we don't

19have is a strict non-inducible group with randomized

20therapy. We don't have any data there, and this

21doesn't produce that either, except by implication

22because we know about two-thirds of them would have

23been non-inducible.

24 Dr. Sox: Dr. Buxton, you ran the major

25trial which people are referring to, so can we hear  
0181

1from you?

2 Dr. Buxton: I would refer the committee

3to the handout that Dr. Lindsay gave you in the NASPE

4presentation, which shows the survival curves from

5the MUSTT trial relating to ejection fractions less

6than, greater than 30, and inducibility status. And

7as we said this morning, inducibility and ejection

8fraction are both independent predictors of mortality

9and arrhythmic death or cardiac arrest. The fact is

10that the analysis in this trial showed that for total  
11mortality, the patients with ejection fraction less  
12than 30 percent who did not have inducible VT, had a  
13higher mortality risk but the same risk of arrhythmic  
14death as the patients who had inducible tachycardia  
15but better preserved left ventricular function.

16 The trial did not test and we don't have  
17the data to know whether or not defibrillators  
18reduced mortality in the non-inducible patients. It  
19wasn't part of the trial design. One would assume  
20they would, but that has not been tested.

21 Dr. Sox: So, I think I heard you say that  
22in the low ejection fraction patients, the death rate  
23was the same in the inducible and non-inducible  
24patient.

25 Dr. Buxton: Total mortality was higher if  
0182

1they were inducible than if they were not inducible  
2to ventricular tachycardia. The total mortality,  
3though, was actually higher for the patients with the  
4ejection fraction less than 30 who did not have  
5inducible tachycardia than the patients with better  
6preserved left ventricular function and inducible  
7tachycardia.

8 Dr. Sox: Dr. Curtis.

9 Dr. Curtis: I think many of us who are  
10electrophysiologists would put this information  
11together and say that for patients whose ejection  
12fractions are between 30 and 40 percent, there is  
13some value to the EP testing in terms of risk  
14stratification, but when you get below 30 percent,  
15that the risk of dying starts to go up so high that  
16it's not reassuring enough, or you cannot be  
17comfortable that the patient will survive if the EP  
18study is negative.

19 And so looking at that, I would tend to  
20think that as the ejection fraction drops below 30  
21percent, the patients still are high risk, not trying  
22to risk stratify them, because the EP negative  
23patients still have a high mortality rate. Those  
24patients should be getting defibrillators, but still  
25using an EP study as a risk stratifier for the  
0183

1slightly higher ejection fractions, from the clinical  
2trial data we have, still makes sense.

3 Dr. Sox: I would like to hear from other  
4cardiac electrophysiologists about Dr. Curtis's  
5statement. Do you agree with it?

6 Dr. Carlson: I wanted to thank Dr. Buxton  
7earlier for answering the question that I thought was  
8the key question, and he answered it again very well.  
9In the patients with reduced ejection fractions, the  
10absence of an inducible arrhythmia is not sufficient  
11to give us comfort and not to implant a  
12defibrillator. So I think that if the first question  
13is, is it appropriate to do a subgroup analysis here,  
14and Dr. Curtis believes that it is not. But if you  
15do a subgroup analysis, then I think the most  
16important question is the one that Dr. Buxton  
17addressed and that Dr. Lindsay addressed in his  
18presentation, and it suggests that in this group that  
19is at higher risk because of their markedly depressed  
20ejection fraction, that the EP study doesn't give us  
21the comfort that we need.

22 Dr. Sox: Dr. Redberg.

23 Dr. Redberg: I think what we're really  
24trying to do is define the group that's going to most

25benefit from AICDs because clearly there is a group  
0184

1that benefits, the MADIT I criteria, but you know,  
2how much benefit is there? Because if you make the  
3analogy that like valve replacement, you know, we  
4know replacing the valve for someone with severe  
5regurgitation is going to benefit them. But on the  
6other hand, you don't do it until someone really  
7needs it, because then you start a whole other series  
8of things.

9 And what I think, you know, certainly low  
10ejection fraction identifies higher risk, but is that  
11good enough, because if 19 percent of those people  
12had defibrillators go off, you know, the TEC study  
13cites Rosen Crist's article from 1998 saying that  
14there is a 50 percent adverse event rate with ICD  
15placement in the first year. Well, that's a 50  
16percent adverse rate versus a 19 percent for the  
17defibrillators. You know, the articles from  
18Ellenbogen and Jack last year that says there's a 37  
19percent cumulative probability of leaf failure with  
20ICD placement. And there are, you know, other  
21quality of life issues.

22 I mean, I certainly have lots of my  
23patients come in who have ICDs and in some it's  
24fantastic and some say to me if they had known what  
25it would be like, they would never have gotten one,  
0185

1because they're like just miserable. They feel like  
2they got kicked in the chest by a horse every time  
3the thing goes off and they would rather be dead.

4 So obviously there is a population that  
5benefits, but I think we want to define the  
6population that it benefits as well as we can because  
7this is not, you know, a procedure that doesn't have  
8a downside too. I mean, there are adverse effects,  
9there's death, infection, there's leaf failure and  
10the quality of life issues, and as far as I know, we  
11don't have quality of life data at this time to look  
12at from the MADIT studies.

13 Dr. Sox: Other comments?

14 Dr. Bigger: I would say that subgroup  
15analyses are never definitive, but as subgroup  
16analyses go, the one that Dr. Moss showed this  
17morning was rather elegant. It's not definitive, but  
18it suggested that people who are EP negative and  
19known to be so before randomization showed  
20significant benefit from the ICD that was similar to  
21the overall result, in fact almost identical to the  
22overall result. My comfort level went way up when he  
23addressed that in that way.

24 Dr. Sox: He also pointed out that  
25inducible patients were more likely to trigger the  
0186

1ICD for ventricular tachycardia but non-inducible  
2patients were more likely to trigger it for VF, which  
3struck me as there was discrimination there, but  
4unfortunately it was in a different direction  
5depending on the type of arrhythmia, and in many  
6respects VF is what we're most concerned about.

7 One thing I wondered about, this issue of  
8the inducibles being sicker generally, is it possible  
9they are sicker because they are survivors, because  
10they are non-inducible, that they haven't -- all the  
11patients who were inducible basically died, and so  
12the non-inducible patients have more time to  
13accumulate comorbid disease and so forth. Any



14thoughts about that?

15 Dr. Buxton: I don't think you can draw  
16that conclusion. In the MUSTT trial we published an  
17analysis that appeared in circulation in 1996 to see  
18if we could find any kind of clinical predictors that  
19discriminated between patients who had inducible  
20tachycardia and those who didn't, and we could not.

21 Dr. Sox: And that was also true in  
22MADIT II.

23 Dr. Carlson: I wanted to ask Dr. Moss,  
24the information that you used to discriminate between  
25how sick these non-inducible patients were as opposed  
0187

1to the inducible was from enrollment, right?

2 Dr. Moss: Yes.

3 Dr. Carlson: That should answer the  
4question. It was from enrollment, so it wouldn't be  
5due to longer survival.

6 Dr. Sox: Yes, Dr. Matuszewski.

7 Dr. Matuszewski: One of the things that  
8struck me about the inclusion criteria for MADIT II  
9and then the results is that the mean ejection  
10fraction for the MADIT II population was about 23,  
11and where -- is there any evidence or is there any  
12anecdotal confidence that 31 in terms of an ejection  
13fraction is not appropriate for an ICD and 30 is? Is  
14there some curve, is this a linear line and 30 is  
15just 50 percent do better or not? Or do we have to  
16go as low as 23 before we really start seeing the  
17true MADIT II type results of survival?

18 Dr. Moss: The mean EF is 23 percent. The  
19cutoff was 30. God didn't come down and suddenly put  
20a criteria at 30. It's based upon our prior  
21experience with a variety of different trials. There  
22is obviously in the reading of ejection fractions by  
23radionuclide angiogram some variance. We went by the  
24written report, the documented report and we just  
25arbitrarily made that decision at 30. We could have  
0188

1made it at 31, we could have made it at 29, but 30  
2seemed like a reasonable value. I don't think you  
3can differentiate between 31 and 30, but you can  
4certainly differentiate between 30 and 20, and 30 and  
525. So we took an arbitrary cut point of 30 based  
6upon the written interpreted formal record for  
7ejection fraction.

8 So, let's take this as an example of Vice  
9President Cheney. He didn't actually qualify for  
10MADIT I criteria, because his ejection fraction was  
1140 percent. He received a defibrillator based on  
12MADIT I criteria, but he was a little bit over the  
13edge. Of course now the question is, who paid for  
14it.

15 (Laughter.)

16 Dr. Sox: I have a question for you,  
17Dr. Moss. As I understood, you compared  
18non-inducible patients who got ICDs with all of the  
19conventionally treated patients and you showed a 32  
20percent risk reduction after adjusting for the  
21clinical predictors of death, and that was similar to  
22the risk reduction for the inducible patients. My  
23question was, were the inducible patients also  
24corrected for those same predictors so it in fact was  
25a parallel comparison?  
0189

1 Dr. Moss: Dr. Hall will answer that.

2 Dr. Hall: We did similar things to

3comparing the ICD inducibles to all the  
4conventionals, adjusting in the same way, and we get  
5a better hazard ratio, we get .47, .68 for the  
6non-inducibles, .47 for the inducibles, but both very  
7good results. There's a suggestion, certainly, that  
8the inducibles do better. There's a suggestion, more  
9than a suggestion, that the non-inducibles do very  
10well.

11 Those may look a little contradictory but  
12also, we did the same analysis for people who didn't  
13have EP tests, the ICD group without any EP testing  
14versus all of the conventionals, and there the hazard  
15ratio was .89. Those are the folks that weren't  
16getting much effect.

17 Dr. Sox: Thank you.

18 Dr. Hall: People who ought to have the EP  
19test just don't do it.

20 Dr. Sox: Kerry, you haven't had a chance  
21yet. Go ahead.

22 Dr. Lee: I think we all know there is  
23much that can be said about subgroup analyses in  
24these clinical trials and we don't need to reiterate  
25all of those principles that I think have become  
0190

1rather well established. The reality of the  
2situation that we're talking about here though, the  
3MADIT II trial, is that based on the subgroup  
4analyses that the investigators have performed and  
5the additional subgroup analyses that we've heard  
6about today, are all remarkably consistent.

7Remarkably consistent. There is no statistical  
8evidence of heterogeneity in any of these subgroups.

9 I think the pretrial EP negative data that  
10we've seen today, where the hazard ratio, the  
11relative risk was .46 in patients that were EP  
12negative based on the pretrial studies, comparing  
13conventionally treated patients versus the ICD  
14treated patients, gives an even more dramatic result.  
15Even the results that we heard from Dr. Goodman, I  
16think we would have to conclude were reasonably  
17consistent with the overall results of the trial.  
18That is, no evidence, no strong evidence of any  
19heterogeneity with respect to this matter of  
20inducibility.

21 So, I think given that remarkable  
22consistency, we can be reasonably comfortable that  
23these results apply very broadly across the group of  
24patients that meet the enrollment criteria for the  
25MADIT II trial. Indeed, one question I think would  
0191

1be good for the panel to consider is whether if you  
2had the opportunity to participate in another  
3clinical trial in patients with an EF less than 30  
4who were not inducible, would you feel comfortable  
5randomizing those patients based on what we know now.

6 Dr. Sox: Dr. Wilkoff.

7 Dr. Wilkoff: I would like to address what  
8was talked about, sort of the risk benefit ratio that  
9was a while ago. Only rarely do we actually correct  
10for event rates per unit time. Dr. Moss did it a  
11little bit earlier with the 19 percent versus the 40  
12percent. The same thing happens with complications.  
13But we also have to talk about the magnitude of what  
14the risk benefit ratio is, and also, we should be  
15putting this in context of how large is this benefit  
16compared to other kinds of therapies that we use all  
17the time. This is a large difference.

18 I don't know what other cardiovascular  
19therapy has this percentage of difference over this  
20period of time. The shock rate, the anti-tachycardia  
21pacing rate, the therapy rate has always been a  
22statistical thing that has risen over time.  
23Complication rates will go up, but these are not  
24fatal complications, and shocks don't happen time  
25one.

0192

1 But let me point out that although you  
2don't get a benefit for terminating the arrhythmia if  
3you don't get a shock, you get the peace of mind of  
4knowing you're protected. The patients today that  
5get their defibrillator put in, it has a profound  
6effect on that patient, it has profound effect upon  
7that patient's family and such like that in terms of  
8the way they live their lives. And so although not  
9all of the benefits -- I mean we're talking about  
10mortality benefit and I think that is convincing to  
11me and I would have a hard time dividing this up.

12 But I also have to say that there are  
13other benefits that -- and they don't happen all the  
14time -- there are other morbidities that go along  
15with this, bus the other benefits, particularly the  
16reassurance that these patients get during this  
17period of time.

18 This is a high risk group of patients.  
19The question is, how do we approach these patients in  
20the future? And this is not a small benefit, this is  
21a large percentage benefit that we see.

22 Dr. Sox: I would like to here from the  
23members of the voting panel. We have been getting  
24some valuable advice from our expert guests, but I  
25would like to hear what you're thinking about,

0193

1especially what questions you have that will help you  
2decide how to vote. Tom.

3 Dr. Holohan: I'm going to make a  
4statement that anybody on the panel can disagree  
5with. It seems to me that getting into the weeds  
6about inducibility versus non-inducibility what we're  
7really trying to do is to say this therapy is more  
8beneficial in one subset of patients than in another.  
9Is that a fair thrust of the debate so far?

10 If that's the case, let me use a  
11non-cardiology analogy. We routinely use radiation  
12therapy in many forms of malignant disease and it's  
13certainly conceivable that in a different stage of a  
14given disease that therapy is more likely to be  
15beneficial in some patients than in others, but we  
16don't routinely apply those kinds of criteria. We  
17apply radiation therapy to patients with, for  
18example, Stage II and III Hodgkin's disease, and  
19don't pay a lot of attention to specific cellular  
20types of Hodgkin's disease which may affect to a  
21greater or lesser extent the benefits of the therapy.

22 And I guess I have some concerns about the  
23study per se, some that Dr. Hlatky raised and  
24Dr. Lynn raised, but it appears to me that what we're  
25really approaching, circling around so to speak in

0194

1looking at subdivisions of inducibility versus  
2non-inducibility, is trying to stratify this in terms  
3of a relative benefit where it appears that both  
4groups benefit, should you make the cut on a 50  
5percent benefit versus a 30 percent benefit versus a  
670 percent benefit.

7 Dr. Sox: Right. And the reason we're  
8doing it is that CMS has done an analysis to try to  
9identify subgroups that might benefit less and we're  
10trying to basically advise them as to whether that's  
11getting them anywhere in terms of a decision that has  
12a strong scientific footing. And I guess I'm hearing  
13you say you're hearing that it's pretty futile to try  
14to do that.

15 Dr. Holohan: I think we could probably be  
16here tomorrow afternoon.

17 Dr. Sox: No chance.

18 Dr. Holohan: You know, the 25 percent  
19ejection fraction versus 29, versus 30, versus 31.

20 Dr. Sox: Great. Sean?

21 Dr. Tunis: I wonder if Dr. Gregoratos is  
22still here, and Dr. Hlatky, I was wondering if we  
23could spend a little bit of time just probing a bit  
24more into the ACC guidelines and some of the issues  
25that were raised there. Is that permissible to do,  
0195

1Hal?

2 Dr. Sox: Of course.

3 Dr. Tunis: Okay. I just have a couple  
4questions for these folks and I think other people  
5may actually have some questions for them as well.  
6But I guess starting with Dr. Gregoratos, it would  
7just be interesting to --

8 Dr. Sox: Sean, before we get -- that's  
9kind of a change in direction, so if we could, I'd  
10like to make sure that anybody else on the panel  
11wants to follow up to what Tom has said and see  
12whether we're coming to some agreement about that and  
13if not, where the holes are. Others that want to  
14respond to Tom's statement, does it speak to what  
15you're thinking as well?

16 Dr. Curtis: I think I'm agreeing with him  
17if I say that I don't think we did see anything that  
18is comforting enough that you can, you know, that we  
19have a test or some way of looking at it, that we  
20could not implant defibrillators in a group of  
21patients, and that's okay, and that the survival would  
22be much better in the other group. There is enough  
23risk all across the board here that the EP study as a  
24risk stratifier in this patient population, EF under  
2530, simply isn't good enough to exclude those  
0196

1patients from implantation.

2 Dr. Holohan: And if even if it were, what  
3would the proportional benefit be, and I don't think  
4we know that.

5 Dr. Sox: Thanks for waiting, Sean. I  
6just wanted to make sure we had a chance to follow  
7through on that question.

8 Dr. Tunis: So I guess the question that  
9-- you know, both of you gentlemen were on the ACC  
10guideline panel. Dr. Gregoratos, you've chaired that  
11panel, and the two-way recommendation reflects some  
12difference of view within the panel or difference of  
13view about the evidence, and I just wondered if you  
14wanted to talk a little bit more about where the  
15panel's main reservations were and maybe a little bit  
16about how the panel when they discussed whether IIa  
17versus IIb where the evidence was against, how those  
18conversations went, and just give us a little more  
19flavor of some of the discussions that led to landing  
20on the IIa recommendation. And then maybe Dr. Hlatky  
21wouldhave some comments about some of the panel's

22 discussions as well.

23 Dr. Gregoratos: The discussion was long,  
24 as you might imagine. The committee started thinking  
25 that this was a IIb recommendation, but the concerns  
0197

1 were those that I listed up on the slide that I  
2 mentioned before. But after a period of mature  
3 thought and input from others, we basically felt that  
4 the predominant evidence was in favor of a higher  
5 level recommendation as a IIa.

6 The concerns that we had to begin with at  
7 that time, again, I emphasize back in June of 2002  
8 when this was finalized, were the same ones that have  
9 been discussed here today. Are there subgroups or  
10 were there subgroups that could benefit more or less  
11 from additional risk stratification, could benefit  
12 more or less from an ICD. And basically we concluded  
13 that there was no evidence to go that way.

14 We were concerned about whether patients  
15 with a prolonged QRS derived better benefit, higher  
16 benefit than those from a normal QRS or less long  
17 QRS. And again as Dr. Moss said, there was no  
18 statistical -- even though there was a time, there  
19 was no difference between the overall less or greater  
20 benefit depending upon the QRS duration.

21 The inducibility issue has been discussed  
22 and nauseam today so I will not bring it up again, but  
23 it was an original concern and then the committee  
24 felt there was not enough evidence to point us in  
25 that direction.

0198

1 The heart failure, the issue of why there  
2 was a higher incidence of heart failure in the  
3 MADIT II defibrillator group was a real concern and  
4 we think that there may be an answer following the  
5 DAVID trial application.

6 And frankly, we were concerned about the  
7 cost efficacy data that were not available to us.

8 All those things together finally  
9 culminated in a IIa recommendation, again emphasizing  
10 that in our view, in the group's view, and there was  
11 some dissent and some discussion, but the consensus  
12 ultimately was that the preponderance of the evidence  
13 was in favor of the recommendation for prophylactic  
14 ICD implantation.

15 I think that's the best I can tell you  
16 unless you have anything more specific you wanted to  
17 address.

18 Dr. Tunis: So was it the position of the  
19 ACC that every patient with an LVEF less than 30  
20 percent and a history of an MI should have an ICD  
21 implanted?

22 Dr. Gregoratos: The position of the ACC  
23 is yes. It's a qualified yes, but it's a yes. We  
24 are concerned that there may be inappropriate ICD  
25 implantations, and that's why we put down that we  
0199

1 recommend strict adherence to the inclusion and  
2 exclusion criteria. We think that there may be a  
3 need for additional investigation to better stratify  
4 this whole group of patients, although we don't know  
5 that.

6 And we did recommend for the same reasons  
7 as number two above, that the registry be maintained  
8 sort of as a post-market surveillance type of  
9 problem, a situation that the FDA recommends, that we  
10 do have a registry of patients who get ICDs for

11MADIT II type criteria to see where it all leads to.

12 Dr. Tunis: I wonder Dr. Hlatky, if you  
13wanted to comment on any of that. And also, in your  
14written testimony you talked about the selection  
15criteria, sort of a preselection criteria for  
16patients in the trial and I just wondered if you  
17wanted to talk a little bit about that and how that  
18might be factored into the ACC position as well.

19 Dr. Hlatky: Well, let me say that I was  
20on the committee, but I will speak for myself rather  
21than the ACC, because Dr. Gregoratos is here as the  
22ACC representative and chair of the committee.

23 I think it's fair to say that there was  
24considerable, the Ia, difference between a I and a II  
25is that there is some division of opinion within the  
0200

1community and the question was whether there was  
2complete consensus on this, and I don't think there  
3was entirely within our committee, that it was a  
4blanket recommendation to go ahead with this. And I  
5think some of the concerns that were raised were some  
6of the ones that I raised about exactly who the  
7patients are and which groups it applies to are big  
8considerations.

9 And I would say the second thing about  
10this is, the question of how generalizable it is, the  
11investigators were very careful, I think, to have a  
12very explicit set of inclusion and exclusion criteria  
13that covered a lot. And what we're seeing today is  
14the quest that a lot of those be shed and we just get  
15down to EF less than 30 and pass them on, and that  
16was not exactly the inclusion criteria for the trial.

17 So I think the question there is, you  
18know, exactly how far do you generalize it? Do you  
19say, you know, lots of people who are in the Medicare  
20population are not eligible for META II, but they do  
21have an EF of less than 30.

22 Dr. Sox: Yes, Dr. Weil?

23 Dr. Weil: I would just, when we look at  
24inclusion and exclusion criteria for MADIT II and for  
25the potential to answer these questions, we should  
0201

1also remember, and I would say ask Dr. Moss, could  
2not the same questions be raised about the inclusion  
3and exclusion criteria for MADIT I from us, or the  
4studies for which there have been coverage  
5determinations. And in discussing this, I would  
6just, I'm just concerned that we're focusing on these  
7particular types of tough issues only for one study  
8as compared to many others that have been used  
9already for coverage determinations.

10 Dr. Sox: Dr. Wilkoff, or who wants to go?

11 Dr. Buxton: Well, I'll make a comment.  
12Many of the studies in the past utilized, say,  
13post-infarction patients who came through the  
14coronary care unit, so you had a nice log, you could  
15log everybody who came through the coronary care unit  
16and you knew who was excluded, why they were  
17excluded, and you had these criteria, okay? When you  
18get out into taking patients from the general  
19environment where you have many different sources of  
20patients, many different practices, many different  
21laboratories, echo, nuclear, angiography, et cetera,  
22it's a very different type of investigation than  
23starting with only patients who come through the  
24coronary care unit.

25 So it's very very difficult of how you get

0202

1this, what's the background from which you draw the  
2patients. And what you hope is with taking a large  
3enough swipe of the population, 1,200 patients, is  
4that they are going to be reasonably representative,  
5because you're not preselecting on any given  
6criteria. This is part of the reason we use a rather  
7open eligibility, to get as representative a sample  
8of patients as possible.

9 We also wanted to include a full age  
10spectrum. We did not have an 80-year old cutoff. We  
11took all patients of any age, 85, et cetera. And I  
12remember the argument, because I have taken care of a  
13lot of patients who had aortic valve replacement at  
14age 85 and did well, and I saw no reason to exclude  
15these patients in this trial so long as they met the  
16entry criteria, et cetera.

17 Dr. Sox: Maybe I could ask you, we will  
18get into discussion of entry criteria and  
19generalizability after we vote on this first  
20question, and we will have a question for you at that  
21point. Yes, Colleen.

22 Dr. Conway-Welch: I would just like to  
23clarify one point, and I don't think it's relevant  
24whether the vote is yes or no or whether we do it as  
25exclusion or inclusion. But, am I correct in that we  
0203

1don't have any enough data on women to be able to say  
2much of anything about what their clinical sequelae  
3would be. I guess, Dr. Moss?

4 Dr. Moss: Well, it's a small subgroup.  
5That is the fact, that is was 16 percent of the total  
6population. They did seem to do better by meaning  
7hazard ratio, lower hazard ratio. But being a  
8smaller subgroup or a smaller group, their confidence  
9interval, there is more potential for variability.  
10So we, just like Dr. Buxton mentioned, this is a  
11reality of life and we thought we would get more  
12women by having unrestricted age. We didn't get as  
13many as we would like.

14 This was also true of NIH supported  
15studies where they by law have to have 50 percent  
16women. They have never achieved that. It's a very  
17tough area and as I said, this is where I think some  
18of the future direction should be, to focus this  
19more.

20 Dr. Conway-Welch: I understand the  
21problems, I'm just asking for a yes or no. We really  
22can't, I mean they really aren't part of the  
23equation.

24 Dr. Moss: You can't exclude the subgroup,  
25you can't say it's not effective in women; if  
0204

1anything, it looked a little more.

2 Dr. Sox: Dr. Gregoratos asked if he could  
3make one more statement about consensus.

4 Dr. Gregoratos: Since the issue of  
5consensus came up, I wanted to tell the panel the  
6final vote. There were 11 members of the committee.  
7One person, an electrophysiologist held out for a IIb  
8recommendation. There was another  
9electrophysiologist who held out for a Class I  
10recommendation. And there were 9 of the 11 who voted  
11for a IIa.

12 Dr. Sox: I'm wondering if we're getting  
13pretty close to taking a vote on the first question.  
14Wouldyou put it back up please. I guess I would

15like to ask the voting panel whether they're ready to  
16vote or whether there are more questions they would  
17like to ask about the first voting question.

18 Dr. Weil: You're only proposing to vote  
19on the first bullet?

20 Dr. Sox: Only the first one now, and then  
21we would move on to the second one.

22 Dr. Tunis: I just want to make sure  
23people understand the distinction between the  
24questions which I think you were trying to get at  
25which is, and people can correct me if I'm wrong, but  
0205

1I believe the first question is basically, is the  
2evidence adequate to draw conclusions about health  
3outcomes in patients identical to the patients  
4enrolled in the MADIT II trial? And the second  
5question would be, is the evidence adequate to draw  
6conclusions about patients, all Medicare patients  
7with LVEF less than 30 percent who are post-MI, which  
8gets to the issue of generalizability?

9 So does that seem -- if we sort of  
10rephrase the question that way as question number one  
11is that stuff, but for Medicare age patients  
12identical to, or for patients identical to the  
13patients enrolled in the MADIT II two trial, and the  
14second question would be all patients with left  
15ventricular ejection fraction less than 30 percent  
16and post-MI.

17 Dr. Sox: So you're proposing to insert  
18something that would say Medicare age patients  
19identical to those who met the MADIT II criteria?

20 Dr. Tunis: Or identical to the patients  
21enrolled in the MADIT II trial.

22 Dr. Sox: It doesn't sound like a  
23particularly substantial difference to me, as long as  
24the panel is comfortable with it. Tom?

25 Dr. Holohan: I would argue the other way  
0206

1around.

2 Dr. Sox: Please do.

3 Dr. Holohan: A group of patients who met  
4the inclusion and exclusion criteria for the MADIT  
5trial is different than saying patients in the MADIT  
6trial who are identical to other Medicare patients  
7who are beneficiaries.

8 Dr. Tunis: Right. I guess what I'm also  
9trying to get at is it's not just the inclusion and  
10exclusion but also trying to incorporate this notion  
11of the selective referral for consideration of  
12inclusion in the trial, given that what appears to be  
13a somewhat sicker than average population based on  
14two and three more mortality in the conventional  
15study arm, but if you wanted to leave it as inclusion  
16and exclusion --

17 Dr. Holohan: Well, I think that's what,  
18if you want to be that specific, I think you have to  
19be that specific.

20 Dr. Weil: I would just raise the issue,  
21is that how similar questions have been posed with,  
22in previous panels, with respect to clinical trials?

23 Dr. Sox: In general I don't think we have  
24had the luxury of having many clinical trials, and  
25perhaps this one being so complex, I don't think we  
0207

1have actually divided a question before, so I don't  
2think -- the answer to your question is, I don't  
3thinkthere's a precedent.



4 Dr. Weil: I would just be concerned that  
5to attempt to narrow down really a gold standard for  
6evidence based medicine in that way, as compared to  
7other types of evidence that the committee panels  
8have considered before, that I believe it may be a  
9counterproductive precedent.

10 Dr. Holohan: So are you then saying  
11eliminate the inclusion and exclusion criteria?

12 Dr. Tunis: I leave that up to you.

13 Dr. Weil: No, I would propose leaving the  
14question as it is, but to add terms like identity,  
15et cetera, would appear to make the question  
16extremely limited and not necessarily as useful to  
17the types of coverage determinations that CMS will  
18have to make.

19 Dr. Sox: Well, CMS is going to -- we're  
20just advising them and we may be slicing this a  
21little fine for their purposes, since what we say  
22isn't necessarily going to be translated directly  
23into coverage rules. Dr. Curtis?

24 Dr. Curtis: Every clinical trial has  
25inclusion and exclusion criteria and can be as  
0208

1narrowly defined as you want or as broadly defined as  
2you want. And then when the trial is published, the  
3results tend to be used in a more generalized way  
4than whatever the trial was. And there are degrees  
5to which that happens. I think in the MADIT II trial  
6the fact that the inclusion criteria were really  
7rather simple overall, the fact that it was a low  
8ejection fraction and ischemic cardiomyopathy tends  
9to make this more generalizable than other trials  
10that you might consider. And so you know, and I  
11guess as a corollary to that, Medicare coverage or  
12CMS coverage of this indication, what we're talking  
13about is allowing reimbursement for coverage for this  
14indication, not mandating it.

15 I think what we have to realize is that  
16physicians who take care of patients, hopefully most  
17of us are not going to forget things like somebody  
18with an otherwise terminal illness or other reasons,  
19you use good clinical judgment. You don't implant  
20defibrillators in patients with dementia who are in  
21nursing homes just because they meet the MADIT II  
22criteria. We do use judgment there. But I think  
23aside from that, with good clinical judgment, this is  
24a fairly well generalizable trial.

25 Dr. Tunis: That's the whole point, that  
0209

1we are trying to give the panel the opportunity to  
2vote on the extent of the generalizability of the  
3trial by having two separate questions, one that  
4deals with internal validity and one that deals with  
5generalizability, to see if the panel agrees with  
6your point of view.

7 Dr. Conway-Welch: I agree.

8 Dr. Redberg: The question was raised by  
9Dr. Hlatky, based on what you said, that only 3.8  
10patients per year were enrolled at each center, and  
11that's what led to the idea if it was so  
12generalizable, why was enrollment so low and were  
13there other risk markers, or what was going on with  
14enrollment that there were so few patients and so few  
15women, and I don't know if we have any minority data  
16from this trial.

17 Dr. Moss: Well, any time you do a new  
18clinical trial, it's a challenge to enroll patients.

19That's why we went to 76 centers. Now if you take  
20the -- any very large trial to get large numbers, you  
21need a lot of centers and that generally means that  
22the enrollment rate per center is somewhat low. This  
23is true I think if we were to ask Dr. Buxton to get  
24his 800 or so patients over five years, and it's a  
25challenge. It's even more of a challenge now with  
0210

1human investigation; we had to get human  
2investigation committee approval in every center, and  
3it's a challenge. I don't know how else to answer  
4that. I don't know any center that can enroll a  
5large number of patients very very rapidly when  
6you're doing an intervention trial of this magnitude.

7 Dr. Sox: So it makes it fairly tough,  
8doesn't it, to generalize from the study population  
9to almost anything else? And maybe that's one reason  
10for trying to frame the question in a way that I  
11think is relatively narrow, because at least we can  
12try to answer that question because we have the study  
13before us, and we have now discussed it pretty  
14thoroughly in terms of trying to decide whether we  
15can slice and dice the population, and decided I  
16think probably that we can't.

17 So, other questions? Otherwise, I would  
18like to move on to a vote on the first question.  
19Let's go for it. So, I will now turn you over to  
20Janet.

21 Ms. Anderson: One thing I have to do for  
22the record.

23 For today's panel meeting, voting members  
24present are Tom Holohan, Colleen Conway-Welch, Anne  
25Curtis, Carole Flamm, Alex Krist, Karl Matuszewski,  
0211

1Rita Redberg. Chairperson Hal Sox will vote in the  
2event of a tie. A quorum is present. No one has  
3been recused because of conflicts of interest and at  
4this time the chairperson Dr. Hal Sox will call for a  
5motion and ask the voting members to vote. It will  
6be a yes or no vote.

7 Dr. Sox: Would somebody like to move the  
8question?

9 Dr. Curtis: So moved.

10 Dr. Sox: Do I hear a second?

11 Dr. Flamm: Second.

12 Ms. Anderson: So we're voting on the  
13question as listed in bullet point number one. Those  
14voting members who are voting yes, please raise your  
15hands.

16 (Show of hands.)

17 Ms. Anderson: Those voting members who  
18are voting no, I have to say even though it was  
19obvious.

20 (No response.)

21 Ms. Anderson: We have a unanimous vote  
22for yes, thank you.

23 Dr. Sox: So now we need to move on to the  
24second question, which is effectively the  
25generalizability question. Is the evidence adequate  
0212

1to apply the findings of the MADIT II trial to all  
2Medicare patients who meet the inclusion criteria for  
3the MADIT II trial?

4 And I guess one question I've got is  
5whether we want to state it just that way or whether  
6we might want to say all patients who had a  
7myocardial infarction and who have an ejection

8fraction less than 30. Should we sharpen it a little  
9bit by making it more specific?

10 Dr. Holohan: That's very different,  
11because the inclusion and exclusion criteria are a  
12smaller population than people who simply have had an  
13acute MI and an EF of 30 percent.

14 The other question is that in the first  
15bullet we talked about inclusion and exclusion, but  
16the word exclusion doesn't appear in the second  
17bullet.

18 Dr. Sox: Well, I think that's to ask th  
19question basically of whether we know enough right  
20now to predict the results of applying the MADIT II  
21trial to all patients, including patients who have  
22illnesses that are likely to prove fatal in the near  
23term and the like. That's the question we need to  
24talk about.

25 Dr. Wilkoff: Why would you ask the  
0213

1question whether it was effective if you want to  
2generalize it to patients who were going to die from  
3something else? I mean, who would argue that you  
4want to implant these devices in people who are going  
5to die from other causes?

6 Dr. Sox: I guess we want to advise  
7Medicare on whether to encourage that sort of thing  
8by covering it.

9 Dr. Wilkoff: Well, I would propose that  
10you, that particular exclusion criteria belongs  
11there. The point is, that's what physicians do. I  
12mean, physicians don't apply any therapy to people  
13that have other life limiting problems. I mean,  
14that's part of the practice of medicine, but it's not  
15going to inhibit anybody if you say you can't put it  
16in, that Medicare shouldn't be covering patients that  
17are going to have a near-term mortal illness, that's  
18not going to inhibit anybody's practice. I don't  
19think we have to argue about the generalizability to  
20that group, do we?

21 Dr. Curtis: I totally agree with Bruce.  
22It goes back to what I said about mandating  
23implantation versus allowing implantation. I mean, I  
24don't think anybody here would recommend operating on  
25an aneurism in somebody with terminal cancer either,  
0214

1even though that's reimbursable or allowable. You  
2have to use good clinical judgment, but I don't think  
3that the voting question ought to be if somebody has  
4major other comorbid illnesses, whether or not this  
5is generalizable. I think the understanding most  
6people have is that if somebody has serious other  
7medical illnesses, that good clinical judgment would  
8lead you not to do that. What we should be voting on  
9is whether or not these results are generalizable to  
10the average Medicare population.

11 Dr. Sox: Well, specifically the question  
12which Medicare wants to ask is, is the evidence  
13adequate to extrapolate these findings really either  
14to the population that includes the people with  
15near-term fatal illness or other people that didn't  
16meet the trial inclusion criteria.

17 Dr. Tunis: The nature of the coverage  
18request was as broad as any Medicare patient with  
19left ventricular ejection fraction less than 30  
20percent and post-MI, that's how broad the request is.  
21So we need this committee, if possible, to vote on  
22whether or not the evidence is adequate to generalize

23that broadly based on the MADIT II study. That's the  
24question that we need answered.

25 Dr. Curtis: Would you be looking for  
0215

1explicit exclusion criteria then?

2 Dr. Tunis: No, we would be looking to get  
3the judgment of this panel about whether the evidence  
4that you have in hand supports as broad a conclusion  
5as yes, this is adequate to basically cover, to  
6basically generalize to all patients that meet those  
7two criteria.

8 Dr. Sox: Because that's the way that the  
9requestor framed it; is that correct? Tom?

10 Dr. Holohan: I'm having some cognitive  
11dissonance here. We just voted yes, that the  
12evidence was adequate to draw conclusions about  
13outcomes in Medicare aged patients who met the  
14inclusion and exclusion criteria, we said yes.  
15That's the way we wrote the question, that's what we  
16voted on. Now we're talking about expanding that,  
17throwing out the inclusion and exclusion criteria and  
18saying anybody who is a Medicare beneficiary who  
19meets only two criteria, not the inclusion and  
20exclusion criteria for the evidence we have been  
21listening to all morning. I find it a step that I  
22can't take based on the evidence.

23 Dr. Sox: Then you would vote no.

24 Dr. Holohan: No. What I'm saying, or  
25what I thought I was saying is I think the question  
0216

1doesn't make a lot of sense to me based on our vote  
2on the first one. The question itself doesn't. If  
3that means vote no, okay.

4 Dr. Tunis: Part of the question, Tom,  
5it's a question of how far beyond the long list of  
6exclusion criteria and inclusion criteria  
7specifically in the MADIT II study would this  
8committee be comfortable thinking that that evidence  
9allows for generalization. That's the question, so  
10we're trying to frame it, you can frame a different  
11question, but the point is all you've voted on is  
12yes, the evidence is adequate to cover someone  
13essentially identical or who meets all the inclusion  
14or exclusion for the MADIT II study. What we're  
15trying to get at is how far beyond that does this  
16committee feel the evidence is adequate to go, and  
17does it go so far as to everyone with the two  
18criteria, post-MI LVEF, which is what has been  
19proposed as a coverage decision.

20 Dr. Holohan: I will defer to the experts  
21on the panel. I just don't see a long list of  
22inclusion and exclusion criteria in the New England  
23Journal paper. They're fairly limited.

24 Dr. Curtis: You know, if we could amend  
25this to -- I mean, maybe the sponsor came forward and  
0217

1said, you know, EF under 30 and they've had an MI any  
2time, I want this covered. There really are a  
3limited number of other criteria here that I don't  
4think most of us would probably have a problem with,  
5you know, an MI within a month, the revascularization  
6within three months, that was in the exclusion  
7criteria. Wasn't that CABG? And what about Class  
8IV? Yeah, Class IV heart failure. I mean, you can  
9make a very minor adjustment to that that I think  
10most people would accept, and then we would be happy  
11with.

12 I'm very concerned about being locked  
13into, or asked to vote on a question that by the way  
14you're phrasing it is going to demand a no answer,  
15and it's not going to get at what we're really trying  
16to do, I don't think.

17 Dr. Redberg: I wonder, because I think  
18there are questions that are going to be impossible  
19to generalize, not only all the inducibility things  
20that we talked about but just, I mean the big  
21question. Women are more than half of the Medicare  
22population and 15 percent of this trial was women.  
23The hazard ratio crosses 1, well into 1.2, and I'm  
24just wondering, I think we need more data about  
25women, besides some other categories of the general  
0218

1Medicare patient.

2 In the past occasionally there has been a  
3conditional coverage because often data isn't  
4collected once the coverage determination is made,  
5not at least for clinical trials, and would there be  
6a possibility to continue, for Medicare to have sort  
7of a conditional coverage in the context of continued  
8randomization clinical trial format where we could  
9answer some of these questions that we're not able to  
10answer from the MADIT II data, like in women,  
11minorities and other groups that people are  
12questioning?

13 Dr. Sox: Sean, would you address that  
14question, the concept of some sort of provisional  
15coverage for people in trials who don't meet the  
16criteria we just voted on?

17 Dr. Tunis: There has been a limited  
18number of cases where we have done something like  
19that and so while it's not impossible, it's not a  
20common thing for the Medicare program to take on.

21 Dr. Holohan: What about the registry  
22suggested by the ACC?

23 Dr. Sox: Well, there are a number of  
24things that we could suggest as part of our  
25recommendation, and I think the ACC recommended  
0219

1strict adherence to the MADIT inclusion and exclusion  
2criteria, a registry, and at least one other thing.

3 Dr. Curtis: Actually, I think one other  
4thing that should be brought up is that there is,  
5we're implanting a large number of resynchronization  
6devices today, biventricular pacers, and they are for  
7heart failure patients. And we can't get into a long  
8discussion now, but there is a relevant point here.  
9Today if you have a patient with Class III or Class  
10IV heart failure, we can implant the biventricular  
11pacemaker because that's a covered indication. But  
12when we get these patients with ejection fractions of  
1320 percent and they're Class III and all the rest of  
14that, and we're putting hardware in anyway, with this  
15kind of trial results, I think most of us as  
16electrophysiologists would really prefer if we could,  
17to implant the biventricular defibrillator in  
18somebody with an ischemic cardiomyopathy with a very  
19low ejection fraction, and I think there is evidence  
20there to cover those patients, and I would hate to  
21say that be excluded.

22 Dr. Wilkoff: And particularly the  
23functional Class IV patients, which would be excluded  
24if we strictly adopted this, would be excluded from  
25that. So my opinion would be, if we're going to  
0220

1generalize this beyond this strict criteria that we  
2have here, we should generalize it to the functional  
3Class IV patients, because if anything, we have  
4evidence that it may benefit more of those patients,  
5and we do have the provisional data from Companion,  
6which also is in concert with that. We may not have  
7hard data there, but if we're going to talk about  
8generalizing this data beyond the strict criteria,  
9functional Class IV patients I think should not be  
10excluded from this indication.

11 But I think waiting a period of time, a  
12month after MI, three months after coronary  
13intervention of some sort, is not an unreasonable  
14thing, that's certainly the population that we had  
15here, and there's no reason to have to generalize  
16beyond that. That's the way I look at it.

17 Dr. Sox: Well, we're not quite at an  
18impasse here but we're not exactly on the same page,  
19I think. We're supposed to comment on the adequacy  
20of evidence, that's our job. And the question that  
21we're trying to get at and we still haven't figured  
22out how to get at it, is how good is the evidence  
23that the MADIT II criteria apply to patients beyond  
24those that were in that trial? And that's what I  
25think CMS wants us to comment on.

0221

1 And Dr. Wilkoff suggested that maybe there  
2is some evidence that in a particular subgroup of  
3patients, namely Class IV heart failure, that there  
4is enough evidence that we could generalize to that  
5group, and maybe there are some other groups where  
6there is enough evidence to generalize to that group,  
7and if so, we ought to discuss that evidence and see  
8if we agree that it's good enough to generalize. But  
9the intent here is to ask, is the evidence good  
10enough to generalize to patients who've had an MI  
11very recently, revascularization very recently, or  
12patients who have another condition that's likely to  
13claim their life in the short term, how good is the  
14evidence that the study applies to those patients?  
15Now, are we coming to any understanding of this?

16 Dr. Curtis: All right. Maybe this will  
17help me understand it and other people here too.  
18Let's say we said no to that, then what? Where do  
19you go from there?

20 Dr. Sox: Well, he's the one who makes the  
21policy. We just advise him on the evidence.

22 Dr. Curtis: Then I don't think that's the  
23right question to ask, but if you're saying that is  
24the question you want answered then I want to know  
25what it means.

0222

1 Dr. Sox: Well, our job is to try to  
2answer questions useful to CMS, because our job is  
3advising them, so what is a question that's useful to  
4you, Sean?

5 Dr. Matuszewski: I could offer one  
6throwback to that, and we will wait until more  
7evidence develops. This is amazingly an area where  
8there is not a lack of RCTs, there is not a lack of  
9trials in progress where the results will be due in  
102004 and in 2003 where -- I don't think we have to  
11say that this is the one time we're going to deal  
12with it and forever more it will be done. Maybe it's  
13somewhat pessimistic but you know, you'd like to see  
14a little bit more. I can tell Sean the exclusion  
15criteria of women of child bearing age who won't take

16contraceptives, that one won't work for you. But the  
17New York Heart Association Class IV, there were nine  
18patients who snuck into MADIT II even though that was  
19an exclusion criteria, so there was some leakage.

20 With that second bullet there, you know,  
21you'd love to say it looks like something, but  
22wouldn't it be better if we had some more data, but  
23we don't expect any more trials to come down the  
24pike, but I don't think that's the case here.

25 Dr. Weil: I would ask the question with  
0223

1respect to the indications of EF less than 30 and  
2prior MI, are the studies coming down the pike, do  
3they address those particular criteria, the SCD-HEF  
4and any other that is almost complete?

5 Dr. Buxton: The ongoing trials do not  
6examine the same populations. They examine patients  
7with non-ischemic dilated cardiomyopathies, which is  
8an entirely different physiology. They examine  
9patients with congestive heart failure, and that's a  
10different population with different risks. So this  
11was not a heart failure trial, it included patients  
12who had heart failure but it was not a heart failure  
13trial and it doesn't duplicate, SCD-HEF will not  
14duplicate these results.

15 I would just add one thing for the people  
16that are concerned about, it seems some people are  
17concerned that the defibrillator doesn't work in  
18women or that there's not the same degree of benefit.  
19We have an analysis that has been prepared but not  
20yet published, only presented as an abstract in  
21MUSTT, that shows that women benefitted from  
22defibrillator therapy to the same degree as men,  
23among patients randomized in that trial.

24 Dr. Tunis: I'm wondering if I could ask  
25someone from Guidant to clarify, since we can't seem  
0224

1to find a copy of the coverage request here in the  
2room, what was the request for coverage to CMS for?

3 Dr. Smith: I'll get up in the absence of  
4that information. I think somebody is going to give  
5me that in a minute, but it seems like you're  
6struggling with trying to answer the question if our  
7request exceeds the bounds of the trial. We're going  
8to stick to the science. And so, a coverage  
9indication that speaks to the trial I think is what  
10we're asking for. We're not asking for more than  
11that, we're sticking right to the science.

12 So if it's written in a way, if the  
13request is written in a way that makes it look like  
14we're asking for more than that, that's not the case.  
15What we want to get is what the trial allows us to  
16ask for with respect to the science. So, to be  
17specific, I think if you're including the inclusion  
18and exclusion criteria in the questions, then that is  
19the trial and that is the topic I think we're asking  
20for in terms of your deliberations.

21 Dr. Tunis: So, you know, another way to  
22get this committee on record on this issue of  
23generalizability, if we wanted to phrase the question  
24as, is the evidence adequate to apply the findings of  
25MADIT II beyond the inclusion and exclusion criteria  
0225

1of MADIT II, maybe you can answer that question with  
2a clear conscience. I know you're reluctant to say  
3no, but --

4 Dr. Curtis: Yeah, because you know, when

5you talk about generalizability, I think what you're  
6asking for is if you have a set of inclusion and  
7exclusion criteria, and how much more do you go  
8beyond that, you know, I don't want to say that  
9anybody with an ischemic cardiomyopathy with an EF  
10below 30, let's go ahead and put defibrillators in  
11everybody, or that's what the evidence says. I mean,  
12I'm glad that you just said that, because the trial  
13has a certain matter of inclusion and exclusion  
14criteria, that's what we have the evidence for, and I  
15think that's what I would like to vote on eventually  
16as a coverage thing. If you're saying, you know, do  
17we think you can generalize beyond that, and by that  
18you mean throwing out the exclusion and inclusion  
19criteria, I don't think we should or would want to do  
20that.

21 Dr. Sox: It kind of sounds like we all  
22want to do the same thing but we can't figure out  
23procedurally how to do it. Yes, Dr. Weil?

24 Dr. Weil: This is because as several  
25people have pointed out, we haven't had such good  
0226

1RCTs in this panels before we reached this point. I  
2do remind everybody on the panel that they are  
3allowed to consider other evidence in addition to  
4RCTs. We just have such good studies in this case  
5that we've had the luxury of relying primarily on  
6them, but if based on other types of evidence, that  
7can inform their decisions as well.

8 Dr. Sox: Let me ask the voting members  
9now, would you be comfortable voting on this  
10question? If not, how should we modify it so that  
11you feel you're being able to vote yes or no and be  
12expressing your opinion on the matter, the second  
13bullet.

14 Dr. Holohan: I would ask that exclusion  
15criteria be included just as it was in the first  
16bullet.

17 Dr. Sox: But then it's the same question,  
18Tom.

19 Dr. Curtis: The only think I think that  
20we really should think about is the class, really the  
21inclusion and exclusion criteria, one says MI a month  
22or more before, and the exclusion criteria says if  
23you've had an MI within a month, so they're saying  
24the same thing in different ways, so you don't have  
25to worry about that. So really the biggest  
0227

1differences between the inclusion and exclusion  
2criteria, aside from the child bearing age or  
3whatever, really has to do with recent coronary  
4revascularization and the Class IV issue.

5 The Class IV patients, I would be  
6reluctant to exclude because of the issue of  
7resynchronization devices, because if you have a  
8resynchronization defibrillator, the  
9resynchronization part is supposed to improve the  
10heart failure and then the defibrillator is supposed  
11to prevent sudden cardiac death. If you said that  
12you could implant to the Class III but not a Class  
13IV, that's going to give us an awfully funny group of  
14patients that we can't take care of, and that's  
15probably the ideal treatment for them.

16 Did this trial cover that? Absolutely  
17not. But that's where I think you get beyond the RCT  
18issue and say, you know, we do see benefits in these  
19patients. And not only that, but the Companion



20trial, which was a resynchronization defibrillator,  
21showed an improvement in survival.

22 Dr. Wilkoff: Perhaps what we should say  
23is functional Class IV patients with a wide QRS,  
24because that's the particular problem group of  
25patients that we would be seeking to be treating. I  
0228

1mean, functional Class IV patients that have a high  
2mortality from heart failure that we're not going to  
3resynchronize probably is not a great patient for  
4this, but a functional Class IV patient that we are  
5going to resynchronize, has a wide QRS, would be a  
6good group.

7 Dr. Sox: Let's try sticking in a  
8parenthesis on this and see how it flies. Start a  
9parenthesis at the end of the sentence. Other than  
10patients, and here's where I need the wording. With  
11Class IV and a wide QRS, what do we put in there.

12 Dr. Curtis: Class IV with a narrow QRS,  
13or normal QRS, because you wouldn't resynchronize  
14them. Was that inclusion? Yeah, other Class IV --

15 Dr. Sox: Well, we want to put in the  
16group of people that we think should get the ICD.

17 Dr. Curtis: All right, I'll take your  
18word for it. It should be a wide QRS?

19 Dr. Carlson: I think what you're doing  
20there is to exclude the patients with Class IV and  
21what you want to do is add the patients with Class  
22IV.

23 Dr. Sox: We want to include that group  
24but exclude other people.

25 Dr. Curtis: So it would be including  
0229

1patients with Class IV CHF and a wide QRS, right?  
2Okay.

3 Dr. Sox: So, I'm confused now, because  
4what I'm thinking is that most of us feel that the  
5evidence is not adequate to apply the MADIT trial  
6findings to all Medicare patients who meet the  
7inclusion criteria for the trial, and I think we all  
8believe that, it sounds like. But now we want to  
9make an exception to that, for a group which we feel  
10it does apply to, so --

11 Dr. Wilkoff: I think what you want to do  
12is word it like the first question that we passed  
13already, and just add in the parenthetical phrase,  
14which will add in just one other subgroup, just a  
15small generalizability.

16 Dr. Lee: Add the parenthetical phrase to  
17the first question. I think that's what Dr. Curtis  
18had in mind. You were comfortable with all the  
19exclusion criteria except for those in this  
20parenthetical phrase.

21 Dr. Curtis: That's correct.

22 Dr. Sox: So, you want to put the  
23parenthetical phrase in the first question?

24 Dr. Lee: Make it a second question. Keep  
25the first question the way it is. Add a second  
0230

1question that's just like the first one, but add that  
2small subgroup.

3 Dr. Sox: And then we can vote on that  
4question, and then we can go on to vote on the third  
5question, which is the one we've been talking about  
6the last 20 minutes. Kerry, can you make those  
7changes?

8 Dr. Moss: Dr. Sox, the comment I was

9going to make is I would suggest the committee needs  
10to be very cautious about focusing on this specific  
11subgroup of Class IV patients with a wide QRS. And  
12the reason I say this is that although, you know, the  
13Companion study is obviously a very important trial,  
14the committee does not have the benefit of a peer  
15reviewed publication with that information outlined  
16in sufficient detail to really fully understand the  
17implications of the use of these devices in that  
18group of patients. I just think caution is  
19warranted.

20 Dr. Holohan: I have to agree. We haven't  
21reviewed evidence to that effect.

22 Dr. Sox: Well, if we can ever get the  
23question down in a form that we can vote on, then we  
24can have a discussion about it.

25 So as I understand it, the proposal is  
0231

1that we create a new question that basically asks,  
2does the MADIT II trial data apply to this subgroup  
3of patients with Class IV CHF. Is that the idea?

4 Dr. Curtis: I guess the biggest problem  
5I'm having with the question altogether, maybe  
6phrasing it this way will help, in patients with  
7atrial fibrillation and risk factors, anticoagulation  
8is indicated, okay, and we use it. Now if I had  
9patient with a recent GI bleed, I wouldn't  
10anticoagulate them. Because there are some Medicare  
11patients who have had a history of GI bleeding, do I  
12not then generalize it and say it's indicated in all  
13Medicare patients? No. You use good clinical  
14judgment and you say I have a reason why I can't use  
15it in this patient.

16 Yet the way the question seems to be  
17phrased, it seems to be that we're being asked to say  
18okay, we're going to just broaden it and use it in  
19everybody, and that's not how we practice medicine,  
20so I feel very uncomfortable with the way this is  
21going.

22 Dr. Sox: Well, suggest some wording that  
23will express your feelings. Help us.

24 Dr. Wilkoff: So you don't want to  
25generalize it, you want to use it specifically to  
0232

1identify what the criteria or the evidence that we  
2have. Is that right, Anne?

3 Dr. Curtis: Yeah.

4 Dr. Wilkoff: Okay. And you're suggesting  
5that if there is going to be a generalizing at all,  
6the generalizing of that additional criteria would be  
7to add patients that have functional Class IV heart  
8failure and wide QRS, to allow us to -- I mean, we  
9could discuss whether we should generalize it at all,  
10and if we are in agreement that if it's strictly  
11defined, that it's okay, so if we want to generalize  
12it just that one little bit, I don't know that we  
13have to argue, or to go any further than that. So  
14you just word it just like the first question, but  
15just add that one little subgroup, and we can talk  
16about it.

17 Dr. Curtis: I'm okay with the way that  
18says it now.

19 Dr. Weil: I think there was a little bit  
20of confusion because Dr. Sox was restating the  
21question a little bit differently. He was, and I  
22think this is important to reemphasize, are we  
23deciding or are we voting saying we will only

24consider the MADIT II evidence to determine this, or  
25will we consider any evidence brought before the  
0233

1panel. And I think including clinical experience  
2evidence, et cetera, which may be suitably weighed,  
3and I just want to be clear that that's what we're  
4trying to do here. I don't believe we're actually  
5saying the only thing that may be considered are the  
6specific results of the MADIT II study in applying  
7that second question.

8 Dr. Curtis: Because several times I think  
9you have read the question and then said some sort of  
10a qualifier, like does it also apply in patients with  
11serious life threatening illnesses, and I think  
12that's where it's coming from, because you're adding  
13that into that question and that's not what it says.  
14So you know, if that's what you want to say and vote  
15on, that's different from the way the question is up  
16there right now.

17 Dr. Sox: Well, I think the intent is the  
18give the committee a chance to express their opinion  
19about whether there is any evidence that the MADIT II  
20trial data apply to patients other than those in the  
21trial. That's what --

22 Dr. Weil: Again, I'd just like a  
23clarification. Is this subcommittee solely allowed  
24to consider only MADIT II data and not the broad  
25experience and other sources of evidence that  
0234

1experienced electrophysiologists are aware of. I  
2think that's what --

3 Dr. Sox: Well, in a way that's -- I mean,  
4we have a couple of experienced electrophysiologists  
5that throw up their hands at the idea of installing  
6ICDs in patients other than those who met the  
7MADIT II criteria, with this single exception we  
8talked about.

9 Dr. Tunis: I believe the gentleman from  
10Guidant has some information.

11 Dr. Smith: I appreciate the clinical  
12dilemma that you might find yourself in, but I think  
13we are all best served by sticking to the data that  
14we've talked about all day, and realizing that  
15indications may expand or contract in time as we  
16learn more, but for today there's one question, and I  
17think it looks just like the first question, only it  
18has that phrase that starts, is the evidence adequate  
19to supply, and then it goes on to say the inclusion  
20and exclusion criteria from MADIT II.

21 Really, I think that is all we're coming  
22and asking is the data that we're presenting. And I  
23understand the dilemma, and I think we solve that  
24dilemma going forward. But for today, I think the  
25question in front of us is the data that's been  
0235

1presented.

2 Dr. Curtis: And I don't want to hurt the  
3whole discussion by insisting on the wide QRS thing.  
4I mean, I really don't have a problem leaving that  
5out if it simplifies the discussion for everybody.

6 Dr. Sox: Let's take that out, let's vote  
7on that second question, and then we can raise the  
8question about the Class IV patients.

9 Dr. Tunis: I want to make sure -- we  
10finally have a copy of the tracking sheet with the  
11coverage request from Guidant, which was to expand  
12coverage to include patients with prior MI and an

13ejection fraction of less than 30 percent without  
14requiring evidence of arrhythmia. So that was the  
15question I was trying to get you to answer, which is,  
16is the evidence adequate to support those two  
17indications. You may want to abstain on the  
18question, but I'm going to ask the committee to vote  
19on that question, okay, because that's the coverage  
20request.

21 So the question is, is the evidence  
22adequate to apply the findings of MADIT trial to all  
23Medicare patients with a prior myocardial infarction,  
24ejection fraction less than 30 percent, without  
25requiring evidence of arrhythmia? That's the  
0236

1coverage request, and that will be the question.

2 Dr. Sox: Okay.

3 Dr. Weil: Can we ask that as a third  
4question? Can we answer the question that was just  
5revised, and then add Sean's question?

6 Dr. Sox: Well, we have -- let's get this  
7one on the table, let's vote on it and then we can  
8consider other questions, so we make our way to the  
9end of this day.

10 Dr. Stanton: Dr. Sox, as a second  
11requestor on this, can I make a comment?

12 Dr. Sox: Sure.

13 Dr. Stanton: I'm just concerned about the  
14rephrasing of questions at this point in time and the  
15strict reading of the initial request by Guidant,  
16because I think that what is being done is trying to  
17make Guidant's request look like it was broader than  
18it really was. Because I would agree with Joe Smith  
19that when we seconded as a second requestor on this,  
20it is for the MADIT II indication, it was not to try  
21to expand to a broader usage.

22 Dr. Sox: Thank you. So that's the  
23generalizability question.

24 Dr. Smith: Sean, do you want the  
25generalizability question? Because I don't think  
0237

1we're asking for it. If that's your question, that's  
2fine. We're only asking for coverage based on the  
3exclusion and inclusion in the trial.

4 Dr. Tunis: I think given the history on  
5this and the amount of discussion, I think we'll  
6leave this question in.

7 Dr. Sox: So the second bullet is what  
8we're going to vote on.

9 Dr. Buxton: Can I say something? I don't  
10understand why you want to -- it seems the way you're  
11writing this now, you're not requiring that the  
12ejection fraction be measured at 30 percent or less  
13at least a month after infarction, at least three  
14months after revascularization. Ejection fraction  
15improves in the first several days after infarction.  
16You want to make sure that you have a stable patient,  
17just like the patients that were studied in this  
18trial. The same thing happens after bypass. So you  
19want to apply the data that you have to your  
20recommendation.

21 Dr. Sox: I hear you. Now, I'm curious to  
22know how people think they're going to vote on this,  
23because what we're trying to express as a group is  
24the idea that we don't want to extend beyond the  
25terms of the requestor. And so I think that, if I  
0238

1were voting, I would want to vote no on that, because

2I don't want to generalize it to everybody, I want to  
3keep it within the framework of what the requestor  
4asked and for what I personally believe the evidence  
5covers. I want to just see if everybody understands  
6the question that way, because then we're on the  
7right page, but if we're still having trouble, then  
8we're going to keep working until we get it.

9 Dr. Weil: I agree with you. I mean,  
10everything we have done today has really focused  
11really on the MADIT II data. If we had been prepared  
12to discuss, and discussed the second question, which  
13does require going beyond MADIT II and for the  
14consideration as someone already mentioned, that  
15would take a great deal of time, so rather than -- I  
16question whether we need to vote prematurely rather  
17than vote on the question below it that we had been  
18discussing, with or without the Class IV QRS. I  
19mean, to vote prematurely on a question that we're  
20not prepared for, I don't think does anyone any good.

21 Dr. Sox: We're being asked whether the  
22evidence is adequate to apply the findings of the  
23MADIT II trial beyond the MADIT II trial study  
24population, and if you believe that we don't have  
25adequate evidence, you should vote no.

0239

1 Dr. Curtis: That was the question I asked  
2before and never got an answer to. Let's say that  
3you vote no there. Are we done, go home, that's it,  
4and you don't cover it? That's my question because  
5that's what it sounds like, because the next question  
6there says if yes. If no, it sounds like that's a  
7discussion closer, if that's a word.

8 Dr. Tunis: You can go on to question 3 no  
9matter how you vote on question 2.

10 Dr. Sox: Okay. I'm speaking now just to  
11the people that are going to have to vote. Do you  
12feel like you understand the question? You don't,  
13Carole?

14 Dr. Flamm: Was our original intent to, we  
15voted on the first piece, and then we were going to  
16vote on the complement of that, sort of the extension  
17of the excluded patients. Is that what we're trying  
18to do here, or are we really trying to vote on the  
19first thing with an extension of without requirement  
20of an arrhythmia? You know, there is just too many  
21kind of rewordings happening here, and I think it's  
22not clear what this second bullet is asking me,  
23because I think there are two parts. It's both  
24extending to the complement of excluded patients and  
25sort of rewording question one in a sort of way.

0240

1 Dr. Sox: We've voted on question one and  
2we've agreed that from our point of view, the  
3evidence is such that Medicare ought to cover the  
4MADIT II patients. The intent of question two is to  
5ask how good is the evidence that you can extend it  
6to patients beyond MADIT II patients.

7 Dr. Flamm: I understand that intent.

8 Dr. Redberg: If you read the draft  
9questions, it's IIa, it hasn't been changed. It  
10doesn't say without inducible arrhythmia, but that  
11doesn't make a difference.

12 Dr. Sox: Again, speaking to the people  
13that have to vote, do you think you understand the  
14intent of a yes and a no vote on this question?

15 Okay. It sounds like I think we  
16understand it well enough so we can take a vote. We

17know what the consequences of a yes and a no vote is.  
18A yes vote meant that you can apply it to all  
19Medicare patients. A no vote is it applies only to  
20the patients who were MADIT II eligible.

21 Dr. Weil: Will there still be a vote on  
22the third bullet?

23 Dr. Sox: The purpose of the third one is  
24to -- I think the third one will go away and if Dr.  
25Curtis or somebody else wants to add a substitute  
0241

1motion that deals with Class IV patients, then we can  
2do that.

3 Dr. Weil: I mean, the third bullet was  
4without regard to the Class IV patients with wide  
5QRS. It was a slight extension of the first question  
6as well. That was our first generalizable question.

7 Dr. Sox: If somebody on the panel wants  
8to make a motion about that, we will talk about that.  
9Okay.

10 Dr. Smith: I'm sorry, I don't want to  
11interrupt, but I read the questions differently  
12perhaps. The first one says is the evidence adequate  
13to draw a conclusion; it doesn't give a direction  
14about that conclusion, it only says is the evidence  
15sufficient to draw a conclusion. It's the third  
16bullet that says is the evidence adequate to apply  
17the findings. And I really think, if I'm judging the  
18sentiment, that is the statement that must be made,  
19not just is the evidence adequate to draw a  
20conclusion, it's actually is the evidence adequate to  
21apply the findings.

22 So, I'm thinking that the operative thing  
23to trap everyone's impression is the third bullet,  
24right? I think that's what people are voting on,  
25even though it doesn't reflect itself in the text,  
0242

1and I just want the text to be reflective of how you  
2feel.

3 Dr. Sox: Well, I think the second and the  
4third bullets are essentially the same, except in --  
5 The Panel: No, not at all.

6 Dr. Curtis: I think the first question,  
7is there evidence to draw conclusions, we said yes.  
8Now the next question ought to be, is it adequate to  
9apply the findings to the Medicare patients. You  
10made the second question be, can we generalize it to  
11everybody, and of course you're going to have a no  
12vote, there is no other answer to that one. But if  
13you want to vote on that, that's fine, but I want to  
14make sure there's a third question that we vote on  
15that says, if you apply the MADIT II criteria to  
16Medicare patients, is the evidence adequate to show  
17that you're going to have a good outcome or a  
18positive benefit, and that's the question that should  
19be voted on. That's the important one.

20 Dr. Tunis: So let's vote on question two  
21and then go on to that.

22 Dr. Curtis: I think we ought to eliminate  
23question two.

24 Dr. Sox: Tom?

25 Dr. Holohan: I just wanted to ask Sean,  
0243

1is question two in there causing all this problem  
2simply because of the precise wording in the letter  
3from Guidant to CMS? Is it there in other words for  
4some legalistic reason because that's what they said  
5in their request, which they say now isn't exactly

6what they really meant?

7 Dr. Tunis: That's a major component of  
8it, but that is the framework under which we have  
9been evaluating this coverage request from the  
10beginning, so we need an answer to that question.

11 Dr. Holohan: I'm not arguing about it.  
12I'm just trying to make sure that's the reason that  
13question number two is in there.

14 Dr. Sox: Okay. Now, do you feel  
15confident enough about the state of the evidence to  
16generalize the findings that you can vote, or do you  
17feel like we need to discuss that more? We need a  
18motion. Would somebody wish to move for a vote?

19 Dr. Redberg: So moved.

20 Dr. Sox: Second?

21 Dr. Krist: Second.

22 Ms. Anderson: So on bullet number two as  
23listed on the screen, we are making a yes or no vote.  
24Those members who wish to vote yes, by a show of  
25hands?

0244

1 (No response.)

2 Ms. Anderson: Those members who wish to  
3vote no?

4 (Show of hands.)

5 Ms. Anderson: There are no abstentions  
6and it is a unanimous no. Thank you.

7 Dr. Sox: Okay. Are there any other  
8motions that members of the panel, voting panel would  
9like to bring in respect to voting question number  
10two? This would be the opportunity if you want to,  
11to make a motion that would extend, it would say that  
12the evidence is adequate to apply the MADIT II trial  
13findings to some subgroup that you feel it does apply  
14to.

15 Dr. Weil: We still haven't raised the  
16question of whether it applies to the whole group,  
17and that's the first part of the third question. Is  
18the evidence adequate to apply the findings of  
19MADIT II to patients who meet the MADIT II criteria,  
20and then we would, I thought, go on to any additional  
21groups.

22 Dr. Curtis: Right. We should be  
23discussing bullet three now.

24 Dr. Lee: I don't think the panel really  
25feels, regardless of the legalities and the specific  
0245

1questions, I think most people on the panel want to  
2make a comment about bullet number three.

3 Dr. Sox: So let's page down to bullet  
4three and let's take a vote on it.

5 Dr. Curtis: I think what we should do is  
6take out the parentheses there, the stuff that's in  
7there. I mean, it's going to confuse this  
8discussion.

9 Dr. Sox: Okay.

10 Dr. Curtis: I think we should just leave  
11it with patients who meet the inclusion criteria, I  
12think that would be better.

13 Dr. Weil: Could you also say meet  
14inclusion and exclusion too?

15 Dr. Curtis: I don't have a problem with  
16that.

17 Dr. Holohan: Dr. Buxton, I thought  
18explained that better than I did, why the exclusion  
19criteria should be there.

20 Dr. Buxton: I would just go with exactly

21as the trial data showed and just say, including the  
22patients who meet the inclusion and exclusion  
23criteria.

24 Dr. Sox: Yes, Dr. Moss.

25 Dr. Moss: It seems to me that the first  
0246

1question was the evidence and the second question  
2relates to the application, and the application is on  
3the basis of the evidence, which is on the basis of  
4the inclusion and exclusion criteria.

5 Dr. Sox: So what's your take on what we  
6ought to do based on that?

7 Dr. Moss: Well, it's just that the second  
8question is, or the third question is the  
9application, does it apply, does the MADIT II study,  
10which includes the inclusion and exclusion criteria,  
11apply to the Medicare population?

12 Dr. Redberg: Can I clarify? The  
13exclusion criteria that was printed in the New  
14England Journal trial were eight, including signed  
15consent, and what was sent to us by Guidant included  
1617 exclusion criteria. Which are we talking about?

17 Dr. Moss: Let me make just a comment.  
18Anytime you send anything to the New England Journal  
19of Medicine, it gets modified. I think we ought to  
20go by the exclusion criteria that were used in the  
21study. They are very clearly spelled out. The New  
22England Journal modifies and editorializes in a very  
23inappropriate way.

24 Dr. Redberg: So what was listed --

25 Dr. Moss: We have the exclusion listed  
0247

1right down here. Do you want me to read them?

2 Dr. Sox: Dr. Curtis, do you wish to  
3include the things that's in the parentheses or do  
4you think we should delete that?

5 Dr. Curtis: No. I said please take it  
6out, and it should say, and meet the inclusion and  
7exclusion criteria, that those two modifications  
8should be made to that third bullet, that the  
9inclusion and exclusion criteria.

10 Dr. Sox: I'm having trouble with this  
11because I don't understand how it differs from the  
12first one that we've already voted on.

13 Dr. Curtis: Do you have enough evidence,  
14and then you say yes or no. The evidence doesn't say  
15if it's positive or negative or whatever, it just  
16says you have sufficient evidence. This bullet now,  
17is it sufficient to apply it to the Medicare  
18patients.

19 Dr. Sox: Who meet the inclusion and  
20exclusion criteria, so it's really consistent with  
21our vote on the second one, a yes vote on this would  
22be consistent with our vote on the second one.

23 Dr. Weil: They're both application  
24questions, obviously, it's just that the subject  
25matter is a little bit different.

0248

1 Dr. Sox: Okay, I think I got that one  
2through my head. Does everybody understand what  
3we're voting on here? You think you understand the  
4implications of a yes and a no vote? So, I guess  
5it's time for a motion.

6 Dr. Curtis: I will move the question.

7 Dr. Matuszewski: Second.

8 Ms. Anderson: This is a yes or no vote.

9We're voting on bullet number three as shown on the



10slide, and I will ask for the vote. Those voting  
11members who vote yes for the question?

12 (Show of hands.)

13 Ms. Anderson: Those voting no on the  
14question?

15 (No response.)

16 Ms. Anderson: Okay. No one has  
17abstained. It is a unanimous yes. Thank you.

18 Dr. Sox: So, the fourth bullet, I just  
19conferred with Dr. Tunis. The fourth bullet, Dr.  
20Tunis doesn't feel we need to vote on, so we can  
21delete that one.

22 Dr. Weil: Unless the panel simply  
23believes, if it believes that, if it believes that  
24the evidence suggests that the Medicare population  
25would benefit to the same, to approximately the same  
0249

1extent as the MADIT II trial results, if the panel  
2wants to consider that.

3 Dr. Sox: Well, somebody can make a motion  
4about us expressing an opinion about the size of the  
5health effect, but it doesn't sound like it's going  
6to be helpful in setting coverage policy. So if you  
7want to do it, you can. Tom?

8 Dr. Holohan: I think I may be about to  
9cause more trouble. I don't know that I agreed with  
10Dr. Moss when he said accept all of the exclusion  
11criteria in the protocol, not the ones in the New  
12England Journal of Medicine. I went back to the FDA  
13SSE, and I'm not sure that some of the cardiologists  
14here would agree with some of these exclusion  
15criteria. For example, current use of antiarrhythmic  
16agents, except when indicated for atrial arrhythmias.  
17That would mean a Medicare patient couldn't receive  
18an ICD if they were on Procainamide.

19 Dr. Buxton: Let me clarify it. Those  
20types of provisions are there because when you're  
21designing a clinical trial, you know --

22 Dr. Holohan: I understand, and they may  
23be appropriate for designing the clinical trial, but  
24I'm not sure they're appropriate if you're trying to  
25use this as selection criteria for use in Medicare  
0250

1patients. There are others. Where the primary care  
2physician refuses. So you have the circumstance  
3where the cardiologist says you need an ICD and the  
4primary care physician refuses to allow it. I mean,  
5it makes sense for a study, but it doesn't make sense  
6for coverage.

7 Dr. Curtis: These are the ones in the New  
8England Journal article?

9 Dr. Holohan: No.

10 Dr. Moss: These are, I would think,  
11judgment questions, to be honest. They are issues  
12that relate to the development of a precise clinical  
13trial. For example, we excluded patients who were  
14involved in another clinical trial. Well, that  
15doesn't apply once you're over the trial. So, I  
16mean, it's --

17 Dr. Holohan: Okay, but what you're going  
18to face then is a series of Medicare medical carrier  
19directors looking at these exclusion criteria and  
20making decisions as to -- you know, whereas the ones  
21in the New England Journal seem to me limited and  
22very very reasonable. They related to the recency of  
23an acute MI, things that Dr. Buxton talked about.

24 Dr. Moss: I defer to your judgment on

25that.

0251

1 Dr. Sox: Well, technically we could state  
2the published inclusion and exclusion criteria.

3Would that do it?

4 Dr. Redberg: What we voted on was the 17,  
5that was what I asked before we voted, and I assumed  
6the inclusion criteria for the trial was all of  
7those.

8 Dr. Moss: Within clinical judgment, but I  
9defer to the panel.

10 Dr. Sox: There were something like seven  
11or eight criteria exclusion criteria in the New  
12England Journal. Does anybody want to pull their,  
13get that one out and we can go over it.

14 Dr. Holohan: Actually, I don't think  
15there were as many as seven or eight.

16 Dr. Redberg: There were eight.

17 Dr. Holohan: Patients were excluded if  
18they had an indication approved by the FDA for an  
19implantable defibrillator were the New York Heart  
20Association functional Class IV, that was the subject  
21of discussion; coronary revascularization within the  
22preceding three months; an MI within the past month;  
23advanced cerebral vascular disease; and then of  
24course, any condition other than cardiac disease  
25associated with a high likelihood of death.

0252

1 Dr. Sox: That's what I thought we were  
2voting on.

3 Dr. Holohan: Well, we kind of got stuck  
4with the 17 versus these.

5 Dr. Sox: Is the panel comfortable with  
6the list that Tom just read and willing to have a  
7statement published that the criteria be inserted to  
8make our point clear on that?

9 Dr. Tunis: I think the conversation  
10already on the record here is adequate, so I don't  
11think we need to go into this anymore. We don't need  
12to craft the letters of the policy here.

13 Dr. Sox: Now what about question one,  
14which deals with a coverage issue that you already  
15cover but nonetheless was put before us, how do you  
16want us to deal with that?

17 Dr. Tunis: I don't think we need to do  
18votes on question number one.

19 Dr. Sox: So from your point of view,  
20Sean, do we have other business that will help us in  
21our capacity as your advisors?

22 Dr. Tunis: No.

23 Dr. Sox: In that case, what do we do to  
24adjourn?

25 Ms. Anderson: I take over. I have to  
0253

1make a very brief announcement. Please don't leave  
2until I'm finished, thank you.

3 For continuing information, visit our web  
4site at [www.cms.hhs.gov/mcac](http://www.cms.hhs.gov/mcac), or you can go to the  
5CMS web site and click on coverage.

6 To conclude today's session, would someone  
7move that this meeting be adjourned.

8 Dr. Holohan: So moved.

9 Dr. Matuszewski: Second.

10 Ms. Anderson. Thanks to all.

11 (Whereupon, the meeting ended at 3:40

12p.m.)

13

14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25