

Transcript of January 10, 2002 Meeting

Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.

00001

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

HEALTH CARE FINANCING ADMINISTRATION
Medicare Coverage Advisory Committee
Meeting of the Diagnostic Imaging Panel

January 10, 2002

Baltimore Convention Center
One West Pratt Street
Baltimore, Maryland

00002

- 1 Panelists
- 2
- 3 Chairperson
- 4 Frank J. Papatheofanis, MD, PhD, MPH
- 5 Vice-Chairperson
- 6 Barbara J. McNeil, MD, PhD
- 7
- 8 Voting Members
- 9 Carole R. Flamm, MD, MPH

10 Jeffrey C. Lerner, PhD
11 Steven Guyton, MD
12 Kim J. Burcheil, MD
13
14 Consumer Representative
15 Sally Hart, JD
16
17 Guests
18 Marilyn Albert, PhD
19 Keith Johnson, MD
20 Peter Neumann, ScD
21
22 CMS Liaison
23 Sean R. Tunis, MD, MSc
24 Executive Secretary
25 Janet Anderson

00003

1	TABLE OF CONTENTS	
2		
3		Page
4	Opening Remarks	
5	Janet Anderson	5
6	Sean R. Tunis, MD, MSc	6
7		
8	Charge to the Panel	
9	Frank J. Papatheofanis, MD, PhD, MPH	9
10		
11	CMS Presentation of questions concerning the request	
12	for coverage of FDG-PET for diagnosis and management	
13	of Alzheimer's Disease	
14	Samantha Richardson	12
15		
16	Summary of AHRQ Presentation to June 19, 2001 MCAC	
17	Executive Committee meeting	
18	Deborah Zarin, MD	16
19		
20	Presentation of technology assessment	
21	David Matchar, MD	29
22		
23		
24		
25		

15 In evaluating the evidence presented to
16 you today, CMS encourages the committee to consider
17 all relevant forms of information including but not
18 limited to professional society statements, clinical
19 guidelines and other testimony you may hear during
20 the course of this committee meeting.
21 The following announcement addresses
22 conflict of interest issues associated with this
23 meeting and is made part of the record to preclude
24 even the appearance of impropriety. The conflict of
25 statutes prohibit special government employees from

00006

1 participating in matters that could affect their or
2 their employers' financial interests. To determine
3 if any conflict existed the Agency reviewed all
4 financial interests reported by the panel
5 participants. The Agency has determined that all
6 members may participate in the matters before the
7 panel today.
8 With respect to other participants, we ask
9 in the interest of fairness that all persons making
10 statements or presentations disclose any current or
11 previous financial involvement with any firm whose
12 products or services they may wish to comment on.
13 This includes direct financial investments,
14 consulting fees and significant institutional
15 support.
16 I would now like to turn the meeting over
17 to Dr. Sean Tunis, who will give his opening remarks.
18 Then Chairman Dr. Frank Papatheofanis will ask the
19 committee members to introduce themselves and to
20 disclose for the record any involvement with the
21 topics to be presented. Dr. Tunis.
22 DR. TUNIS: Thanks, Janet. First, I just
23 wanted to thank all the panel members for being here
24 today and for I'm sure the hours and hours they have
25 spent reading over the material that has been

00007

1 provided to them. I wanted to assure everyone that
2 we're aware that this is one of the more analytically
3 complex issues that has been faced by an MCAC panel

4 so we look forward to the discussion and teasing all
5 these issues apart in this setting. Again, thanks
6 for your hard work in preparing for this.
7 The other comments I wanted to make relate
8 to the issue of some of the folks on this MCAC
9 committee as well as others have been increasingly
10 frequently contacted by various advocates and
11 stakeholders related to specific issues coming before
12 the MCAC committee, so we wanted to clarify in public
13 what the views of CMS are related to advocates
14 related to MCAC members on topics that are coming
15 before the panel.
16 And basically I just have a few points to
17 make on this, which is the whole point of MCAC is
18 that it's a FACA compliant committee, meaning that
19 the business of information exchange related to
20 topics coming before MCAC should be made ideally in
21 public and as close to 100 percent of information
22 exchange that can be done in the settings of public
23 meetings is what's ideal, so we are discouraging
24 substantive conversations between MCAC members and
25 advocates or stakeholders related to the issues.

00008

1 Kind of in the same vein, you all as MCAC
2 members are special government employees of CMS only
3 for the time that you actually spend here and
4 therefore, if you're just having discussions in
5 advance of this with advocates, you're doing that on
6 your own time but not as representatives of this
7 committee and you are obviously free to spend your
8 own professional time however you want, so there is
9 no way for us to explicitly preclude you from having
10 those conversations with the advocates or lobbyists.
11 However, again, just to remind you that you're only
12 special government employees for the time that you're
13 here, and I'm sure you're aware of that because you
14 only get one small pay check.
15 And the last point is that we won't be
16 doing it today, but from here forward at all MCAC
17 meetings we will be asking at the beginning of the
18 meeting for you all to disclose in addition to any
19 conflicts of interest to simply disclose whether you

20 have been contacted and have had any discussions with
21 any stakeholders relating to the technology under
22 question, simply to identify who the individual is
23 and who they represent. And that's really a way of
24 just making sure that if you have had contact with
25 folks, that is made publicly known so that can be

00009

1 factored into any subsequent comments that you may
2 have. So we will be doing that hence forward but
3 since we haven't formally announced the policy of
4 that type before this, we won't be doing that today.
5 Those are my introductory comments. I
6 don't know if anyone has any questions about those,
7 our approach to lobbying, but you can either raise
8 them now or anytime later in the day. With that I
9 will turn it over to Frank.

10 DR. PAPTATHEOFANIS: Thank you. I would
11 also like to add my welcome to the panel members and
12 basically highlight especially the contributions of
13 folks who haven't participated before as panel
14 members but who have made a very meaningful
15 contribution to our efforts. That includes
16 Dr. Albert, Dr. Neumann and Dr. Johnson, and before
17 any of us forgets, I would like to acknowledge their
18 meaningful contribution hopefully to today's
19 discussions.

20 All of you have an agenda before you and I
21 would like to emphasize that we will adhere to this
22 agenda just as closely as we can. In fact, I've got
23 about a minute before we start the 8:45 presentation
24 by Samantha Richardson.

25 In keeping with Sean's request on

00010

1 disclosure, let me start by introducing myself and my
2 potential conflicts, if you will, and then we will go
3 around and introduce everyone and if they can let us
4 know if there is anything along those lines. I am a
5 practicing nuclear medicine physician on the faculty
6 of the University of California at San Diego, and I
7 would be someone who uses PET imaging in my clinical
8 practice. My position at the university is such that

9 the university does do business with all of the
10 vendors that are involved in the manufacturing of the
11 PET imaging technologies that are being considered.
12 Why don't we go down the line and start with
13 Dr. Flamm.

14 DR. FLAMM: My name is Carole Flamm and I
15 do not have any specific financial conflicts of
16 interest related with PET. I am a diagnostic
17 radiologist and I do technology assessment.

18 DR. GUYTON: I'm Steve Guyton. I'm a
19 cardiac surgeon in Seattle and don't have any
20 conflicts.

21 DR. BURCHEIL: I'm Kim Burcheil. I'm
22 professor and chairman of the department of
23 neurological surgery at Oregon Health and Science
24 University in Portland. I don't have any conflicts
25 and I have not been contacted by anybody.

00011

1 DR. ALBERT: I'm Marilyn Albert and I am
2 director of the gerontology research unit at Mass
3 General Hospital and professor at Harvard Medical
4 School, and do a lot of research in the area of
5 diagnosis of Alzheimer's Disease but don't have any
6 particular conflicts with regard to the use of PET.

7 DR. NEUMANN: Peter Neumann. I am on the
8 faculty of the Harvard School of Public Health and I
9 also do research in this area with a background in
10 decision analysis and cost effectiveness analysis. I
11 have no conflicts and I was not contacted by anyone.

12 DR. McNEIL: I'm Barbara McNeil. I'm
13 chairman of the department of health care policy at
14 Harvard Medical School. I do clinical work in the
15 nuclear medicine division at the Brigham and Women's
16 Hospital one day a week and in that context read PET
17 studies, but have no other involvement.

18 DR. LERNER: I am Jeff Lerner. I am
19 president of ECRI and I direct our evidence based
20 practice center designated by AHRQ. I have no
21 conflict of interest and have not been contacted
22 prior to the meeting.

23 DR. JOHNSON: I am Keith Johnson. I am a
24 neurologist at Brigham and Women's Hospital and

25 Harvard Medical School, and I have no conflicts.

00012

1 MS. HART: I am Sally Hart. I am an
2 attorney with the Center for Medicare Advocacy. I am
3 the consumer representative on the panel and I have
4 no conflict of interest.

5 DR. PAPTHEROFANIS: Thank you. Why don't
6 we get started then, and I will ask us to kick off
7 the day with a presentation by Samantha Richardson.
8 Welcome.

9 MS. RICHARDSON: Thank you. Good morning,
10 members of the panel, invited guests, members of the
11 public and press. My name is Samantha Richardson. I
12 am the project lead for this topic at CMS. Today we
13 are asking the panel to review and render a
14 recommendation regarding the usage of PET in the
15 evaluation of patients with suspected AD. As an
16 introduction to the subject I will begin by recapping
17 the history of this coverage request. I will also
18 review the general discussion questions and voting
19 question that we posed to the panel. At the
20 conclusion of my presentation I will introduce
21 Dr. Deborah Zarin, of the Agency for Healthcare
22 Research and Quality.
23 Before you is the time line of the
24 chronology of this coverage request. Back in July of
25 2000 we received a formal request from UCLA for broad

00013

1 coverage for positron emission tomography using FDG.
2 In November we discussed it, CMS discussed the issue
3 with the Executive Committee and they recommended
4 further analysis. In December 2000 after a decision
5 was made on the initial request there were certain
6 indications that required further analysis so we then
7 referred it to an MCAC panel. In May of 2001 CMS
8 requested a technology assessment by AHRQ. We then
9 had a meeting in July to get more information from
10 the Executive Committee as to how to frame our
11 analytical questions for the technology assessment.
12 In August of 2001 AHRQ selected the Center
13 for Clinical Health Policy Research at Duke

14 University as the evidence based practice center for
15 the technology assessment. In October 2001 we
16 received a formal amendment to the initial coverage
17 request by UCLA and in December we received the
18 technology assessment from AHRQ, which brings us to
19 today.
20 CMS has given all the panel members the
21 same information, which includes the agenda, the
22 amended request from UCLA, the technology assessment,
23 discussion and voting questions, and background
24 articles. The background material consists of the
25 American Academy of Neurology guidelines, articles

00014

1 submitted by AHRQ on decision modeling, as well as
2 all of the articles submitted by UCLA.
3 I will briefly discuss or review the panel
4 questions that we have posed.
5 Question number 1. Is using the AHRQ
6 decision model, including its assumptions and
7 calculations, a reasonable way to determine the
8 clinical utility of PET as an imagining tool in the
9 diagnosis and management of Alzheimer's Disease? If
10 so, are there specific groups of patients who might
11 benefit from receiving a PET scan following a
12 standard clinical evaluation for suspected AD?
13 What other issues, which have not been
14 addressed in this model, might influence the decision
15 to use PET in the evaluation of patients with
16 suspected AD?
17 Could PET serve as a replacement for,
18 rather than simply an adjunct to, certain components
19 of the conventional clinical evaluation for suspected
20 AD?
21 And finally, the voting question: Is the
22 evidence adequate to demonstrate that PET has
23 clinical benefit in evaluating patients with
24 suspected AD?
25 I thank you in advance for your review and

00015

1 discussion of the topic and at this time I would like
2 to invite Dr. Deborah Zarin from AHRQ to the podium.

3 She will present in greater detail on Alzheimer's
4 Disease as well as give an overview of the technology
5 assessment.

6 DR. PAPANATHANOFANIS: Thank you, Miss
7 Richardson. She did a great job of giving us an
8 overview of what this panel will be considering
9 today, and I want to call your attention to the
10 one-page document you have all received that's
11 addressed CMS Questions for January 10, general
12 discussion questions. Basically what you have just
13 heard is a very short overview of what we're going to
14 be doing, but the bottom line is the charge to the
15 panel is really the voting question you see at the
16 bottom of that page, and basically we are charged
17 with, this panel is charged with evaluating the
18 evidence and at the end of the day taking a vote that
19 will serve to guide CMS in their consideration of
20 coverage for this technology.

21 So, if there aren't any questions at this
22 point we will just continue with Dr. Zarin and her
23 summary of the technology assessment.

24 DR. ZARIN: Keep talking.

25 DR. PAPANATHANOFANIS: Keep talking? Sure.

00016

1 I feel that we should, with all of the Harvard and
2 Brigham people here, we should have met in Cambridge
3 instead of Baltimore today, but I'll keep that to
4 myself, Barbara. I have no other humor.

5 (Laughter.)

6 DR. PAPANATHANOFANIS: There is one thing on
7 a serious note. We will try to expedite this agenda
8 today and if we can, we want to get people out to the
9 airport as soon as we can this afternoon. I know
10 that there's folks who have flights starting as early
11 as 3:00 p.m., and I think especially for folks who
12 are traveling great distances, it will behoove us all
13 to stick to the schedule and really be very
14 aggressive although focused in what we discuss.

15 So, Dr. Zarin.

16 DR. ZARIN: Thank you. What I'm going to
17 do is really ease you into the mindset necessary to
18 hear Dr. Matchar's presentation of the technology

19 assessment, so we will go briefly over some key
20 features of the disorder, the treatments and the
21 model.
22 The key features of AD, I guess if any of
23 you don't know this by now, then we should go home,
24 but it's a progressive neurodegenerative disease, the
25 incidence increases with age, and it's one of several

00017

1 causes of dementia, accounting for approximately
2 two-thirds of cases, obviously depending on how you
3 choose your sample.
4 You're going to see I'm sure other slides
5 today with more details about the standard diagnostic
6 workup for AD, these are drawn from the American
7 Academy of Neurology guidelines, but basically at the
8 current time you're getting history and physical
9 exam, neuropsych evaluation, screening laboratory
10 tests, structural neuroimaging, and that's important
11 to note because we have not evaluated PET as a
12 replacement for the structural neuroimaging that's
13 part of the initial workup and it hasn't been
14 suggested as far as I know that it would be a useful
15 tool at that point in the workup.
16 And then the last bullet is observation of
17 course either with or without treatment or with or
18 without diagnosis, but clearly ongoing observation
19 and care of these patients is necessary whether or
20 not you have definitively diagnosed them as having AD
21 at the beginning. And that's an important point
22 because occasionally you will hear arguments that if
23 only you could diagnose them then you wouldn't have
24 to keep following up, but clearly these people need
25 to be cared for whether or not they have a definitive

00018

1 diagnosis.
2 So how would you look at the potential
3 role of PET? And this is one of the slides that I
4 used in talking to the Executive Committee in the
5 spring. The concept is that if you could have an
6 earlier diagnosis of AD or another cause of dementia,
7 you could institute treatment earlier, and that would

8 lead to better health outcomes. It's a fairly simple
9 concept but that's kind of where we're coming from
10 here.

11 So we're thinking about PET as a
12 diagnostic test, and the MCAC Executive Committee has
13 guidelines for evaluating diagnostic tests. And the
14 first thing that they want to look at is what's the
15 evidence regarding the accuracy of the test. And
16 implied in that is compared with other standard
17 methods of diagnosis, that's the only way to really
18 look at accuracy, compared to what.
19 The second set of information that they
20 are asking us to look at is evidence regarding the
21 impact of improved accuracy on health outcomes. So
22 the concept here is that just having improved
23 accuracy isn't necessarily good enough, you want to
24 know, does the improved accuracy actually help the
25 patient and how.

00019

1 So these were some cartoon-like decision
2 trees that I showed in the spring. Here's the first
3 concept, that patients could be diagnosed using PET
4 scan and the treatment would then be dependent on the
5 results of the diagnosis. You don't have to look at
6 the details here. Then you could make a decision
7 whether to treat or not to treat depending on either
8 the results of the PET scan or the results of the
9 clinical workup if they didn't have a PET scan. And
10 then patients could have an outcome, and the types of
11 outcomes you could see is either no change in
12 cognitive status, a slower progression, or the
13 typical progression that you would expect if there
14 were no treatment.

15 So let's think about this for a second.
16 This is a threshold approach, those of you who are
17 decision analysts will be familiar with this, but the
18 horizontal axis is a probability scale from zero to
19 one and it's the probability of having Alzheimer's
20 Disease. So over on the right you have a probability
21 of one of having Alzheimer's Disease, which means we
22 are absolutely certain that you have Alzheimer's
23 Disease, and over on the left a probability of zero,

24 we're absolutely certain you don't. And you can
25 imagine that given that, and we will go through a

00020

1 little bit about the data, there's data showing that
2 there are treatments that are effective, that if you
3 were absolutely certain that someone had Alzheimer's
4 Disease you would treat them; if you were absolutely
5 certain that they didn't have Alzheimer's Disease,
6 way over on the left, you wouldn't treat them.
7 Somewhere there is what we call a
8 treatment threshold. We don't know what that
9 probability is exactly, but somewhere there is a
10 probability above which you're certain enough that
11 you would treat and below which you're uncertain
12 enough that you wouldn't treat. And where that
13 threshold is depends on a lot of things, and one is
14 the features of the treatment, in particular the
15 beneficial and adverse effect, as well as the
16 patient's utilities.
17 So that's one way of thinking about the
18 diagnostic test is, does it move you along that
19 horizontal axis enough to get you from the part that
20 says don't treat to the part that says treat. So you
21 don't have to be certain, you don't have to have a
22 probability of one to think that you should treat
23 someone and in medicine we hardly every have a
24 probability of one. And you don't have to have a
25 probability of zero to say don't treat, but somewhere

00021

1 in there you might think about a threshold. That's
2 just a conceptual model to think about while you're
3 hearing the details.
4 So in order to actually analyze this
5 problem you need to specify a few things, who are the
6 patients we're talking about, what are the
7 treatments, and what are the outcomes of interest.
8 So you can't go any further without specifying those
9 things. So for patients, this is a slide that I had
10 shown in the spring. You can imagine, you could
11 start at the top, the blue square, all patients over
12 65 years old. Are we talking about that, are we

13 talking about the subset that are concerned due to a
14 decrease in memory or other reason? In this case the
15 other reason might be a family history of Alzheimer's
16 Disease. Under there there's a subset of those who
17 mentioned those concerns to a physician or other
18 healthcare provider. There's a subset of those who
19 were referred for workup because of signs or symptoms
20 or family history. There's a subset of those who are
21 actually shown to have dementia on clinical workup
22 but you still don't know what the cause of the
23 dementia is. Some of those have AD highly suspected,
24 and some of those have AD.
25 So the question is, who are we talking

00022

1 about here, and it's important to think about. It
2 has big implications both for the analysis of the
3 data as well as obviously the quality of healthcare
4 that's given later on. So in doing this analysis and
5 looking into the literature and talking to experts,
6 we actually decided to analyze separately, we took
7 that big spectrum that I showed you and categorized
8 it into three discrete groups, and this was based on
9 groups that have been most talked about as
10 potentially benefitting from getting a PET scan, and
11 we thought it was important to distinguish among them
12 because the issues are slightly different.
13 So the first group are people with mild to
14 moderate dementia, so you know they have dementia and
15 it's in the mild to moderate range. AD is suspected,
16 but there is no way to confirm it, and the question
17 is, would this group benefit from a more certain
18 diagnosis? Would this group benefit from basically
19 moving them on the probability scale further to the
20 right from some test that could say you're here and
21 we're going to move you over, push you over to the
22 right because this test can tell you. So that's what
23 we call scenario A when you read the technology
24 assessment.
25 Scenarios B and C. Scenario B are

00023

1 patients with what's called mild cognitive

2 impairment, and that's described further in the
3 technology assessment, but these are patients who
4 have a lower score on standard dementia rating scales
5 than people with clear dementia. They are not
6 normal, they don't have the level of functional or
7 cognitive impairment that you see in people who are
8 clearly demented, and they are kind of in this state
9 of it's unclear whether they are going to progress or
10 not. And the question is, the reasonable question
11 is, we have these medications that are currently
12 being tested in drug trials in this group, one
13 hypothesis is that the drugs are more effective the
14 earlier you give them, so the question is, would it
15 help to know which of these patients should get the
16 medication. So that's scenario B.

17 Scenario C is patients with no symptoms at
18 all, they are completely fine, but they have a family
19 history of AD such that their probability based on
20 genetic risk and epidemiologic data is elevated
21 compared to another asymptomatic person. So they are
22 at elevated risk but are currently asymptomatic. And
23 again the question is, you know, you're somewhere on
24 that probability scale, would it help your decision
25 of whether to take medications to push you further to

00024

1 the right, to gain more certainty about your
2 probability of getting AD is essentially what we're
3 doing.
4 So for both B and C the question is would
5 these patients benefit from a more certain diagnosis.
6 Is it clear to the panel what we did with those three
7 scenarios? Okay.

8 The treatments that we looked at. One of
9 the problems or opportunities in doing this analysis
10 is that it's a quickly evolving field, both the
11 diagnosis tests are evolving and the treatments are
12 evolving. There are lots of drug trials going on,
13 lots of preliminary data, some confirmed data, and
14 one of the advantages of doing a model like this is
15 that you can use it to model what might be advances
16 in the field, so a year from now if more data came in
17 say about drug treatment and MCI, it could be plugged

18 into this model. But we wanted to develop a model
19 that could be used not just for the drugs that we
20 know work now but for say the next generation.
21 So we used the acetylcholinesterase
22 inhibitors which are the drugs that are currently
23 confirmed to work best now in the patients with mild
24 to moderate dementia. There are not yet confirmed
25 data about these drugs in the other groups, but you

00025

1 can read more about that and I think Dr. Matchar will
2 talk a little more about that. So we use them as the
3 prototypes.
4 It's important to note that the patients
5 in the trials in which these drugs were tested were
6 selected based on clinical diagnosis. This is an
7 important point because it comes to, how you do know
8 in whom these drugs are going to work? The only
9 thing we know is that the patients selected the way
10 they were selected in those clinical trials were
11 essentially using the AAN clinical diagnosis
12 guidelines and they were selected in that way. And
13 they were shown that the patients who were thought to
14 have AD based on that showed some benefit, and the
15 benefit was slowing of progression.
16 There is not a drug out there that we know
17 of that cures the disease and we're not talking about
18 preventive measures either at this point, so we're
19 talking about a group of drugs that have been shown
20 to slow the, on average, to slow the progression when
21 given to people with mild to moderate dementia. And
22 again, a note that practice guidelines for the
23 treatment of patients with Alzheimer's or dementia
24 recommend medication as part of the comprehensive
25 treatment approach. Again, it's not as if once you

00026

1 know they have Alzheimer's, you mail them the pills
2 and say call me in ten years. These patients need
3 ongoing care whether or not you're still reevaluating
4 the diagnosis, because again, that issue comes up.
5 The medication is part of the treatment package, has
6 been shown to slow progression.

7 Outcomes. Again, another point to remind
8 you, that the MCAC has made it clear that just the
9 change in patient management is not a sufficient
10 outcome to show the benefit of a diagnostic
11 technology. So again, the argument that well, we did
12 PET scan on a hundred patients and this changed our
13 decision in 70 of them, and therefore it's a good
14 test, isn't good enough. The question is were those
15 70 -- well, were the whole hundred on average better
16 off because they got that diagnostic test.
17 So what are the outcomes that we looked
18 at? LE stands for life expectancy, how long did the
19 patients live under the different strategies?
20 Quality adjusted life years. And then we added one
21 called severe dementia free life expectancy. Some
22 people would argue that there is sort of an ethical
23 and philosophical question, if you will, of whether
24 prolonging the stage in which you're in severe
25 dementia is actually a benefit, but it's clear that

00027

1 prolonging the phase of your life before you get to
2 severe dementia is a benefit. So we looked
3 separately at how many more months or years of life
4 you have prior to getting to the severe dementia
5 state. So that's the, I think it's abbreviated SDFLE
6 in your technology assessment.
7 So summary, so we looked at three patient
8 populations, those with mild to moderate dementia,
9 those with MCI, those who were asymptomatic but have
10 a family history. We looked at the treatments using
11 acetylcholinesterase inhibitors as the prototype, and
12 looked at basically three outcome measures.
13 Now, what would be the ideal evidence we
14 would have? The ideal evidence would come from a
15 randomized controlled trial that randomized people
16 who had suspected mild dementia, suspected AD, to get
17 a PET or not get a PET. They would all get the
18 standard workup and in addition, some would get a PET
19 scan, some wouldn't. Based on that you'd make your
20 treat or no treat decision and you'd follow them for
21 outcomes. And then you could say definitively after
22 X number of years, the group with the PET scan did or

23 didn't do better than the group without the PET scan.
24 So that would be what we'd like, and we didn't have
25 it.

00028

1 That trial hasn't been done, so we
2 developed a model, okay? And you have heard some
3 about this, but the point of the model is that it
4 gives you a mechanism for combining data regarding
5 diagnostic accuracy, because there are clinical
6 trials looking at the diagnostic accuracy of the PET
7 scan; treatment trials looking at treatment efficacy,
8 and what we know about patient management decisions
9 to determine possible outcomes. So it lets you
10 combine in a way those three types of information
11 into a model, let's you do it in an explicit way that
12 we can all argue about whether it makes sense or not.
13 You can see which way you believe and you
14 can in fact as the next bullet says, you can do a
15 sensitivity analysis for many of these, which is
16 really asking the what-if question, what if you know,
17 Dr. Lerner and I disagree about one of the numbers?
18 Well, we can say okay, would this make a difference
19 in the outcome, you know, do we think this was a
20 rational way to do it. And so it lets you model the
21 effects of uncertainty in the data but also, and this
22 is important I think for this topic, potential
23 advances in the field. So you can say what if, you
24 know, I know that in three months some drug trial
25 results were coming out that showed it to be twice

00029

1 effective as what we know about now, would that
2 change the conclusions, and you can model that and
3 look at that. So that's the reason for doing the
4 model.
5 Now I'm going to pass it on to
6 Dr. Matchar, but does anyone have questions about the
7 basic approach?
8 MS. ANDERSON: Thank you.
9 DR. MATCHAR: Good morning. Now this
10 presentation was a challenge for me because it's an
11 effort to summarize in some sense a technology

12 assessment that was fairly complicated and
13 academically derived. It's very important I
14 understand here to try to take that material and to
15 convert it into something that makes some sense to
16 you and to the public. So I accept that challenge.
17 Instead of reviewing the technology
18 assessment in exquisite and painful detail, what I'd
19 like to do is to give you an overview of the
20 substance of that approach that we took, I think
21 Dr. Zarin already gave a very nice overview as to why
22 we approached it as we did, and describe the
23 principal result and then also hopefully explain some
24 of the insights and why these insights were derived
25 from the model and the way they were.

00030

1 So the objectives of the analysis were to
2 assess PET scanning in conjunction with standard
3 evaluation of patients who have one of the three
4 scenarios that were previously described by
5 Dr. Zarin, individuals who already had dementia that
6 was either mild or moderate, and specifically avoided
7 patients with severe, although there is some evidence
8 that individuals with severe dementia will benefit in
9 some ways from treatment, we did focus on mild to
10 moderate. We also looked at patients with mild
11 cognitive impairment. Again, as Dr. Zarin pointed
12 out, that's a group for which there is not evidence
13 of treatment benefit to date but there is a clinical
14 trial in progress. And then the third was patients
15 with an elevated risk because of a family history of
16 AD but who do not currently have symptoms.
17 Again, evidence of treatment effectiveness
18 is not available, but we did want to include this in
19 order to assess the potential for diagnostic testing
20 even in that circumstance. Again, the important
21 issue here is not just whether the test is accurate
22 and whether it properly partitions patients into true
23 positives and false positives and so on, but rather,
24 whether that partitioning leads to an improvement in
25 health outcomes, and I will go into some detail about

00031

1 what we mean by health outcomes.
2 As pointed out already, the ideal
3 circumstance would be that we would have direct
4 evidence and would be able to make a direct inference
5 from clinical trials that would allow us to say that
6 testing leads to say delayed progression, decreased
7 mortality or other useful outcomes that people care
8 about. An analogy would be in the case of
9 mammography, say, to do a clinical trial, and
10 Dr. Zarin again pointed out what that trial would
11 probably look like. There is no evidence available
12 to us and so the challenge that we were presented
13 with was needing to make an indirect inference about
14 the potential that PET scanning might have in these
15 circumstances.
16 Now this indirect inference can be made by
17 establishing a causal pathway which is sort of the
18 conceptual underpinning of the analysis that we did.
19 The idea is that the testing leads to identification
20 of people who are true positives, and I'll mention in
21 a moment what we mean by true positives, because
22 that's very much at the core of this analysis,
23 understanding that concept. That those patients who
24 are true positives are treated and that as a
25 consequence of treatment they have delayed

00032

1 progression, and indirectly have decreased mortality.
2 Again, no evidence that treatment directly decreases
3 mortality although there is reason to believe that
4 patients may have decreased mortality because they
5 have diminished disability and therefore the
6 associated mortality of disability.
7 Now, testing also has other potentially
8 downsides. The test may be either a false negative
9 or false positive. In the case of a false negative
10 the test, the individual who has the disease fails to
11 be treated and again, if treatment is useful, they
12 fail to have that delayed progression. They may be a
13 false positive. Again, in this circumstance,
14 depending on whether the patient would have otherwise
15 been treated in any case, they experience whatever
16 downsides there are to treatment without achieving

17 any of the benefits. They may also be identified as
18 a true negative in which case they are left alone
19 appropriately. Treatment may have adverse events
20 whether or not the patient actually has the disease.
21 Now let me focus on this concept of a true
22 positive. Because there are many different ways one
23 could define what a true positive is in this context
24 it's very important to have our demonstration
25 straight, because all the subsequent analysis hinges

00033

1 on defining what we mean by disease. As Dr. Zarin
2 pointed out -- well, first of all, let me go through
3 the list of possibilities.
4 One possibility would be that we obtain
5 histopathology on all patients and that would mean
6 biopsying people's brains. That would be one
7 possibility, unlikely possibility but one way of
8 diagnosing disease. It might also be based on
9 clinical diagnosis or it might be based on some other
10 kind of test. Now for purposes of this analysis we
11 used the diagnosis, the clinical definition, and
12 there are two real reasons we did that. The first is
13 just that that's what is the standard for diagnosis
14 of Alzheimer's disease, namely the clinical
15 evaluation as stipulated by the American Academy of
16 Neurology guidelines.
17 But more importantly for this analysis,
18 the treatment effectiveness has been studied based on
19 this clinical definition. There has to our knowledge
20 not been any evaluation of the benefit of drug
21 treatment based on results of say PET scanning or
22 other diagnostic methodology other than clinical
23 evaluation. So that's really key, that being a true
24 positive means being a true positive in the sense
25 that this is a person who has been shown in clinical

00034

1 studies to benefit from treatment, whether on biopsy
2 they ultimately prove to have Alzheimer's disease or
3 not. That is a possibility.
4 Now in developing a model, again as
5 pointed out already, that a model has several values.

6 In this circumstance, actually the only way we can
7 make an indirect inference and actually calculate any
8 of the things that we talk about being interested in,
9 you know, that people care about, they care about
10 life expectancy, they care about quality of life,
11 dementia free survival and so on. In order to
12 calculate those things in the absence of that
13 ultimate clinical trial, it's really the only way, in
14 the absence of that trial, developing a model is the
15 only way to make these predictions.
16 It also allows us to integrate data from
17 these various sources and there are some excellent
18 sources say of the natural history of disease for
19 example, the CRAD data which we used in this
20 analysis. Treatment trials, there have been several
21 fairly consistent treatment trials regarding the use
22 of acetylcholinesterase inhibitors and there have
23 been a good number of studies looking at test
24 performance.
25 Now we initially were asked specifically

00035

1 to make sure we understood what the quality of the
2 test performance is, and as we'll see in this
3 analysis, the test performance itself is not that
4 important; the sensitivity and specificity can be
5 established to be quite good, or reasonably good, in
6 a global sense. You know, the numbers for
7 sensitivity and specificity are fairly high.
8 Now the model that we developed has two
9 major parts. The first part relates to various
10 strategies that would be used in, for testing or not
11 testing and treatment. For example, we'll start with
12 the scenario of an individual with mild dementia.
13 Under this scenario in which they have a PET scan,
14 they may in fact in truth have Alzheimer's disease,
15 and that's based on what's the prevalence of
16 Alzheimer's disease in that population. And they may
17 based on testing be identified as being positive or
18 not. And the likelihood that an individual who is,
19 who has disease is going to be positive by the test
20 is the sensitivity of the test.
21 So if an individual follows that top half,

22 they have mild dementia, they undergo PET scanning,
23 they in fact, the omniscient knows that this person
24 has Alzheimer's disease and in fact after testing are
25 positive, that individual now has Alzheimer's disease

00036

1 and they are treated.
2 An individual following that second path
3 in which they are actually a false negative, they
4 don't get treatment although they do have disease.
5 So each of these branches has an associated diagnosis
6 and then a subsequent management strategy implied.
7 And it's important to point out that there
8 is a basic medical truism, which is one should not do
9 a test unless one is going to base their treatment
10 decisions on that test result, and that's why in this
11 circumstance if the individual who comes in with mild
12 dementia has a negative scan, they would not be
13 treated. Otherwise, at least for purposes of the
14 treatment decision, why did you do the test in the
15 first place.
16 Now, another option for individuals with
17 mild dementia is not to do the scan but at that point
18 just to go on and treat them. One might call that
19 empiric treatment but it's basically treatment based
20 on the standard diagnosis, the standard clinical
21 diagnosis. Again, there is a certain proportion of
22 those people who have mild dementia who will have AD
23 and they will be true positives and will go on to
24 treatment and those who don't have AD will still get
25 treatment. So that group of people are going to

00037

1 undergo unnecessary treatment with whatever downsides
2 are associated with unnecessary treatment.
3 Now based on discussions with, based both
4 on the evidence and also discussion with our experts
5 who advised us in this project that in the case of
6 the anticholinesterase inhibitors, with these drugs
7 about 15 percent or so of patients will experience
8 adverse reactions which are very limited in the sense
9 that the worst thing that typically happens is that
10 they stop the drug.

11 And then of course there is a possibility
12 of just leaving a patient alone entirely and not
13 bothering to test them or to treat them and in this
14 case whether they have AD or don't have AD, they
15 don't get treated.
16 Again, just reminding everyone of this
17 notion that the test performance is that one of the
18 biggest parts of this project was to identify test
19 performance and we reviewed fairly extensively, or
20 very extensively I should say, the literature
21 regarding the performance of PET scans and a major
22 challenge for us as I pointed out was figuring out
23 how to construct two-by-two tables from this
24 evidence, and all of the two-by-two tables we
25 constructed and all the presentations we did for the

00038

1 most part were based on this paradigm, which is that
2 diagnosis as you see on the top row, that the
3 diagnosis was based on disease by clinical
4 evaluation, and just to remind everyone that
5 sensitivity is the proportion of people who have the
6 disease, that is that middle column, of all those
7 people in that column, the proportion who are true
8 positives, and the specificity of all the people in
9 the right column, all the people who are true
10 negatives.

11 Again, without going into the painful
12 detail about how the analysis was done, you can go
13 back to the technology assessment to see all the
14 machinations underlying this, but basically you can
15 just pretend that we took all of the sensitivities
16 and all the specificities and just averaged them, and
17 despite the fact that this required several weeks of
18 work, I had to show that to you, but basically the
19 result would have been about the same, which is that
20 the sensitivity and specificity of the tests were
21 approximately 86, 87 percent, both, so that was our
22 base case estimate of the sensitivity and specificity
23 of PET scanning.

24 So the second part of the model now goes
25 on and asks this question, okay, so what next, what

00039

1 about the fact that a person is identified as having
2 disease and is treated or not treated, what happens
3 then? So the foundation of the second part of the
4 model is what we call the natural history model. And
5 what the natural history model is is for want of a
6 better expression, I'll call it a clinical trial in a
7 box.

8 Basically we take individuals and we
9 imagine that people exist in discrete health states
10 and for purposes of this analysis these six health
11 states are the important health states, that being
12 asymptomatic, having mild cognitive impairment,
13 having mild dementia, moderate dementia or severe
14 dementia, or being dead, and that the arrows indicate
15 the possible transitions people can make from state
16 to state. And for the sake of simplicity, we assume
17 these transitions can occur annually in a discrete
18 fashion.

19 And again, going on with the, this is a
20 general purpose natural history representation of a
21 natural history that we could imagine that an
22 individual starts in the asymptomatic state or in any
23 of these other states, but for purposes of this
24 preliminary baseline analysis I'm going to just talk
25 about individuals starting with mild dementia.

00040

1 Now the arrows as I say, represent the
2 transitions from state to state, but how likely are
3 those transitions in any given year? Well, we can go
4 to the epidemiologic data and we can sort out, again,
5 there's the painful details in the report but we can
6 sort out what the likelihood is from year to year
7 that an individual would make that transition, and
8 the likelihood of making that transition is going to
9 depend on whether the individual has the disease.

10 Okay?

11 Now superimposed on this is what we call
12 the treatment model, which is to say what effect does
13 treatment then have on these transitions?

14 In the case of Alzheimer's disease, we assumed as I
15 pointed out earlier that disease is affected by

16 treatment in that it delays the likelihood of
17 transition from year to year, and that's fairly
18 consistent with the evidence in the clinical studies.
19 So if you didn't get it the first time, I
20 made another slide, and this is one of the dangers of
21 putting me on an airplane is I make animated slides.
22 The individual that we're talking about the scenario
23 we called scenario A, patient starts with mild
24 dementia in the first year. In the second year they
25 continue to have mild dementia, but in the third year

00041

1 they progress to moderate and then in the fourth year
2 they die. Okay? So that would be a sample patient
3 history, and you can repeat this using this model any
4 number of times you like and you can -- that's one of
5 the reasons we call it, or I use the expression a
6 trial in a box, is that you can create any number of
7 synthetic patients using the strategy and as long as
8 the underlying estimates are legitimate, then the
9 projections should be reasonably legitimate.
10 And I will point out that we did go
11 through a process of validation to show that the
12 model does in fact project natural histories that are
13 very similar to the natural histories represented in
14 the epidemiologic studies.
15 So, the results.
16 Here's the simplest way of representing
17 the results simply in terms of true positive, false
18 positive, true negative, false negative and total
19 correct diagnoses. Again, pointing out that this is
20 not the ultimate outcome, this is an intermediate
21 outcome.
22 What was see in the top row for the treat
23 all strategy, everybody who gets treated, that is
24 they're all treated as though they have disease,
25 okay, and 55 percent of them, which is what we assume

00042

1 to be the prior probability of having disease, that
2 proportion of people are going to be true positives.
3 But also since everybody is getting treated as though
4 they have disease, 44 percent of those people are

5 going to be false positives and therefore receive
6 unnecessary treatment with whatever downside is
7 associated with that. So the overall correct
8 diagnosis rate for the treat all strategy would be 56
9 percent.

10 On the other hand, if we look at the test
11 strategy, the test strategy actually has a
12 significantly better correct diagnosis rate, which is
13 87 percent, because it more correctly partitions the
14 patients without disease into the true negative
15 category. You have 38 percent of people who would
16 otherwise have been called positive under the treat
17 all strategy are now being called no disease under
18 the test strategy, so those people have now been able
19 to avoid the use of treatment.

20 However, again, given the base case
21 assumption which is that treatment is relatively
22 benign, when that plays out in terms of looking at
23 either quality adjusted life expectancy under what we
24 call qualities, or simple life expectancy which is
25 unadjusted for the quality of life, or the SDFLEs,

00043

1 which are the severe dementia free life expectancy,
2 so that means basically on average, how long does
3 somebody live without having severe dementia, so
4 that's something a mildly demented person presumably
5 would care about.

6 But by whatever measure, the treat all
7 strategy turns out to be optimal, superior to both
8 testing or to leaving the patient entirely alone. So
9 just treating individuals who present with mild
10 dementia after clinical evaluation without further
11 testing is the optimal strategy.

12 Now, we were asked what if the test were
13 perfect, and it's interesting to notice because of
14 the way this whole analysis is constructed, namely
15 that the clinical diagnosis actually establishes the
16 presentation or absence of disease that even if the
17 test were perfect, it could never be better than the
18 clinical evaluation as long as the treatment has no
19 downsides. That's really crucial.

20 However, once treatment starts to have a

21 downside, that's when testing becomes potentially
22 useful. So you see all the way on the right in the
23 upper right-hand corner, we see that when
24 complications have no dysutility, they don't cause
25 any significant decrement in quality of life other

00044

1 than the fact that the patient stopped the drug, that
2 under that circumstance both treating empirically and
3 testing have absolutely the same result because there
4 is nothing subtracted for the fact that the patient
5 has a false positive.

6 However, if a false positive starts to
7 become worse and worse so you're going to the left,
8 what's when you start to see some separation of the
9 lines such that PET scanning becomes superior as the
10 severity of the complications for drug treatment get
11 worse. But I put in here that arrow there showing
12 ten days. At the point at which the relative benefit
13 of testing is at its maximum, the benefit is ten
14 quality of life days, so that's a very very modest
15 benefit under that circumstance, and that would mean
16 that the complication would be equivalent in effect
17 to saying that the patient experiences a decrement in
18 quality of life of about a third of their full life
19 quality. That's very severe decrement in quality of
20 life.

21 Typically complications from drug
22 treatment are modest and on the order of .95, .98,
23 something that's as low as .6 is quite extreme, and
24 one would suggest that when you start to get into
25 that territory of dysutilities in that territory,

00045

1 people wouldn't even want to think about using the
2 drug because it would be so onerous to think about a
3 drug even if it only had a 10 percent likelihood of
4 having a complication that bad.

5 So it's clear then that one of the big
6 issues in terms of the value of testing is the value
7 of testing in the context of a treatment not that's
8 benign but a treatment that's not benign. And of
9 course if the treatment were not benign, it would

10 have to be better than current treatment; otherwise,
11 why would you be bothering with it.
12 So, what we did was what we called a
13 two-way sensitivity analysis or a threshold analysis
14 if you will, and what this says is that on this
15 figure, that plane there where all those little hatch
16 marks are is all the possible combinations of drug
17 complication severities. So as you go down the drug,
18 if it has a complication that's a really bad
19 complication, and as you go to the left, that if the
20 drug is very efficacious, so all the way at the left
21 basically, it stops the disease in its tracks; if
22 it's at zero, that means it stops the disease in its
23 tracks. So in the upper left-hand corner, it means
24 it's a completely benign treatment, okay, and it
25 stops the disease in its tracks, and under that

00046

1 circumstance you would clearly want to treat
2 everybody without any further testing.
3 On the bottom right, that would be a
4 treatment that is extremely, or is completely
5 inefficacious, has no effect on the progression, and
6 further, that if they do have complications in
7 treatment, it is equally inefficacious and you would
8 leave that patient alone, you wouldn't want to mess
9 with anything, under any circumstance. And then in
10 that gray zone, intermediate territory are these
11 combinations of these characteristics for which
12 testing would be preferred.
13 Well, we also -- so the results for the
14 mild cognitive impairment patients would be that in a
15 fairly robust fashion we conclude that for mildly
16 cognitively impaired patients, treating all is a
17 preferred strategy. So just moving on to the mildly
18 cognitively impaired, not wanting to take too much
19 time and since the results are almost exactly the
20 same I will just point out that for the mild
21 cognitive impairment patients, if we assume that
22 medication effectiveness can be extrapolated to this
23 population, and again, there is no evidence that mild
24 cognitively impaired patients achieve a benefit from
25 cholinesterase inhibitors, then treating all is the

00047

1 preferred strategy, or treatment after clinical
2 evaluation without further testing is the preferred
3 strategy. And again, this is a very robust
4 conclusion.

5 So I'm going to move on to what I think is
6 potentially one of the more interesting future
7 possibilities for testing, which would be the use of
8 testing in asymptomatic individuals, and this is a
9 circumstance in which people are currently not being
10 treated, even if they have a first degree relative,
11 although there may be circumstance where people are
12 being treated with the proper genotype plus a family
13 history, whatever, but for the most part that's not
14 happening. And the question might be in an
15 asymptomatic patient if you could extrapolate the
16 reduction in progression of disease to that
17 population, would it be worthwhile to test.

18 So the base case results again, are very
19 similar. It actually turns out that the lifetime
20 probability of developing Alzheimer's disease among
21 individuals with first degree relatives is quite
22 high. That's assuming that they don't die of
23 something else. It doesn't mean that 50 percent of
24 them will get Alzheimer's disease, it just means if
25 they don't die of anything else, 50 percent of them

00048

1 will have Alzheimer's disease by the time they are
2 90, I think is the number.

3 So we see again that the number of correct
4 diagnosis is at the maximum for the test strategy but
5 the true positives are at a maximum for the treat all
6 strategy. Now again, what does that mean if we play
7 it out with our clinical study in a box and do all
8 the associated calculations? That the treat all
9 strategy is again the preferred strategy. Why? The
10 reason, again, is that we would assume that the
11 treatment works, the base case is that the treatment
12 has negligible side effects or negligible other than
13 the individual has to stop the drug, and under that
14 circumstance basically if it works, everybody should

15 get it. We're not talking about money now, we're not
16 talking about any other consideration, we're talking
17 about improvement in cognitive function or net
18 cognitive function and we're talking about survival.
19 And on that basis, the best thing to do if
20 it works, if the treatment works is to treat
21 everybody. Again, if the test were perfect, we see
22 the same situation applies as before for the mild
23 cognitive impaired patient, namely that when the
24 disease, excuse me, when the treatment has
25 effectively no severe complications, doesn't have any

00049

1 dysutility then at best, a perfect test will be
2 identical to empirical treatment. But once you have
3 a treatment that has a downside, then the testing
4 strategy becomes preferred, and I put again this
5 arrow, it's the same, I made this the same scale, so
6 you could get a sense relative to the other scale
7 that it's a fairly small preference, even under the
8 circumstance where there is this fairly severe
9 complication. So you're talking about a healthy
10 person who is going to experience a complication
11 that, can anyone help me here with a good
12 complication that has a dysutility of .6, something
13 like nausea? No, not nausea, maybe that's not a good
14 one.

15 DR. ALBERT: Agranulocytosis.

16 DR. MATCHAR: Agranulocytosis, that's a
17 good one, they survive it, but their white cells are
18 wiped out temporarily, that would be pretty bad. So
19 if there was a 10 percent likelihood of something
20 like that, that was reversible by the way, would you
21 be willing to put asymptomatic people on it. One
22 might suggest not, but if you were, then the testing
23 could be made preferred. And again, we did the same
24 kind of two-way analysis with exactly the same
25 conclusions with the asymptomatic population, is that

00050

1 we start with the base case where the treatment has
2 no, is just fine, no big deal in terms of
3 complications. It reduces the progression rate, I

4 didn't mention this before, but by about a third,
5 progression rates. Others have estimated a higher
6 decrease in progression rate but it really doesn't
7 matter for the analysis.
8 As you go down the treatment gets worse,
9 and you go left the treatment becomes more
10 efficacious. And you see what's interesting is that
11 if you do in fact have a treatment that's more
12 efficacious in the future, then basically treating
13 all becomes even more preferred. And if the
14 complications become much more severe with treatment
15 then the efficacy has to be concomitantly also much
16 much higher in order for it to counterbalance the
17 downside of the treatment.
18 So it's not enough that the treatment be
19 bad, but that the treatment be more efficacious --
20 excuse me, that the treatment be worse, but also that
21 the efficacy has to be a lot better. So there's no
22 way to say in advance that a certain treatment is
23 going to necessarily be preferred; you have to
24 actually look at what its downside is and what its
25 relative efficacy is. But now, given that this model

00051

1 is in your hands, you can use it for whatever purpose
2 you want, should new evidence become available.
3 So let me just summarize. The conclusions
4 of the analysis, that namely for patients with
5 dementia who have had the recommended clinical
6 evaluation, treatment without further testing is
7 superior to treating based on PET, since treatment
8 for this clinical scenario has been shown to be
9 moderately effective and relatively benign. The
10 increase in true negatives resulting from the use of
11 PET is overshadowed by the concomitant decrease in
12 true positives, so people who should be treated are
13 going to not be treated if we actually take the PET
14 result seriously.
15 For patients with mild cognitive
16 impairment, if the evidence for treatment efficacy of
17 cholinesterase inhibitors in patients with dementia
18 can be extrapolated to this population of the mild
19 cognitively impaired, then empiric treatment would

20 also be superior to treating based on testing.
21 If the evidence for treatment efficacy can
22 be extrapolate to patients who are asymptomatic but
23 have an elevated risk of having AD by virtue of a
24 first degree relative, then empiric treatment would
25 be superior to treating based on testing.

00052

1 So, summaries one, two and three, no
2 surprise, they are all the same. It's not a typo.
3 And then summary, the fourth point is that
4 PET scanning could be of value if a new treatment
5 were to be developed that were more effective but had
6 a risk of one or more of a variety of highly negative
7 consequences. And I didn't go into this analysis,
8 it's in the technical report, that there are many
9 different ways that treatments can be bad. They can
10 reduce quality of life, they can induce a progression
11 of disease conceivably so that if a person does have
12 a complication, it's possible there would be a
13 treatment in the future that actually hastens the
14 progression of disease, or it may cause death. So
15 under that circumstance, PET scanning could become a
16 strategy that would be preferred.
17 And I want to just make one comment to
18 close, namely that there may be other reasons for
19 testing that are not engendered by this analysis but
20 also I should point out are not to our knowledge
21 proven or demonstrated in clinical studies, namely
22 that testing may conceivably improve patient
23 planning, end of life planning, decision making about
24 reproduction if it was a young individual who was a
25 first degree relative, they may choose to change

00053

1 their decision to have children based on a PET scan
2 result. It's possible that based on a PET scan
3 result an individual might choose to be more
4 compliant with drug treatment, simply having that
5 physical test in front of him that says, you know,
6 you have this disease, take your drug. And also, it
7 may be that, and this is possibly related, that if
8 testing is shown to predict responsiveness to

9 treatment, that could also improve compliance.
10 But on the other hand, there may be other
11 reasons for not testing. There is some suggestion
12 that people who are asymptomatic who are labeled as
13 having disease may have significant reduction in
14 their quality of life and in fact may become quite
15 depressed. And also being labeled may also interfere
16 with employment and insurability, so there are those
17 downsides to consider not explicitly included in the
18 analysis.

19 Thank you.

20 MS. ANDERSON: Thank you. We are moving
21 along so briskly that we have concluded that the
22 break really isn't that important right now. We are
23 going to take a very brief few minutes --

24 DR. PAPTATHEOFANIS: Let's take a few
25 minutes though, since we are moving along so briskly,

00054

1 and see if any of the panel members have questions
2 for Dr. Matchar. Are we going to take a five-minute
3 break then?

4 MS. ANDERSON: We're going to take about
5 three minutes. I don't know if anyone wants to
6 actually leave the room, but we just have to set up
7 for our scheduled speakers.

8 DR. PAPTATHEOFANIS: Are there any
9 questions from panel members? Dr. Albert.

10 DR. ALBERT: I had a question about the
11 prior probabilities you used for the base case
12 results, and I was surprised that you were saying
13 that the prior probability of having the disease in
14 general was about 56 percent. I would have thought
15 it would be higher.

16 DR. MATCHAR: You're talking about among
17 individuals who --

18 DR. ALBERT: Among anybody.

19 DR. MATCHAR: Well, we used 56 percent.

20 First, let me preface it by saying the exact number
21 doesn't matter as long as it's somewhere in -- I
22 mean, we used numbers that were somewhere in the
23 middle range of 50 percent or so. That particular
24 number came from a publication that I think

25 Dr. Neumann was involved in which was based on the

00055

1 results from the Harvard Alzheimer's clinic, and it
2 was the proportion of individuals who ultimately had
3 the diagnosis of Alzheimer's disease.
4 In terms of the diagnosis of the
5 asymptomatics, that was derived from an analysis of
6 an epidemiologic study in which they statistically
7 ferreted out what proportion of individuals would
8 develop disease if you were able to turn off all
9 other forms of mortality, all other causes of death.
10 So it didn't mean that 50 percent of people were
11 going to have Alzheimer's disease, but rather that 50
12 percent of people would develop Alzheimer's disease
13 if they wouldn't die of anything else, but maybe just
14 died at 90. It was a formal analysis that attained
15 that.

16 But all the probabilities are quite high,
17 that individuals in these categories are all quite
18 likely to have Alzheimer's disease. And if you made
19 the probability higher, then you would actually
20 strengthen the conclusion of the analysis.

21 DR. ALBERT: I would have thought that the
22 probability was higher, particularly among very
23 elderly individuals. That's why I was surprised.

24 DR. PAPTHEROFANIS: Sally.

25 MS. HART: What data or evidence did you

00056

1 have to assume that individuals would be willing to
2 undergo treatment if there is no diagnosis that would
3 support that treatment?

4 DR. MATCHAR: Sorry, say that again.

5 MS. HART: Your assumption that everyone
6 will accept treatment in the absence of a test
7 showing that there is a diagnosis that would support
8 that treatment was somewhat surprising to me, and I
9 wonder what empirical evidence you have that
10 individuals would be willing to accept treatment
11 without a test showing that they need it.

12 DR. MATCHAR: The analysis really didn't
13 depend on that per se. I mean, it was saying for

14 individuals who would take the treatment if it were
15 made available to them, but your point is well taken,
16 which is that we're presuming that people on the
17 basis of being told that they are likely to benefit
18 from this treatment and that the treatment was
19 benign, would take the treatment. There is no
20 published evidence we drew on, but only the
21 experience of the experts which have told us that
22 this in fact is what happens is that in the community
23 individuals, for physicians who believe that these
24 drugs are useful and they transmit to the patients
25 that they are very likely to have Alzheimer's

00057

1 disease, and that treatment could be effective in
2 delaying progression, patients accept the drug, they
3 do, and to the extent they don't accept it, the
4 reasons seem to be that physicians are not all that
5 convinced that the drug is very effective or that
6 patients are not very happy about paying for the drug
7 out of pocket, which is quite expensive, but it
8 hasn't to do with whether they do or don't believe
9 that they have disease.

10 DR. PAPTATHEOFANIS: Sean.

11 DR. TUNIS: So if I understood correctly,
12 the gold standard for looking at sensitivity and
13 specificity of PET was always the clinical diagnosis,
14 or at least that seems to be the way the model was
15 constructed. And I know that there have been some
16 studies, particularly the recent ones that looked at
17 the sensitivity and specificity of PET related to
18 ultimately the biopsy proven diagnosis post-mortem.
19 And in that data, is there any evidence that PET is
20 in fact potentially more sensitive and specific than
21 clinical diagnosis or if so, how would that affect
22 your models?

23 DR. MATCHAR: Well, in the one study that
24 I'm aware of with regard to the biopsy and autopsy
25 results, that the sensitivity and specificity using

00058

1 that as a gold standard was comparable to the results
2 and was within the sensitivity analysis range that we

3 used for this model. There is no structural reason
4 why the model couldn't use a different definition of
5 what constitutes a true positive or real disease.
6 Again, it was simply that the only evidence we had in
7 hand about the value of treatment was based on
8 clinical diagnosis. This is an issue we debated long
9 and hard about and actually did come up with an
10 analytic strategy for figuring out well, what if
11 there was a true diagnosis that you might not be able
12 to get at, but there was a true diagnosis that was
13 even better than the clinical evaluation, and that
14 both the clinical evaluation and the PET scanning
15 were being compared to, and you're using histology as
16 being potentially that gold standard. It could be
17 done, it's not that it can't be done, it's just that
18 there was no evidence that allowed us to understand
19 how knowing what their biopsy result would be would
20 lead to any better treatment decision. And in fact,
21 some of the experts suggested that individuals
22 without Alzheimer's disease might also be achieving
23 some benefit from the drug and therefore, this notion
24 that it would only be people who were biopsy positive
25 might not really be the ideal criteria for treatment

00059

1 decision making. So again, the best thing that we
2 have are the clinical trials and the clinical trials
3 used clinical diagnosis as the reference standard,
4 not the gold standard but the reference standard, and
5 what's what we used.

6 DR. NEUMANN: Just to clarify on the
7 assumptions on drug effect and duration, the base
8 case assumption is 18 months of effect and the risk
9 ratio is applied, the .72 through the 18 months and
10 then goes away after 18 months, and no more effect is
11 given.

12 DR. MATCHAR: That was again, the base
13 case was sort of the standard conservative approach,
14 which would suggest that you use the results from the
15 clinical trials. The clinical trials were for
16 something resembling that period, 18 months, so to
17 suggest that drugs were going to be effective beyond
18 18 months would be going beyond where the evidence

19 takes us, or excuse me, would go beyond where the
20 evidence is.
21 Again, in the sensitivity analysis we
22 extended that assumption that treatment could
23 continue indefinitely and also for the other
24 scenarios given that we realized that the real
25 potential was if the drug was going to be effective

00060

1 in the long-term, we allowed the drug to be effective
2 in the long-term. So for asymptomatics, for example,
3 patients continued on the drug until, or we assumed
4 the patients would continue on the drug until they
5 developed severe dementia.

6 DR. NEUMANN: And in terms of the
7 assumptions on discontinuation or noncompliance,
8 there is an assumption that some patients won't
9 adhere to treatment, but there is no differential
10 assumption that those patients will have a more
11 severe decrement in utility, it's just that there's a
12 general assumption about some percentage of patients
13 who drop out or discontinue, is that how I understand
14 that?

15 DR. MATCHAR: Right, that's correct.

16 DR. TUNIS: Just another question to
17 clarify the model, and I think it came up in your
18 second to last slide in terms of aspects of treatment
19 or decision making that might occur based on a PET
20 result that aren't formally incorporated in the
21 model. So, the treatment intervention that the model
22 looks at is only drug treatment or no treatment, so
23 there's obviously many other aspects of management of
24 patients with dementia or Alzheimer's disease that
25 may or may not have quality of life benefit, and some

00061

1 of those are end of life planning, but others might
2 be caregiver arrangements or other sorts of decisions
3 about the management of the patient other than the
4 drug therapy. And I'm just wondering whether your
5 view is that that sort of is simply not modelable but
6 we have to take it into account, or whether in fact
7 there is no reason to take it into account in the

8 model because we don't know that those other
9 interventions have effects on quality of life,
10 et cetera.

11 DR. MATCHAR: I think reasonably that
12 those things do have an effect on quality of life but
13 that they are not going to be necessarily affected by
14 whether one has the diagnosis of Alzheimer's disease
15 or not. So that if an individual has cognitive
16 impairment or functional impairment, that those
17 interventions are generally aimed at dealing with the
18 associated symptoms and with the burdens of the
19 patient being in that state, not necessarily that is
20 was because it was Alzheimer's disease. So those
21 things would happen anyway, and should happen anyway.
22 But I think in the asymptomatic situation or the very
23 mild disease situation where there is just a very
24 mild cognitive impairment, that would sort of fall
25 into this notion of planning in that you could plan

00062

1 more in advance, that well, it looks like a few years
2 from now we're going to need to really get into some
3 kind of a living arrangement that's going to allow
4 for a nursing facility if that becomes necessary in
5 the future. So you know, being able to plan that way
6 could be useful for the asymptomatic or the mildly
7 impaired.

8 And is it modelable, that was the other
9 question. Everything is modelable, there is nothing
10 you can't model. And in fact in the context of this,
11 if there was really a compelling reason to include
12 it, we could do that, that's not a technically
13 difficult thing to do.

14 MS. ANDERSON: Are there any final
15 questions from the panel?

16 Okay. I am going to revise my original
17 statement. We are going to take more than a few
18 minutes. We're going to break down these lights and
19 the video cameras, get everybody comfortable, so go
20 ahead, leave, get something to eat, take a little
21 potty break. We're going to come back in 15 and
22 start up with the scheduled public comments.

23 (Recess from 9:54 to 10:20 a.m.)

24 MS. ANDERSON: Our first scheduled speaker
25 Dan Silverman from UCLA, and he will speak to us

00063

1 regarding PET.
2 DR. SILVERMAN: Thanks, Janet: I was told
3 that we could have the lights a little dimmer, since
4 this is going to be image heavy material. In the
5 next 19-and-a-half minutes, I want to spend some time
6 in the first couple minutes to talk about some of the
7 basic biology of Alzheimer's disease and the
8 processes that occur, then what PET is actually
9 capable of imaging, and then to overlap that, so we
10 can see why what is actually happening in the brain
11 that relates to other disease can be seen with PET
12 and then move to what is I think more substantively
13 important for the purpose of this session, which is
14 to how that translates into the empirical evidence of
15 PET's accuracy in being able to detect whether or not
16 Alzheimer's disease and other dementias are present
17 in the brain, and then finally turn to how the levels
18 of accuracy that are obtainable translate into impact
19 on clinical outcome.
20 So to begin, as is well known in
21 histopathologic circles, there are two major
22 hallmarks that are commonly cited for Alzheimer's
23 disease. One is the neurofibrillary tangles which is
24 the intercellular portion, and it's made of abnormal
25 proteins called TAL proteins that have aggregated

00064

1 together because of problems in their phosphorylation
2 unfolding, and this is shown more graphically here on
3 a silver stain, the neurofibrillary tangles.
4 And the other are senile plaques which you
5 see here on a silver stain again, which are an
6 extracellular hallmark but again are caused by an
7 aggregation of proteins, this kind of classic protein
8 is called beta amyloid. And as you see here, this is
9 something that has been in development as a PET probe
10 that goes in higher concentrations to places where
11 there are neurofibrillary tangles and senile plaques,
12 and you see them lighting up very intensely in

13 fluorescence on both plaques and tangles. And what
14 you see on the right is a brain slice, and it's
15 stained specifically for the proteins, the beta
16 amyloid and the TAL protein, and where it's darker,
17 that means there's more of it. So you see around the
18 cortex that there's high concentrations of those, and
19 then you see with the probe, the bright fluorescence
20 in the Alzheimer's brain, lighting up around those
21 areas. And then you see in the normal brain the much
22 lower binding of those probes around the normal
23 brain.

24 And this is a PET probe that's currently
25 in development, but of course FDG is a PET probe that

00065

1 is in common use clinically already for a number of
2 purposes and the relationship between that and this
3 is as you will see in many slides to come, where
4 there's higher concentrations as lit up by this probe
5 of the senile plaques and tangles, there is lower
6 concentrations of the FDG, because those areas become
7 less metabolically active because that tissue is less
8 functional.

9 And FDG by the way is exactly the same
10 molecule as the sugar that the brain uses for almost
11 all its energy, which is glucose, except that this
12 one oxygen-hydrogen, hydroxyl group has been replaced
13 by a radioactive fluorine so it can be seen by the
14 PET scanner. And so, although maybe the
15 instrumentation of PET is a little complex, the
16 biological principle is very simple. We're just
17 mapping the glucose distribution in the brain.

18 And so, the question is why is such a
19 simple biological principle so useful in being able
20 to detect dementia like Alzheimer's disease and other
21 neurodegenerative diseases and a host of other
22 neurologic and psychiatric processes. And it turns
23 out to be a very lucky coincidence of two things.
24 The first aspect of the lucky coincidence is that it
25 turns out that the single most energy expensive thing

00066

1 that the brain does is synaptic firing, the

2 transmission of information from one neuron to the
3 next and the restoration of the ionic radius needed
4 to make that happen again.
5 And the second part of the coincidence is
6 that almost exclusively, the fuel that the brain does
7 to meet this energy need, that it uses, is glucose,
8 and under starvation conditions ketone bodies. But
9 it uses glucose and it uses glucose in an insulin
10 independent way. So simply by mapping the
11 distribution of glucose in the brain you have a very
12 good map of the relative activity in the different
13 parts of the brain. And most neurologic and
14 psychiatric diseases that are advanced enough that
15 they actually have detectable symptoms clinically
16 have already involved enough brain tissue that is
17 becoming dysfunctional that the normal very high
18 level of metabolism in the brain becomes reduced in
19 the areas that are involved, and that shows up as
20 decreased metabolism as decreased uptake of the
21 tracer, as less bright spots essentially on the PET
22 scanner.
23 And this is an example of that. This is a
24 normal brain for reference, showing the uptake of the
25 glucose throughout the brain. This is a rainbow

00067

1 scale so just like in a rainbow where red is the
2 highest part of the rainbow, red is the highest level
3 of metabolism, and then orange, yellow, green, blue
4 is the lowest level of metabolism here, blue and
5 indigo. And what you see is that there is a high
6 level of metabolism throughout the cortex, in fact
7 it's a level that's six to eight times higher than
8 occurs in the average concentration through the body
9 of metabolism, and about half of that in the parts
10 called white matter that are just below that.
11 And what you find in a patient with
12 Alzheimer's disease is that the back portions of the
13 brain, the parietal, the temporal cortex, the
14 posterior singular cortex become disproportionately
15 affected and become less metabolically active because
16 they are becoming more involved by the pathologic
17 process at a time in the early stages at least that

18 the frontal portions of the brain are relatively well
19 preserved, compared to a frontal temporal dementia
20 like Pick's where the frontal portions of the brain
21 are decreased in metabolism at a time that the back
22 portions are relatively well preserved; and
23 Huntington's disease which has a pattern that's
24 virtually pathopneumonic, you see this area that's
25 normally the brightest part of the brain, the basal

00068

1 ganglia become almost ametabolic in both eventually
2 the caudate nucleus and the lymph nucleus at a time
3 when the cortex is relatively well preserved; and
4 multiple infarct dementia is the one type of pattern
5 on here that actually is more sensitively picked up
6 by things like MRI and CT than by PET.
7 And so, it's easy to know if that's what
8 you have because if you see a defect like this and
9 you wonder if it's a stroke, you just look on the MRI
10 and if you don't see a core spine defect on the MRI,
11 you know that's not what it was due to. Also within
12 an individual, there is a close correspondence
13 between the severity of the disease and what the PET
14 scan will look like.
15 So again, here is a normal for reference,
16 and here's somebody in the early stage of
17 Alzheimer's, this is someone who would just meet the
18 diagnostic criteria and already you can see very
19 easily parietal and temporal hypometabolism where
20 it's much lower uptake here than the front portions
21 of the brain. By two years later, which would
22 correspond on average to about a 5-five point drop on
23 a mini-mental state exam with 30 points as a perfect
24 score, you can see that there's an advancement of the
25 pattern so that this area that was hypometabolic in

00069

1 the back before in the temporal lobe is now also
2 affecting part of the frontal cortex, including the
3 prefrontal cortex that previously was well preserved.
4 And by another couple years later, there's a
5 decimation of almost all the associated cortex and
6 most of the prefrontal cortex with just preservation

7 of structures that are mostly involved in sensation
8 and motor activity, like the sensory motor cortex and
9 the visual cortex and the basal ganglia. If we were
10 on a lower plain you would see cerebellum and then
11 the thalamus, which is the relay center for all that.
12 And this in fact is very close to the
13 pattern that you see on a newborn baby, who is after
14 all born with all the facility to sense things and
15 move around, but hasn't yet built up the memories and
16 the cognitive complexities that fill the associative
17 cortex and the prefrontal cortex respectively with
18 metabolic demand. So this is kind of a neurologic
19 substrate for what we often call a second childhood
20 in these patients.
21 So, let's get to the bottom line. How
22 sensitive and specific is PET in detecting
23 neurodegenerative disease in general and how
24 sensitive and specific is it in detecting Alzheimer's
25 disease specifically. And this is a slide taken from

00070

1 the largest study to look at the sensitivity and
2 specificity of PET against the gold standard of
3 histopathologic diagnosis. There were 284 patients
4 in that study, and half of them were followed
5 longitudinally for a number of years after PET; the
6 other half, definitive diagnosis was made by autopsy
7 and I will show you just the autopsy results first.
8 In this study of 120 patients who had
9 neurodegenerative disease, PET said that they had
10 neurodegenerative disease 113 of the 120 times, for a
11 sensitivity of 94 percent. And of those who had no
12 neurodegenerative disease, PET said they didn't
13 three-fourths of the time for a specificity of that,
14 and an overall accuracy of about 90 percent.
15 Then if we ask the more difficult
16 question, not only is there neurodegenerative disease
17 here, but specifically is it Alzheimer's disease, you
18 see again, of the 97 patients who had autopsy
19 confirmed Alzheimer's disease, PET said specifically
20 they had Alzheimer's disease 91 of 97 times, so again
21 for a sensitivity of 94 percent, again a specificity
22 among the 41 who didn't have Alzheimer's disease of

23 about three-fourths, and again, an overall accuracy
24 of about 90 percent.

25 Then we asked the question beyond what the

00071

1 specific diagnosis that's is causing the dementia
2 symptoms, what prognostic value does have PET have
3 for predicting what will happen to the patients in
4 the years after the PET. And for these purposes we
5 defined three types of patterns as indicating a
6 progressive dementia is present, one the type you saw
7 for Alzheimer's disease where you have this parietal
8 and/or temporal hypometabolism at a time that there's
9 good preservation in other parts of the brain. A
10 frontal temporal predominant patter, as you saw in
11 the Pick's disease case for example, where there's
12 relatively decreased metabolism in the frontal lobes
13 and the anterior temporal lobes at a time there's
14 better preservation of other parts of the brain. And
15 the ametabolism of the basal ganglia that we saw
16 before is virtually pathopneumonic for Huntington's
17 disease. And everything else we called a non
18 progressive pattern, which included normal of course,
19 and included global metabolism; this is
20 hypometabolism that's due to just less tissue being
21 there because of atrophy, as opposed to less
22 metabolism per gram of tissue that's remaining. And
23 then other focal defects that didn't correspond to
24 the previous slide, the most common of course which
25 would be strokes that cause focal areas of

00072

1 hypometabolism, and as you can see here on this MRI,
2 are much easier to detect on structural imaging than
3 on functional imaging.

4 So what we found is that when we looked at
5 the patients who had positive PET scans, that is
6 positive for progressive disease, that in fact just
7 within a year and a half after the time of the PET,
8 there was already a significant decline in the MMSC,
9 and remember a perfect score would be 30, and by
10 another couple years there is another significant
11 decline in the score, as opposed to the patients in

12 whom PET found a nonprogressive pattern, and which
13 you can see that even out to three-and-a-half,
14 four-and-a-half years later, although there was a
15 trend toward some decline, there was no significant
16 change in MMSC score over that same period of time.
17 And you might say well, maybe it's because
18 these patients are starting off a little better
19 functioning than these patients were to begin with,
20 so we also looked just exclusively at the patients
21 who were very level high functioning to begin with,
22 that is, those who had an MMSC score of at least 26
23 on a 30-point scale, and followed them out over the
24 period of time of five years. And again in red you
25 see those who had a progressive pattern on the PET,

00073

1 and some of these patients fell from as high as 26 to
2 30 to as low as 5 over this five-year period. And
3 yet, not a single patient who had a nonprogressive
4 pattern fell to lower than 25 from their initial 26
5 to 30 over that same five-year period. We
6 subsequently confirmed this with a larger group of
7 patients, and the statistics only became stronger.
8 And so putting these two parts together,
9 asking what is the prognostic value of predicting
10 progressive dementia, either because progressive
11 dementia is found by actually watching the patient's
12 dementia progress, or because they had an autopsy
13 that identified whether or not a progressive dementia
14 process was present in the brain, of the 206 patients
15 who had a progressive dementia as documented in one
16 of those two ways, PET specifically said they did
17 have a progressive dementia 191 of those 206 times,
18 for a sensitivity of 93 percent, and again a
19 specificity of about three-fourths and again, an
20 overall accuracy of about 90 percent.
21 So both in terms of diagnosis and
22 prognosis, PET had high sensitivity and reasonably
23 high overall accuracy in predicting whether
24 Alzheimer's disease was present and predicting
25 whether there was a progressive dementia present in

00074

1 general.
2 We also looked at this in comparison to
3 doing clinical workup without the benefit of PET and
4 we asked neurologists at the time that the patients
5 were referred for PET, did the patients have or not
6 have a progressive dementia. In about one-third of
7 the cases the answer was that that was indeterminate,
8 they couldn't tell either because the presentation
9 clinically was too atypical or the differential
10 diagnosis was too wide. But even if you look at just
11 the two-thirds for whom they thought they knew the
12 answer, if they said a progressive dementia was
13 present, 78 percent of the time they were right; and
14 if they said there was no progressive disease, 27
15 percent of the time they progressed anyway, for a
16 relative risk of 2.86, ignoring the ones who they
17 thought they didn't know the answer to.
18 If we compare that to the patterns
19 demonstrated on PET, what we find is that of those
20 who PET had a progressive pattern, 81 percent
21 actually progressed. Of those who had a
22 nonprogressive pattern, only 13 percent progressed,
23 for a relative risk factor of 6.22. So just PET by
24 itself being used at this point in the algorithm had
25 a two to three times higher predictive power than all

00075

1 the other information available to the neurologist up
2 until the time that PET was performed.
3 Now, I'm going to turn to how does the
4 sensitivity and specificity as defined in this and
5 other studies translate into impact on the management
6 of dementia. And to begin with, it will be necessary
7 to talk a little bit about how the normal process of
8 clinical diagnosis is done without PET and how it's
9 done with PET according to the recommendations and
10 according to the criteria in the coverage request to
11 Medicare, and this is a little more than fits on one
12 slide, so someone is going to help me when we get to
13 the bottom, but in the conventional evaluation what
14 happens is as in most neurological psychiatric
15 diseases, patients get a good history and physical
16 exam as they would with PET, and they establish

17 whether or not a cognitive deficit is actually
18 present that represents a change from the patient's
19 baseline. If that is the case, then it's determined
20 whether or not they meet the criteria for dementia by
21 having multiple cognitive domains present and
22 functional decline present and if so, then a number
23 of things are tried to be ruled out by history and
24 physical and a panel of relatively cheap and easy to
25 obtain common labs.

00076

1 And if all those are negative, then the
2 diagnosis of AD is clinically made. If some of those
3 are positive, then treatment is obtained and then the
4 patient is reevaluated and if they don't meet the
5 criteria of the dementia like MCI patients, then it's
6 recommended, and this is the American Academy of
7 Neurology recommendations by the way, that then they
8 get reassessed, typically six months to 12 months
9 later and then see whether or not they meet the
10 criteria for dementia and whether anything else has
11 arisen that would need to be treated for in order to
12 exclude other diseases.

13 If there are specific neurological
14 symptoms present, then there is a number of
15 specialized neurological tests that can be done, and
16 just this year the American Academy of Neurology
17 revised their recommendations to suggest that
18 virtually everybody who gets to this point in the
19 evaluation should get a CT or MRI done in the process
20 of excluding other diseases.

21 This is the algorithm for incorporating
22 PET into the evaluation and it starts off much the
23 same, comprehensive history and physical and
24 documenting whether or not a cognitive deficit is
25 present and if so, are there any specialized

00077

1 neurological things that require specialized tests,
2 and this is all done identically and all modeled
3 exactly the same in both studies. And then
4 regardless of whether they meet the criteria for
5 dementia, so this looks basically at patients who

6 have MCI and dementia together, the question is, are
7 there any conditions from the history and physical,
8 common labs, that could be giving rise to their
9 dementing symptoms and if the answer is yes, then
10 again, they should be treated. And if the treatment
11 completely reverses those symptoms of the cognitive
12 deficit then there is no need to go further, there's
13 no dementia to diagnose or disease to diagnose at
14 that point, but if they still have a persistent
15 deficit then those patients would be considered an
16 appropriate candidate for PET scanning.
17 Likewise, if they have this cognitive
18 deficit demonstrated and there's no other conditions
19 that could be causing it that are identified in the
20 history, physical and common labs, then in that case
21 those patients are considered appropriate candidates
22 for PET. So the bottom line there is that basically
23 patients either have to have a positive diagnosis
24 made and there has to be a reversal of symptoms if
25 they're diagnosed with something other than

00078

1 Alzheimer's disease, or else those patients would be
2 considered to be reasonable candidates for PET.
3 So we modeled this explicitly in an
4 algorithm that as in the case of a technology
5 assessment would be far too cumbersome to go into
6 detail in the time we have here, but it's a decision
7 tree analysis as done in the technology assessment
8 looking at the PET incorporated pathway versus the
9 non-PET incorporated pathway and coming up with
10 impacts on clinical outcomes as I will discuss now.
11 So the input going into this was what is
12 the sensitivity and specificity of PET, what is the
13 sensitivity and specificity of clinical evaluation,
14 and what you see here is that this is the clinical
15 evaluation's accuracy as assessed by the American
16 Academy of Neurology. They identified a total of
17 three studies that they considered were class one
18 studies, that is, had high quality of evidence, and
19 only one of those focused on patients in early stage
20 of disease, as both the technology assessment and we
21 have focused, patients who have MCI or patients who

22 have mild to moderate Alzheimer's. And that study
23 showed that there was a sensitivity of 83 percent and
24 a specificity of 55 percent if they used probable AD
25 criteria as recommended by the American Academy of

00079

1 Neurology, and otherwise if they used possible plus
2 probable, a slight difference in those. So we took
3 an average between those two since the difference was
4 slight, and some people do actually get diagnosed on
5 this basis in the evaluation that you're going to
6 see.

7 And in the other studies, the sensitivity
8 was much lower with probable AD as a criterion, and
9 it could be increased by adding the possible AD but
10 at the price of specificity, to substantially lower
11 than PET. This is the study that I just talked about
12 with the 94 percent sensitivity and 73 percent
13 specificity, and you can see that's very close to the
14 range of the other studies that have been reported in
15 the literature where PET accuracy was measured
16 against the gold standard of histopathology
17 diagnosis.

18 And to sum those up, if you use the
19 clinical evaluation of probable AD, the sensitivity
20 ranges from 17 percent below to 17 percent above 66
21 percent, the specificity is 77 percent, and the
22 clinical evaluation of probable plus possible, the
23 sensitivity comes up to about the same level that it
24 is in PET, this is taking the average of all those
25 three studies that I showed you on the previous

00080

1 slide, but at the cost of the specificity which now
2 is substantially below that of PET. And if you look
3 at the overall accuracy impact on average prevalence
4 populations, the technology assessment said 56
5 percent and we said 51.6 six percent, so the same
6 ball park. Then the accuracy for the PET in this
7 population turns out to be 85 percent by this
8 assessment and the overall accuracy for conventional
9 is 69 percent. In the technology assessment they
10 estimated their overall accuracy as to the

11 prevalence, which was 56 percent in the case of
12 dementia, which was 80 percent in the case of the
13 MCI, and so if you take a halfway point between
14 there, it would be 68 percent overall accuracy.
15 So what this translates into in terms of
16 number of false negatives and false positives is that
17 in the conventional algorithm, a false negative rate
18 of 8 percent and in the proposed algorithm about
19 3 percent, a false positive rate of 23 percent versus
20 12 percent, and so overall a false diagnosis of 31
21 percent versus 15 percent. And if you measure what
22 that means for 100,000 patients that are evaluated
23 and then clinical impact, for a false negative
24 diagnosis of 100,000 would be, that are fewer by
25 using PET than by not using PET would be about 5,000,

00081

1 and false positive diagnoses fewer by using PET would
2 be about 11,000.
3 And in terms of what that translates into
4 for final clinical output, with PET that corresponds
5 to between 45,000 and 91,000 fewer months of nursing
6 home care needed, and in the case of the false
7 positive diagnosis that are reduced, about 131,000
8 months of unnecessary drug use the patients are saved
9 from. And how does that compare, finally, with what
10 was projected in the clinical algorithm? Well there
11 the overall accuracy that they deduced for PET turned
12 out to be very close to what we deduced, 87.5
13 percent, which they got by a sensitivity that they
14 assume was a little bit lower and a specificity they
15 assumed was a bit higher, and I can talk if there is
16 more time in questions about the exact differences
17 between those and why those arose.
18 And what that means in terms of false
19 positives and false negatives are shown here.
20 There's still a few more false negatives percentage
21 wise, a fewer false positives percentage wise than
22 was predicted, and the total false diagnosis rate
23 they got by this would actually be a little bit less
24 by their projections of PET's accuracy than by our
25 own projections of PET's accuracy.

00082

1 And finally, what that means in terms of
2 clinical outcome can't be predicted exactly with the
3 technology assessment because they made the
4 assumption as was discussed that they didn't specify
5 a sensitivity and specificity, that's why there is
6 nothing shown here. What they did instead is just
7 made the assumption that basically the sensitivity
8 was being operationally set to 100 percent,
9 specificity was being operationally set to 0 percent.
10 But if you use the same clinical data that the
11 American Academy of Neurology has give for that, then
12 these would be the numbers that would apply and
13 again, there would be in this case about 20,000
14 months of nursing home saved by using PET versus the
15 clinical algorithm, and about 200,000 months of
16 unnecessary drug use that would be saved by using PET
17 in the clinical algorithm.
18 So in conclusion then, we have going back
19 to the beginning, changes in the brain that occur in
20 the course of Alzheimer's disease that occur early
21 relative to the time that symptoms manifest. In the
22 TEC assessment they cited literature that shows at
23 least ten years early. And those are changes that
24 are easy to detect by PET so that diagnosis can be
25 made sensitively at an early stage of disease and

00083

1 that translates in terms of clinical outcome into
2 many months of unnecessary nursing home care that are
3 saved and many months of unnecessary drug use that
4 are saved.
5 I'm out of time, a little bit past, so
6 thank you.
7 MS. ANDERSON: Actually, if you'd stay for
8 a second, Dr. Silverman, we're going to allow the
9 panel to address any questions that they might have
10 at this time.
11 DR. SILVERMAN: Sure.
12 DR. McNEIL: I have one question, and your
13 images were really quite lovely, but here's the
14 question regarding the first part of your
15 presentation. The voting question that we have says,

16 is the evidence adequate to demonstrate that PET has
17 clinical benefit in evaluating patients with
18 suspected AD? Could you say a little bit about how
19 the first half of your presentation addresses this
20 question?

21 DR. SILVERMAN: Yes. The panel assigned
22 the technology assessment to consider that question
23 with respect to three sets of conditions,
24 asymptomatic, MCI, and actual AD. So in the case of
25 MCI and asymptomatic -- and we by the way are not

00084

1 arguing for use in asymptomatic patients, only for
2 patients who would essentially be MCI or actually
3 have early stages of dementia. It's important to see
4 that there are changes going on in the brain that
5 it's possible for PET to find, so noting that even if
6 the patient hasn't yet met diagnostic criteria for
7 Alzheimer's disease, that among those portions of MCI
8 patients who will eventually develop Alzheimer's
9 disease, those changes have already occurred in their
10 brains and that those are changes that PET will be
11 able to see in their brains, be able to sort out
12 which of the patients who are coming in as MCI
13 actually do have Alzheimer's in the incipient stage,
14 versus which of the patients actually have other
15 things that are accounting for their impaired
16 cognition.

17 DR. McNEIL: It's a little confusing
18 because the question implies that we are taking a
19 cohort of patients that are patient at time one, at
20 least that's how I read it, and what you're
21 suggesting is that we should be looking forward and
22 following patients, and then at some point make a
23 judgment on the basis of cumulative evidence from
24 many different sources over many many different
25 years. And I'm just wondering if that's what we're

00085

1 supposed to be doing.

2 DR. SILVERMAN: Let me actually give a
3 little bit different perspective on that. In the
4 negative diagnostic sense, that's actually not really

5 what's happening, because what we're saying by a
6 prognostic assessment, and I think that's what you're
7 referring to, is that a patient doesn't have a
8 progressive dementia, so those are patients who
9 shouldn't be treated if you're going to treat
10 patients based on what the diagnosis is.

11 DR. ALBERT: I have a question about the
12 answer you just gave. Did you present any data about
13 MCI just now? I didn't think that you did.

14 DR. SILVERMAN: Yeah, all this data
15 applied to patients based on being in an early stage
16 of cognitive decline regardless of whether by
17 clinical evaluation that would lead the
18 categorization as MCI versus, that may be on its way
19 to AD, versus having actual mild AD.

20 DR. GUYTON: Then where did your number of
21 100,000 people evaluated come from, is that some
22 number that are evaluated over a certain period of
23 time?

24 DR. SILVERMAN: No, no, I'm just saying
25 per 100,000 evaluated, that's a number just to give a

00086

1 rate so that I can get rid of decimal points
2 basically. So I gave false positives, false
3 negatives in percentages, which would be per 100, but
4 those had numbers like 5.16, so in order to clear the
5 decimal points I just said per 100,000.

6 DR. GUYTON: And how does that translate
7 into 131,640 fewer months per year? I don't
8 understand where those numbers relate.

9 DR. SILVERMAN: That's actually a very
10 simple calculation, and that was supposed to say
11 months of unnecessary drug use, so that's based on
12 11,000 fewer false positive diagnoses, and so if
13 patients who have the diagnosis are being treated,
14 that means there's an extra months per year, 130,000
15 patient months per year who are getting treatment
16 that they don't need.

17 DR. GUYTON: How did you come up with the
18 130,000?

19 DR. SILVERMAN: Just 12 times 11,000,
20 that's the number of months per year. So if this is

21 a false positive diagnosis rate, there are 11,000
22 fewer, which would correspond to a 130,000 fewer
23 months per year of unnecessary drug treatment. I put
24 it in terms of months because that's how the nursing
25 home data is generated, so to make those comparable,

00087

1 but how long that goes depends on how many years
2 those patients remain on therapy, which in many cases
3 could be much more than one year.

4 DR. NEUMANN: You mentioned two outcomes
5 that were not mentioned in the Matchar model that
6 could be important here. One is months without drug
7 use, and the other is months of nursing home care
8 needed. I understand if you compare PET versus drug
9 how you could get many fewer months without drug use
10 by testing. What's not clear to me is how you get
11 the fewer nursing homes, if you're comparing it to
12 treating everybody for example, as in the Matchar
13 model, and you assume that the drugs work, how do you
14 get that conclusion?

15 DR. SILVERMAN: That's a very good point
16 and the assumption here is that patients will be
17 treated according to their diagnosis, whether that
18 diagnosis is made without the use of PET or whether
19 the diagnosis is made with the use of PET. And so
20 the difference in the diagnosis of the rate of
21 Alzheimer's disease is this difference of in this
22 case 5,000 per 100,000 people evaluated, and then
23 that translates directly into nursing home care by
24 taking an abundance of literature on rivastagmine,
25 anglatamine and epistagmine, showing that there is

00088

1 about a 9 to 12 month delay in the progression of
2 symptoms. And in the case of the TEC assessment,
3 they used I think an ultraconservative estimate of
4 six months and if you use that then this would
5 translate into 30 months of nursing home care that
6 would be saved.

7 And the second part of your question is
8 how does it compare to treating everybody. If you're
9 treating everybody then that would not be the way

10 that you would make this comparison, but if you treat
11 everybody, there's no point in doing a TEC assessment
12 in a sense because it doesn't matter what the test
13 is. Any test that doesn't label 100 percent of the
14 patients as having the disease would come out with
15 the same shortcoming.

16 DR. NEUMANN: Right. But to make an
17 apples to apples comparison of your evaluation with
18 the Matchar model, you would want to compare PET
19 versus treating everybody and --

20 DR. SILVERMAN: Yeah, I would have loved
21 to be able to make an apple to apple comparison by
22 having them do their TEC assessment in the way that
23 we generated these numbers. That's why I put these
24 in parentheses, because they didn't do it that way.
25 They already, you know, told you what the numbers

00089

1 would be if they did it their way, so I was trying to
2 show what the numbers would be if they did their
3 analysis our way.

4 DR. BURCHEIL: I'm still confused about
5 the nursing home question, and maybe I'm just missing
6 something, but the nursing home consumption is based
7 on a functional status, not on the basis of test
8 positivity. I mean, I can understand treating all,
9 or even treating a group with drug, but you're not
10 going to hospitalize someone for a positive test;
11 they are hospitalized or placed in long-term care
12 based on their functional status.

13 DR. SILVERMAN: That's absolutely right.
14 And so what the nursing home care is based on are
15 patients who actually have Alzheimer's but then fail
16 to get treated because they're not diagnosed with
17 Alzheimer's, and so then they progress 9 to 12 months
18 faster and so have that much more nursing home care
19 needed, and that's done by progression data. There's
20 also direct empirical data that have looked over the
21 long term of the number of months of nursing home
22 care that's actually saved in patients who get
23 treated versus patients who don't get treated and
24 those range from between 9 and 24 months.

25 DR. ALBERT: And what about the reverse,

00090

1 the people who are said not to have the disease on
2 the basis of the test but do, and don't get treated?
3 DR. SILVERMAN: Yes, those would be
4 patients who would have a false negative, and there
5 would be more patients if you didn't insert PET into
6 the algorithm who would be assigned a false negative
7 diagnosis than if you did insert PET into the
8 algorithm. So those patients are patients who are
9 suffering the worst possible consequence, that is,
10 there's a drug available for them, but they're not
11 getting treated for, but without the insertion of
12 PET, more patients would miss being diagnosed as
13 Alzheimer's disease.

14 DR. McNEIL: I have one question. It's
15 very hard, as you can imagine, to evaluate a model
16 that's this complicated with a few slides, so here's
17 my question. In maybe one sentence, could you tell
18 me if you put in the treat all option in your model,
19 if you had done your analysis with a treat all option
20 and had excluded as an outcome nursing home days,
21 would your results differ from any of the broad range
22 of sensitivity numbers that the Duke model showed and
23 if so, what would be the key component contributing
24 to the differences in the sensitivity analysis in the
25 broad range, not talking about point estimates, but

00091

1 within the sensitivity range?
2 DR. SILVERMAN: That question we can
3 answer numerically here. And for PET, there is not
4 any substantial difference in overall accuracy of PET
5 based on --
6 DR. McNEIL: No, that's not my question.
7 My question is, if you were to take your model and
8 carry it out to impact on outcomes, quality adjusted
9 life years, and put the treat all option as one of
10 the original three decision nodes, would there be a
11 difference?
12 DR. SILVERMAN: This model was actually
13 not designed to query for quality adjusted life years
14 because as was pointed out by Dr. Matchar, it's

15 unclear whether it's good to have people live longer
16 in an advanced state of dementia. What we really
17 want to do is keep people from being severely
18 demented during the time that they're alive. So we
19 made as our outcome measure there and as a proxy for
20 that, the number of extra nursing home months that
21 would be needed, as indicating the severe functional
22 decline associated with the dementia.
23 DR. PAPANICHOPOULOS: But she is asking you
24 to hypothesize, let's say that you could do this,
25 what would you predict, if any difference would occur

00092

1 in your model versus the Duke model.
2 DR. SILVERMAN: I think that there would
3 not be much. I mean even in the Duke model what you
4 saw was differences of about one to two
5 one-hundredths of years between the two, and so
6 whether you bring down the clinical a little bit or
7 leave it where it was, it will just be a matter of
8 whether it's one or two hundredths above or one or
9 two hundredths below when using those measures.
10 DR. NEUMANN: We haven't done the analysis
11 so we don't know exactly, but my strong guess, the
12 answer to your question is nothing is going to change
13 the base conclusion of the Matchar model. You might
14 have a slightly different number on percent diagnosed
15 correctly in your model, my guess is you will have a
16 slightly different number. The other thing you have
17 that the Matchar model does not have is this number
18 of unnecessary months on drug treatment, and that's
19 an addition to the model but doesn't change the basic
20 conclusion of the model.
21 DR. SILVERMAN: No, as far as unnecessary
22 months, I mean that's easily derivable from their
23 model and they don't measure that because they assume
24 it's unimportant. But as far as the thing that would
25 really change the clinical outcome, you say nothing

00093

1 would much change in our model; nothing would much
2 change in our model if you begin with the assumption
3 that you treat every person regardless of what the

4 diagnosis is, as long as they are symptomatic either
5 for dementia or symptomatic for MCI, which is what
6 happens in their two trees. Now that's self
7 evidence, but that's not what happens in real --
8 well, you'll hear Dr. Small talk more about what
9 happens in real practice is that not every patient
10 who comes through that has symptoms gets treated as
11 if they have Alzheimer's disease, and it's not clear
12 whether that should happen. There's a number of
13 reasons why to suggest that that shouldn't happen.
14 And as the panel raised in their questions
15 during that time, it's unclear whether even if we
16 thought that that should happen, whether you could
17 get patients to buy into that and have them be
18 treated in that way. But we can think of it in maybe
19 concrete terms in that if -- you probably all have
20 recognized some change in memory or language
21 abilities in a father or mother or husband or wife,
22 or maybe in ourselves, and if we to the doctor and
23 said you know, I have some symptoms, and the doctor
24 does the workup and says yep, you have those
25 symptoms, let's start you on a drug in case it's

00094

1 Alzheimer's disease, compared to going through a
2 diagnostic process that says yes, we can say with
3 high likelihood that you do have or don't have
4 Alzheimer's disease, the likelihood that you would
5 get patients to be able to buy into those kind of
6 treatments is probably going to be very individual
7 and not going to be uniformly applied across the
8 patient population.

9 MS. ANDERSON: Thank you, Dr. Silverman.

10 And just one last thing which is, for the record I
11 need you to declare whether or not you have any
12 financial interest with the manufacturers of PET or
13 with their competitors.

14 DR. SILVERMAN: I have no financial
15 interest with any manufacturer of any instrumentation
16 related to PET or any PET related pharmaceutical.

17 MS. ANDERSON: And your affiliation?

18 DR. SILVERMAN: With the University of
19 California Los Angeles School of Medicine.

20 MS. ANDERSON: Thank you.
21 DR. PAPATHEOFANIS: There is one last
22 question.
23 DR. TUNIS: I just wanted to clarify. So
24 in the effectiveness of drug treatment that comes
25 from the clinical trials, all those patients were

00095

1 enrolled in the trials based on the clinical
2 diagnosis of suspected dementia, to be eligible for
3 the drug trials. So now when you're looking at those
4 who are, in modeling those who are confirmed to have
5 Alzheimer's by PET, are you assuming then that the
6 ones who were an errant clinical diagnosis would have
7 in fact not benefitted from drug therapy? Is that a
8 reasonable judgment or extrapolation from your trial
9 data?

10 DR. SILVERMAN: No. Really the answer to
11 that question is not known one way or the other. We
12 are in the case of patients who have MCI, there are a
13 number of patients who have some degree of cognitive
14 impairment that has nothing to do with Alzheimer's or
15 any other neurodegenerative disease and may even just
16 be related to processes that don't need treatment at
17 all. So we are assuming that in the patients in the
18 MCI category that those who actually have Alzheimer's
19 disease in their brain are more likely to benefit
20 from the drug treatment than patients who don't
21 actually have Alzheimer's disease in their brain.

22 DR. TUNIS: But the trials don't actually
23 tell us that, because those trials are treating
24 people, some of whom do and some of whom don't.

25 DR. SILVERMAN: That's right. So the data

00096

1 for that don't exist one way or the other, so the
2 technology assessment made the other extreme
3 assumption that because even despite that those
4 numbers are not in, that every patient with MCI who
5 gets treated will have an equal likelihood of
6 actually responding to the drugs and will respond to
7 the drugs, which that clearly is untrue, and the
8 question is how untrue is the other assumption.

9 DR. PAPATHEOFANIS: Thank you.
10 DR. SMALL: Good morning. My name is Gary
11 Small. I am a professor of psychiatry and aging at
12 UCLA. I appreciate the opportunity to speak to the
13 panel about this issue. I do not have any conflict
14 of interest with the relevant companies in these
15 discussions regarding imaging. I have been working
16 in this field for about 20 years now taking care of
17 patients with dementia and Alzheimer's disease,
18 working with imaging probably more in the last 15
19 years, working in the research with PET and other
20 imaging modalities, and also in the use of PET in my
21 own clinical practice. So I'm going to present a
22 clinical perspective augmenting some of the comments
23 that Dr. Silverman just made.
24 Now we've heard some of these estimates
25 that you see here. We know that dementia and

00097

1 Alzheimer's disease are age related illnesses; 5 to
2 10 percent in the 65 plus age group suffer from these
3 conditions, about four million people in the U.S.
4 The costs are quite high, and there are a number of
5 different estimates about the costs. Unfortunately,
6 many mild cases go unrecognized and as we have heard,
7 most of the time dementia is from Alzheimer's
8 disease, about two-thirds of the time.
9 In fact, if you look at studies like the
10 one we see here, you have about 66 percent of
11 patients with Alzheimer's disease, other progressive
12 dementias are causing some of the dementia symptoms,
13 and then we have potentially reversible causes in
14 about, completely reversible causes about 5 percent
15 of the time. With Alzheimer's disease we have a
16 gradually progressive course and it starts out with
17 very mild cognitive symptoms we've heard about, it
18 affects the person's daily activities, their ADLs or
19 activities of daily living become impaired, there are
20 behavioral problems eventually, there's nursing home
21 placement and death, and this can happen over the
22 course of years. We receive the mini-mental state
23 score versus number of years.
24 We have a lot of challenges with the

25 diagnosis and I thought it would be helpful to review

00098

1 a few points about the real world in terms of
2 diagnosis. The first is that PCPs or primary care
3 physicians are the ones who are caring for most of
4 these patients; about 64 percent of dementia patients
5 are cared for by the generalists. A study a few
6 years ago found that unfortunately, these primary
7 care physicians have limited knowledge of Alzheimer's
8 disease and dementia. In fact, in Barrett, et al.,
9 in their study only 40 percent of the PCPs knew that
10 Alzheimer's disease was the most common cause of late
11 life memory loss compared with experts who knew or
12 had about a 97 percent knowledge rate. Primary care
13 physicians also usually do not use the standard
14 diagnostic criteria to make their diagnosis that we
15 have heard about from the American Academy of
16 Neurology and other groups that have come up with the
17 standard diagnostic methods.
18 Callahan and coworkers found that there is
19 a very high rate of misdiagnosis with moderate
20 dementia, it's about 75 percent in this particular
21 study of several thousand patients. In mild dementia
22 it can be as high as 97 percent. And with
23 under-recognition we all know about some of the
24 problems. We get higher hospitalization rates, ER
25 visits, motor vehicle accidents, medication errors,

00099

1 morbidity and mortality.
2 We have heard about the current standard
3 approach to diagnosis and assessment, I won't go over
4 this in detail. I sat on the recent panel of the
5 American Academy of Neurology looking at the
6 diagnostic approach, and I will talk about that in
7 just a moment. We have heard about the treatments,
8 there are many potential treatments out there, but
9 right now the standard of care is to use a
10 cholinesterase inhibitor and vitamin E.
11 It's interesting when you look at this
12 cartoon showing us some of the cholinergic
13 projections that the basal forebrain has a

14 concentration of cells that produce acetylcholine.
15 These cells project to the frontal cortex, parietal
16 cortex, hippocampus or temporal regions, the areas
17 that we see on the PET scan where there are decreases
18 in function in Alzheimer's disease. These drugs as
19 we have heard will not only improve memory and
20 retention but they will delay decline, they delay
21 nursing home admission, they also benefit behavior,
22 the activities of daily living, and appear to improve
23 caregiver burden or at least slow down the worsening
24 of the caregiver burden.
25 Now this was a study that was published

00100

1 about a year or so ago, and it's one of the typical
2 clinical trials that are done with these
3 cholinesterase inhibitor drugs, and they're based on
4 samples of hundreds of patients with Alzheimer's
5 disease, mild to moderate severity in general, and
6 what we see in the vertical axis is the change in the
7 A-COG score, which is what is used to test these
8 drugs, and here we have months of treatment. The
9 drug being tested here was Galantimine and it was
10 compared with a placebo group. And we see over the
11 first six months that there is in general improvement
12 in the active drug group in the double blind trial,
13 and you can see the placebo group worsens over the
14 six-month period. Now what's interesting about this
15 study, and I have seen a similar study with
16 rivastagmine, another cholinesterase inhibitor, what
17 they decided to do in an open label fashion after six
18 months was to put the patients in the placebo group
19 on active drug, and you see there is improvement in
20 that group, but that group never quite gets to this
21 level of cognitive function that people who started
22 out six months ago on active drug are at, and that
23 difference continues to the 12-month period. Now
24 there are methodologic issues such as dropouts and
25 other issues about interpreting these kinds of data,

00101

1 but certainly it is interesting that there is this
2 apparent loss of gain, or let me say it this way,

3 there is an apparent gain if you start patients
4 earlier in their treatment, arguing for earlier
5 detection or advantages for earlier detection.
6 Another interesting observation. When you
7 put patients on these drugs, I mentioned that there
8 are behavioral benefits. We can see that in this
9 analysis which we published a few years ago where
10 when we compared a group of patients on active drug,
11 and in this case it was Donepezil, versus a group
12 that was not on the active drug, that you see the
13 patients who were on the active cholinesterase
14 inhibitor tend to use fewer antidepressants,
15 antipsychotic medicines, antianxiety agents and
16 sedative hypnotics. In fact, the differences were
17 significant for most of these agents.
18 And just to show you some data on the
19 effect on caregiver burden, this again was a
20 six-month trial with Galantimine and the measure on
21 the vertical axis has changed from baseline in daily
22 time spent assisting with activities of daily living,
23 and this is the actual time the caregiver spent each
24 day. I think these are remarkable data because what
25 you find over a six-month period, that patients on

00102

1 placebo, their caregivers are on average spending
2 another 20 minutes per day on their patients. By
3 contrast, the patients who are on active drug, there
4 is a reduction of 40 minutes per day, so you're
5 talking about a substantial amount of time, maybe an
6 hour's time per day per caregiver, which really adds
7 up.

8 One of the assumptions that we've heard
9 about today is that these drugs are pretty benign,
10 that they cause some temporary side effects, that
11 people tolerate them well, and so we can possibly
12 assume that there is no downside in taking a
13 cholinesterase inhibitor. Well, I thought I would
14 present a contrary point of view taken from the
15 clinical trials to date, including data from the PDR
16 and other clinical trials. This just gives you the
17 most frequent side effects you see from
18 cholinesterase inhibitors, generally GI side effects

19 such as nausea, vomiting, anorexia dyspepsia. You
20 can also see bradycardia and in some cases some
21 agitation. In the clinical trials that I reviewed
22 here, nausea occurred in from 5 to 50 percent of
23 patients, compared with the placebo group where you
24 see it from 3 to 28 percent, and that's going to vary
25 depending on which cholinesterase inhibitor you use,

00103

1 how aggressive you are in increasing the dose. It is
2 true that these effects tend to improve with time and
3 if you increase the drug gradually, you are going to
4 get fewer of these side effects. However, you still
5 have dropouts from these clinical trials due to these
6 adverse events anywhere from 7 to 32 percent of the
7 time, compared with placebo treatment arms where it's
8 1 to 8 percent.

9 There's an added value of early diagnosis.
10 We've heard about some of these arguments before. We
11 can identify candidates for treatment before there is
12 extensive neuronal loss. Early on we're going to
13 have the greatest impact. We heard about the
14 argument that we don't want people to get to a severe
15 dementia stage so if we can detect people earlier, we
16 can treat them earlier and delay the onset of that
17 severe dementia stage. There is a potential cost
18 saying by avoiding years of multiple diagnostic
19 evaluations and I will give you some examples from
20 our own clinical case material about how that
21 happens. And even if we didn't have effective
22 treatments, there are many people, and I've seen it
23 in my own clinical practice, who want to know about
24 their prognosis while their mental faculties are
25 intact so they can plan for the future.

00104

1 We saw from Dr. Silverman some of the
2 benefits of PET in terms of the sensitivity and
3 specificity. We know that Alzheimer's disease is
4 prevalent, it can be treated, and we can treat it in
5 the early stages. The current approach to the
6 dementia diagnosis often involves multiple costly
7 assessments performed over years. PET provides an

8 early differential diagnosis for Alzheimer's and
9 other dementias. And in fact we can see this classic
10 Alzheimer's PET pattern many times in patients even
11 years before the diagnosis can be confirmed
12 clinically.

13 This shows you one case of a patient,
14 here's the MRI scan and the PET scan, and the MRI
15 shows atrophy and some white matter changes, some
16 periventricular capping, nonspecific findings that
17 don't help you with a positive diagnosis of
18 Alzheimer's. By contrast, the PET scan shows you
19 this parietal temporal deficit which is diagnostic
20 early on.

21 Now in the American Academy of Neurology
22 practice guideline committee, we used an evidence
23 based approach to the diagnosis and we had different
24 classes of evidence, and if we look at class one
25 studies that are relevant here, and the class one

00105

1 study would be defined as having prospective design,
2 a broad spectrum of patients, gold standard for case
3 definition and blinded evaluation, there was really
4 only one study of standard clinical diagnostic
5 methods used in the early detection of dementia that
6 met these criteria, and that was a study that Lim and
7 associates published a few years ago in the Journal
8 of the American Geriatric Society.

9 There were two other studies that met
10 class one definition of the standard diagnostic
11 criteria but these were really studies of patients
12 who were in the moderate to the more severe stages of
13 dementia. In this study they actually spent several
14 years in making their diagnosis. So what they found
15 after several years of assessment and using as the
16 outcome measure autopsy confirmation, diagnostic
17 accuracy in the Lim study was in about the mid-80s
18 and specificity was 50 to 55 percent.

19 By contrast, if we look at the study that
20 Silverman and associates published just recently
21 where we looked at a single baseline PET scan, and
22 these are some of the data that Dr. Silverman just
23 presented, in 284 patients, so we're looking at a

24 single baseline PET scan comparing another level one
25 or class one study that had multiple assessments over

00106

1 several years, we see this higher sensitivity in the
2 90s and specificity in the 70s.
3 Now here are just a few examples from our
4 case material in our memory clinic. This was a
5 73-year old widow who was brought in by her adult
6 children after a year of symptoms of depression and
7 forgetfulness after the husband's death. There had
8 been a normal MRI scan except for some nonspecific
9 atrophy, and the question I was faced with was
10 whether to treat her with an antidepressant drug or
11 to start a cholinesterase inhibitor. And being aware
12 of some of the delayed start data I showed you
13 earlier, that is, if I wait to start her
14 cholinesterase inhibitor, if I spend months trying to
15 adjust her antidepressant, I may lose ground, I may
16 not get the best benefit. So we did a PET scan, a
17 PET-FDG scan, and we saw parietal temporal deficit
18 and started her on a cholinergic drug and in fact her
19 cognitive symptoms not only improved but we saw some
20 improvement in her depression as well.
21 Here's a second case of a woman who had
22 multiple examinations by psychiatrists and by
23 neurologists over about a two-and-a-half year period
24 and serial MRI scans, and there were many different
25 diagnoses including depression, attention deficit

00107

1 disorder, fibromyalgia and so forth, and the woman
2 had really not gotten any definitive treatment. We
3 did a PET scan and it showed this Alzheimer pattern.
4 We started her on a cholinergic drug and we saw
5 improvement within a matter of a few weeks.
6 When we looked at some of the initial
7 cases in our memory clinic, and these are people who
8 come in, the clinic tends to focus on people with
9 milder memory complaints, and they have some concern
10 about their cognitive complaints, and of the first 60
11 patients we thought that a PET scan would be useful
12 in 38 percent of them, because of diagnostic

13 question. If a patient already has moderate dementia
14 and it's pretty obvious what they have, we're not
15 going to get a PET scan. In this series of patients
16 we found that about half of them, 57 percent had
17 essentially a normal scan. When we just looked at
18 the MCI subjects, we found that about 50 percent had
19 a normal scan; on the other hand, of the total, 43
20 percent of the patients had a pattern that was
21 consistent with a neurodegenerative disorder.
22 We have been doing research over a number
23 of years focusing on this asymptomatic group, people
24 who have no symptoms or else have mild symptoms, and
25 may not get MCI or dementia for a number of years,

00108

1 maybe even decades. And we found that when we
2 combine information about genetic risk such as the
3 EPO-E4 alial that you can begin to see this
4 Alzheimer's type pattern with the parietal deficit,
5 the posterior singlet and temporal deficit in these
6 people, and this is a very interesting area of
7 research, and in fact we're doing some studies right
8 now, clinical trials in people who have age
9 associated memory impairment. But this is not
10 something that we think is ready for general clinical
11 use. We think we need to do the studies to show that
12 it actually is effective in an asymptomatic person or
13 not effective, before we can make that kind of
14 recommendation.

15 Just to wrap it up with a few key points
16 to summarize what I have said, first, the diagnosis
17 of dementia is missed in a large proportion of
18 patients. We saw the data from Callahan, et al., and
19 there are other data confirming this. The current
20 clinical approach to the dementia diagnosis is often
21 inaccurate and it involves multiple examinations over
22 the years. We saw that from our own individual
23 clinical cases and we have seen that again and again
24 from the different summary studies. Current
25 treatments are effective, but they do have side

00109

1 effects, and this would raise questions about the

2 assumptions we've heard earlier that there is no
3 downside in using a cholinesterase inhibitor drug.
4 We've seen that PET adds to the current clinical
5 approach by adding early diagnostic accuracy and
6 reducing the need for repeated clinical examinations.
7 When should PET be used? My answer to
8 that would be to assist in the early diagnosis of
9 dementia based on the evidence. And what would be
10 the effect of using PET? It's clear to me that we
11 would have more accurate and earlier diagnoses; the
12 result would be better treatment outcomes. We'd have
13 fewer unnecessary clinical assessments and we'd have
14 earlier treatment when drugs are most effective.
15 Thank you very much for your time.

16 MS. ANDERSON: Dr. Small, if you will stay
17 for a second, we can have the panel address you with
18 questions if they have any.

19 DR. LERNER: If PET weren't available or
20 weren't on the table, you know, for coverage, as a
21 clinician, what would you do, what would be the best
22 thing you could do to diagnose patients earlier or
23 better if you didn't have this tool?

24 DR. SMALL: Well, without PET, the best
25 thing I could do would be to use the approach that

00110

1 the American Academy of Neurology has recommended,
2 the standard clinical assessment where we get a good
3 history, we use the laboratory to rule out treatable
4 causes, and get an MRI scan to see if there is any
5 identifiable lesions, so I'd use the standard
6 approach as best I could.

7 DR. LERNER: Let me just take it a little
8 bit further. The standard approach now doesn't get
9 us where we want to go. Do you think this is an
10 issue of better education of clinicians in the
11 standard approach, again taking PET off the table,
12 would you imagine that using the guidelines more
13 effectively, you know, getting them actually used by
14 clinicians would make a big difference to patients,
15 or what -- what I'm trying to do is take it away from
16 just the technical issue, asking a sort of broader
17 question, what could you do to take care of the AD

18 patients in diagnosing them?
19 DR. SMALL: Well, there's many things we
20 could do. Certainly education is a big issue. I've
21 been involved and I know others here, colleagues have
22 been involved in medical education programs for
23 years. They are helpful, but as you can see from
24 some of the data, they don't always have the impact
25 that we'd like them to have. And whether we're using

00111

1 a standard approach or a new technology, there is
2 always going to be an education gap. I know when I
3 went to medical school, we had a paragraph in our
4 pathology textbook about Alzheimer's disease and that
5 was it. So we're dealing with a cohort of physicians
6 that have a lack of education, so that certainly is
7 something that we need to do, education is very
8 important.

9 DR. LERNER: Let me try one more thought
10 along these lines. Are there improvements in
11 clinical workups through other research, behavioral
12 research, that you think are in the wings that would
13 give you an alternative way of getting better
14 diagnoses now.

15 DR. SMALL: I don't. I think, certainly
16 you have expert clinicians who are outstanding in
17 identifying cases early on. To my knowledge, I don't
18 know of another approach that can be used so
19 consistently to get this kind of sensitivity and
20 specificity.

21 DR. ALBERT: I have a question that
22 relates to the question that I asked Dan before. I'm
23 a little confused about the data in the Silverman
24 et al. publication. As I read it, it doesn't look to
25 me like it's about very early patients, it looks to

00112

1 me like maybe 60 some odd of the cases had
2 mini-mentals of 20 or higher. Am I misunderstanding
3 what the publication sets out?

4 DR. SMALL: I'll let Dan address that.
5 And actually, let me go back to that. There was
6 another question earlier about his data, and what

7 those data showed, we're basing it on a single
8 baseline PET, okay? And when you look at the
9 follow-up data, that is clinical follow-up, and so
10 the question was, here's the baseline PET, how does
11 that predict that clinical follow-up over the
12 following years, so there was only one PET scan
13 involved in all of those assessments, that's the
14 first thing.

15 The second thing, in terms of the milder
16 cases, I know that we did stratify the sample and
17 look at the question in terms of people with
18 mini-mental states 25 and above, so many of those
19 people would have MCI and there would be milder
20 cases. Dan, what number was in that 25 or above
21 mini-mental state group?

22 DR. SILVERMAN: The sensitivity and
23 specificity we looked at were just of the patients in
24 the earliest stage of disease, and they were
25 essentially unaffected; instead of 94 percent

00113

1 sensitivity it yielded 95 percent sensitivity, and
2 the specificity went down like 2 percent, and the
3 overall accuracy was about the same.

4 DR. SMALL: How many subjects had
5 mini-mental states 25 or above?

6 DR. SILVERMAN: Actually the mean was
7 about 24, and the median was two points higher than
8 that.

9 DR. SMALL: So it was more than half.

10 DR. ALBERT: How many subjects?

11 DR. SMALL: There were almost 300
12 subjects, so we're talking about now over 100
13 subjects, maybe 110 were in the mild range.

14 DR. NEUMANN: Side effects of drugs loomed
15 large in your remarks and are potentially very
16 important in this decision. The Matchar model says
17 as long as dysutility from drugs is not that great,
18 .6 is the number they gave, as long as the dysutility
19 is not greater than .4, treat all is still the
20 preferred strategy. So you need quite of bit of
21 dysutility from using drugs. Dysutility of .4 is
22 typically much larger than you'd get from nausea and

23 GI side effects and so forth, so that's one question,
24 just how severe are these side effects and what the
25 implications are.

00114

1 And I guess another part of that is in
2 your experience, are there many patients you are not
3 treating with suspected mild to moderate dementia
4 precisely because of the side effects?

5 DR. SMALL: Peter is testing my short-term
6 memory and asking me a two-part question. What was
7 the first part again? What about the side effects
8 and --

9 DR. NEUMANN: You need a pretty big
10 decrement in utility in the Matchar model to change
11 the basic conclusion that treating all is the
12 preferred strategy, so the question really is how big
13 are these side effects and how important, and how
14 much dysutility would they bring.

15 DR. SMALL: You know, it depends on how
16 bad are the side effects, it depends on who's using
17 the drug and who the patient is. I showed the data
18 and they tell you something about that, those are
19 from clinical trials, so we know that's not the real
20 world, it's going to be different in the real world
21 and in fact in the real world we tend to see things
22 worse because we don't have such a pure sample, we
23 have people with comorbidities who are taking other
24 medications, and in fact the side effect profiles may
25 be a little bit worse than what we see in some of

00115

1 those clinical trials. In my own experience there
2 are people who do get quite uncomfortable and go off
3 the drugs and do have problems with them. And in
4 fact the idea of treating asymptomatic people, there
5 are many asymptomatic people who wouldn't want to
6 take these drugs; in fact, I know symptomatic people
7 who are reluctant to take it and are concerned about
8 it, so I think that's a problem with the assumption
9 in terms of minimizing the side effects. And when we
10 start talking about lots of people taking these
11 drugs, it concerns me.

12 I mean my own approach, those of you who
13 know my research, you probably know that my point of
14 view or my basic theory or hypothesis is that
15 Alzheimer's starts decades before we call it that
16 based on our criteria, and right now I'm trying to
17 test that hypothesis that maybe all of us should be
18 on these drugs, but I'm not ready to go there until I
19 have the evidence.

20 DR. LERNER: Can I ask a related question?

21 Are there known bad drug interactions? Take your
22 widow case who suffered from depression as well as
23 Alzheimer's. Can you give both drugs, or what are
24 the drug interactions?

25 DR. SMALL: Well, you can give both drugs.

00116

1 There are drug interactions. You know, there are the
2 P-450 isoenzymes that tend to metabolize many
3 antidepressants as well as the cholinesterase
4 inhibitors, so drug interactions are definitely an
5 issue we have to be aware of. We can do it, but we
6 have to watch for it. And most older people who are
7 at risk for dementia are on multiple medications,
8 there's no question about that. Polypharmacy is a
9 big issue in geriatric practice.

10 DR. LERNER: Sure. But in this case do
11 you consider that? I mean, there is nothing special
12 about those two drug interactions, what you would do
13 for depression as opposed to Alzheimer's.

14 DR. SMALL: I was just giving that as one
15 example but many of our older patients are on
16 multiple medicines for physical illnesses and we have
17 to be concerned about that as well.

18 DR. JOHNSON: You alluded several times to
19 the cost of applying the accepted Academy model with
20 respect to potential delays in diagnosis, repetitive
21 evaluations and so forth. I'm wondering if you are
22 aware of any data that actually attempts to quantify
23 this with respect to number of individuals and length
24 of time for which this may be an issue, potentially
25 delaying treatment.

00117

1 DR. SMALL: Studies that have specifically
2 looked at the delay in treatment, looked at that
3 question, I'm not sure. Dr. Silverman, do you know a
4 specific study that addresses that question?
5 DR. SILVERMAN: (Inaudible.)
6 DR. TUNIS: Let me make one comment and
7 sort of a question and then a second question. The
8 first comment is I think that the issue of primary
9 care physicians not making the diagnosis of mild or
10 moderate dementia isn't directly related to the
11 question on the table related to the utility of PET,
12 because if those folks aren't making the diagnosis,
13 they are not referring people for PET, unless you are
14 suggesting that PET be used as a screening tool
15 amongst the elderly in which case it would detect
16 unsuspected cases of dementia. But we're not really
17 considering that as a coverage issue because Medicare
18 doesn't pay for screening tools.
19 SPEAKER: The question is the clinical
20 accuracy of the statement, it's (inaudible).
21 DR. TUNIS: Right, but if the primary care
22 physician isn't suspecting mild dementia they're not
23 going to be ordering a PET scan.
24 DR. SMALL: I'm not advocating screening,
25 that everybody today has to go and get a PET scan.

00118

1 And even me, who forgot the two-part question of
2 Dr. Neumann, not for screening, but I think it was to
3 really put in perspective what is going on in the
4 community, and that the gold standard of clinical
5 diagnosis, the assessment is really not what's being
6 used out there, just to put things in perspective.
7 DR. TUNIS: And the question I noted, so
8 you're one of the authors on the Academy of Neurology
9 guideline published May of last year regarding
10 diagnosis of dementia, which specifically did not
11 recommend using PET for the diagnosis of dementia,
12 and I'm just wondering what information has accrued
13 since that guideline was done that has either
14 convinced you or convinced that committee of the
15 utility of PET.
16 DR. SMALL: Well, the committee was very

17 strict on their evidence based approach, and if
18 something had not been published in a refereed
19 journal they would not consider it. And since that
20 committee met and came about their conclusions, the
21 publication that Dr. Silverman talked about or the
22 data he talked about on those 284 patients collected
23 from around the world actually, that was published in
24 JAMA just a few months ago.
25 DR. TUNIS: So it would be the Silverman

00119

1 paper that would?
2 DR. SMALL: That's correct.
3 DR. TUNIS: And the Silverman paper, would
4 it meet the criteria for a class one study?
5 DR. SMALL: Well, I think it would.
6 That's why I put up those criteria up there in terms
7 of a broad base of patients, in terms of a gold
8 standard of diagnosis, blinded evaluation of the
9 tests and so forth.
10 DR. TUNIS: So the Silverman study meets
11 all those standards?
12 DR. SMALL: I would say so, yes.
13 DR. LERNER: Then is the Academy
14 reconsidering its decision based on Silverman?
15 DR. SMALL: I have been in communication
16 with some Academy members. I don't know of any
17 formal process being initiated. I mean, these
18 guidelines are reviewed periodically. The last time
19 they looked at it, I think it was about six or seven
20 years before that, so they may or may not respond
21 quickly to these new data, I don't know. Not being a
22 member of the Academy, I don't know.
23 MS. HART: I would like to ask about the
24 costs of the conventional testing that's done as
25 opposed to PET testing and I'm curious as to whether

00120

1 some or all of that testing is generally covered by
2 Medicare now.
3 DR. SMALL: I was instructed not to talk
4 about costs? Can I talk about it now.
5 DR. TUNIS: We'll stay way from that for

6 now.
7 DR. PAPATHEOFANIS: It's really not
8 relevant to what we're trying to evaluate, but you
9 may have a comment about coverage to address Sally's
10 question.
11 DR. TUNIS: I'm sorry, can you repeat the
12 question?
13 MS. HART: My question was about the costs
14 of the conventional testing as opposed to PET and
15 whether those costs are generally covered by Medicare
16 now.
17 DR. TUNIS: They are generally, if it's,
18 the testing of clinical evaluation as well as
19 structural imaging, those are covered services under
20 Medicare.
21 DR. PAPATHEOFANIS: Thank you.
22 MS. ANDERSON: We have a final speaker to
23 conclude this portion of our agenda, and that is
24 Dr. Peter Conti, who is indeed from USC, not UCLA,
25 mea culpa. I would say that all California schools

00121

1 are the same, but my stepbrother, an alumnus of USC
2 would say different. Sorry about that.
3 DR. CONTI: Thank you very much. It will
4 be determined tonight on the basketball court. My
5 name is Peter Conti and I'm associate professor of
6 radiology at the University of Southern California
7 and today am speaking for the Society of Nuclear
8 Medicine. My personal conflicts are as follows: I
9 do have some federal PHS support for research done
10 with PET both experimental in existing
11 radiopharmaceuticals. I have served on the speakers
12 bureau for several of the manufacturers of both
13 isotopes and commercial manufacturers of equipment,
14 and I have received consulting fees from those as
15 well. But as I said, I am speaking now for the
16 Society as opposed to myself.
17 On behalf of the Society I would like to
18 offer our strong support for the addition of
19 Alzheimer's disease as a CMS reimbursable indication
20 for FDG PET scanning. Right not more than 19 million
21 Americans are estimated to be caring for someone with

22 Alzheimer's disease. In home care for a person whose
23 disease has progressed is estimated to cost about
24 \$47,000 per year. By the middle of this century as
25 many as 14 million of today's baby boomers could have

00122

1 Alzheimer's disease.
2 As you know, the standard wisdom is that
3 there is no definitive way to diagnose Alzheimer's
4 disease other than by brain biopsy or autopsy. The
5 information compiled by the UCLA group and presented
6 to CMS from studies all over the world in fact
7 strongly supports the value of PET as an alternative
8 diagnostic approach for this devastating condition.
9 Recently the Journal of the American Medical
10 Association also published an important study which
11 we have reviewed today and I won't go into those
12 details but in summary the study followed 284
13 patients through either long-term follow-up or
14 autopsy for a confirmatory diagnosis of Alzheimer's
15 disease. PET scans early in the dementia process
16 demonstrated a prognostic sensitivity of 93 percent
17 and a prognostic specificity of 76 percent; overall
18 accuracy was thus 88 percent.
19 We believe that there are compelling
20 reasons why PET is a valuable tool for physicians
21 attempting to determine whether the memory lapses and
22 behavior patterns seen in these patients are due to
23 Alzheimer's disease or some other process. Number
24 one, since FDG PET is more effective than clinical
25 examination for the differential diagnosis and

00123

1 identification of various dementia causes, the
2 greater accuracy provided by PET early in the course
3 of a dementia illness will lead to more effective
4 disease management. Secondly, PET enables physicians
5 to clearly identify and differentiate between the
6 types of dementia. This can be critical not only for
7 treatment of these other diseases but for the
8 initiation of Alzheimer's specific medications.
9 Third, notwithstanding the potential for therapeutic
10 intervention, the usefulness of FDG PET is important

11 for patient quality of life. Specifically,
12 additional certainty with respect to the diagnosis
13 will help the patient and family make more
14 appropriate life decisions.
15 In addition, the increased certainty may
16 help family members cope with the condition; for
17 example, depression affects more than half of primary
18 family caregivers and uncertainties about the
19 diagnosis may contribute to family and caregivers'
20 feelings of depression and helplessness. A negative
21 study would be of value to patients as well as it can
22 predict the absence of further cognitive impairment
23 with fairly high certainty, which could well affect
24 decisions the patient and family make about their
25 future, retirement, moving or staying near home, not

00124

1 taking a cholinesterase inhibitor, et cetera.
2 In short, the radiopharmaceutical FDG with
3 PET can be used to assist with the characterization
4 of early dementia in geriatric patients for whom the
5 differential diagnosis includes one or more kinds of
6 neurodegenerative disease associated with the
7 dementia process. We believe it is particularly
8 helpful in this population when there has been a
9 change in cognitive status, when the etiology is not
10 apparent, or when symptoms are not reversed in a
11 reasonable amount of time. Providing families and
12 physicians with the means to better manage those with
13 this disease would seem to be a more cost effective
14 approach to care. We believe this approach should
15 include access to and reimbursement for PET scans.
16 We urge you to agree with the many
17 researchers whose work is presented today, and add
18 Alzheimer's disease to the list of reimbursable
19 indications for PET. Thank you for your attention.
20 MS. ANDERSON: Did the panel have any
21 questions for Dr. Conti?
22 DR. NEUMANN: Just one question. You
23 mentioned greater certainty which could lead to
24 better compliance and other benefits. Are you aware
25 of any data on that?

00125

1 DR. CONTI: I will defer back to my
2 colleagues back at UCLA to answer that question if
3 they would like to.

4 DR. SMALL: Could you repeat the question
5 again?

6 DR. NEUMANN: The benefit of PET being
7 talked about as greater certainty which would lead
8 to, in addition to better general reassurance, better
9 compliance of patients on the drugs, and the question
10 was, is there any data to support that or studies
11 underway that you know of to look at that?

12 DR. SMALL: I'm not aware of systematic
13 data that have specifically addressed that question.
14 I do know from my own practice and I think some of
15 these issues have been alluded to earlier, that there
16 can be a benefit in terms of having a better
17 diagnosis, better compliance, and I have seen that in
18 individual cases.

19 Another issue actually, since I'm on it,
20 there is a possible downside of depression if people
21 hear this diagnosis. And you know, yes, there's a
22 lot of denial and people can be upset when they hear
23 the diagnosis, but in clinical practice that kind of
24 depression is generally minimal compared to and
25 offset by the gains from early treatment and becoming

00126

1 proactive in intervening. So I have not seen that as
2 a big problem.

3 MS. ANDERSON: All right. We're going to
4 break for lunch and we are starting again promptly at
5 12:30.

6 (Luncheon recess from 11:36 to 12:36 p.m.)

7 MS. ANDERSON: We are going to open public
8 comments. Members of the public are given the
9 opportunity at this time to come forward to the mike
10 and you will be given approximately three to five
11 minutes to address the panel. I'm going to give
12 everyone a little bit of time since we're just coming
13 back, but no one signed in for public commenting, so
14 we may move on from this point.

15 DR. SMALL: Could I make another comment?

16 I just had a few thoughts over lunch and just in case
17 Dr. Neumann tests my short-term memory again, I have
18 a couple notes here. I just wanted to emphasize a
19 couple points that I made earlier and the first one
20 is about the data that we have now from clinical
21 trials and the kinds of patients we see in these
22 clinical trials. These are selected populations, we
23 want to get as pure a disease as possible, so the
24 data I showed in terms of side effects, this is from
25 these kinds of populations. So we screen out high

00127

1 blood pressure, screen out people on other
2 medications and in fact in these trials, for every
3 patient who gets enrolled we screen out about 10 or
4 sometimes even 20, depending on the design of the
5 trial, so that's the not the real world.
6 And in fact in the real world, it's a much
7 more complex difficult situation in terms of
8 diagnosis and treatment, so I just wanted to
9 emphasize that point, so we're talking about
10 understanding diagnosis and using treatments in kind
11 of complicated cases, patients with multiple
12 medications.
13 Second point, I didn't say directly but I
14 think it's worth making, and that is especially when
15 we're talking about assuming that we are going to
16 just treat everyone, assuming that there is no
17 downside in terms of treatment, we don't know the
18 long-term effects of cholinesterase inhibitor
19 treatment. I mean if we just put people on these
20 drugs in the long run, how is that going to affect
21 us? This is a drug that will affect the entire body,
22 all kinds of systems throughout the body, so I think
23 that's a question mark. We don't know what that
24 means and I wouldn't want to get into that unless we
25 knew it. We do have data in terms of patients with

00128

1 Alzheimer's disease, open label data up to 98 weeks
2 and even longer, and we know they're effective with
3 those patients who need the treatment.
4 Another point I wanted to make again, try

5 to make a little more clearly, and that is the
6 benefit of early diagnosis and early treatment.
7 That's really where it's going to make a difference.
8 We already heard about once somebody is in late stage
9 Alzheimer's, what is that quality of life, do we
10 really want to prolong it. So the earlier we can
11 make an intervention, the better is going to be the
12 effect, because really even though there are data now
13 showing that even in some later stages you can have
14 some benefits in terms of the health of the person,
15 there's still that issue, what is the quality of life
16 when you're treating at that stage.
17 And then the final point, let's put PET
18 aside for a moment, let's just talk about diagnosis
19 in general. As a clinician as I mentioned for 20
20 years in this area, we see these complicated cases,
21 we need to do the best diagnosis we can. We didn't
22 have treatments 10 years ago. The cholinesterase
23 inhibitors have only been here for about a decade and
24 in fact the first one that was introduced, Tacrine,
25 had so many side effects that essentially it is not

00129

1 used anymore because of those side effects. But I
2 remember those days when we didn't have much to offer
3 except for supportive care, looking for other
4 treatments and so forth. It was critically important
5 for the patients and the families to know what the
6 diagnosis was. I mean, who of us here wouldn't want
7 to know an accurate diagnosis if we had that
8 opportunity to find out. I think most of us here
9 would want to know, so I think the value of early
10 diagnosis aside from the treatment implications is
11 something that's very important for the physician,
12 important for the patients and important for the
13 families. Thank you.

14 MS. ANDERSON: Actually for the record, if
15 you would state your name again.

16 DR. SMALL: My name is Dr. Gary Small and
17 I am at the University of California at Los Angeles.

18 MS. ANDERSON: Thank you, Dr. Small. At
19 this point I guess we're concluding the open public
20 comment period and the panel will begin

21 deliberations. From this point forward there will be
22 no public comments unless specifically requested by
23 the chairperson.

24 DR. PAPTATHEOFANIS: Great, thank you. I
25 guess it's just a matter of revisiting the charge of

00130

1 the panel, which as all of you know is the voting
2 question basically, and that's been stated very
3 specifically in the handout that you all have.
4 Basically, is the evidence adequate to demonstrate
5 that PET has clinical benefit in the patients we have
6 been considering.

7 I think what I would like to do is just
8 open the floor to discussion and as you all know, we
9 have an ad hoc group, if you will, of visiting
10 attendees who've got terrific expertise in these
11 areas both from a clinical perspective and from a
12 methodological perspective and so if you choose to,
13 please avail yourselves of those experts.

14 DR. McNEIL: Frank, could I just ask one
15 request, that before we vote, would it be possible
16 for Samantha to put up the two criteria against which
17 we are supposed to make our judgments, the ones that
18 she had in her opening presentation. She doesn't
19 have to do it now, just so we have it when it comes
20 to the voting period, so we know what evidence we're
21 supposed to be counting.

22 DR. PAPTATHEOFANIS: Sure. And we could
23 actually, as soon as she has a chance to put that up,
24 we could just even put it up now and leave it up.

25 DR. TUNIS: Also, I want to remind the

00131

1 panel that if you have additional questions or if the
2 discussion leads to a point where it would be useful
3 to have input from either Dr. Matchar, Dr. Zarin or
4 other of our speakers who are still here, that it is
5 perfectly permissible to request that they come back
6 to the podium and you ask them a question. So to the
7 extent that you want to do that as part of your
8 discussion, you're open to inquire of them.

9 DR. PAPTATHEOFANIS: Okay, terrific. Well

10 then, let's vote.
11 (Laughter).
12 DR. LERNER: Can I just ask a question
13 about the side effects issue. Under the treat all
14 strategy, you would still get the same percentage of
15 people who go off treatment because of side effects,
16 so I was wondering why that other argument was
17 important if our frame of reference is the assessment
18 that seems to have accounted for that. Does anyone
19 disagree with that?
20 DR. NEUMANN: Well, the other problem
21 would be if you have side effects, you have
22 dysutility, so you're going to have fewer qualities
23 gained, the more side effects you have.
24 DR. LERNER: So you look for the
25 aggregate.

00132

1 DR. NEUMANN: Yeah.
2 DR. TUNIS: And maybe just to make a point
3 on the side effects, and I want to make sure I'm
4 interpreting this correctly, that under the strategy
5 of obtaining a PET scan prior to making a decision to
6 treat, and Dr. Matchar, you can address this, the
7 specificity of the PET scan overall, I forget in the
8 model if it was 70 or 80, aggregate specificity. So
9 whatever the difference between that and 100 percent,
10 that would represent the percentage of patients who
11 would also be inappropriately treated under a test
12 and treat strategy. So even under a test and treat
13 strategy you're still exposing some fraction of
14 patients to the dysutility of side effects and that
15 number is whatever we accept to be the specificity.
16 Versus in a treat all strategy you would have that
17 dysutility for 35 percent of patients or whatever --
18 for the treat all strategy, what's the percentage of
19 patients that would be so-called inappropriately
20 treated with the anti-Alzheimer's drug?
21 DR. MATCHAR: There is a distinction
22 between, or there's several kinds of complications
23 one can have. There is the kind of complications we
24 were talking about in the base case model in which
25 patients experience some bad effect and it's

00133

1 transient and they stop the drug, and then there can
2 also be a longer term effect and that can last for a
3 period of that cycle or for the rest of their lives,
4 and the model permits those things.
5 The kinds of complications we were talking
6 about in terms of these dysutilities, they were
7 prolonged side effects, these were not going to just
8 necessarily be -- so these were big deal
9 complications, these had to be drugs that were really
10 bad.
11 Now to specifically answer your question
12 about in the treat all strategy, what that means is
13 that you know, if you're saying your prior
14 probability of a patient having Alzheimer's disease
15 or treatable diseases was 55 percent and then we used
16 a sensitivity of 86 or 87 percent, and a specificity
17 of about the same, so they're all in the same ball
18 park, we are all in kind of agreement about what the
19 operating characteristics of the test are, that if
20 you treat all, then 56 percent times the sensitivity
21 of the test is the proportion of patients who are
22 going to be, of 100 percent of patients, the
23 proportion of patients who are going to be correctly
24 treated.
25 So if you take -- so which number did you

00134

1 want, you wanted the number of people who are
2 unnecessarily treated? People who were unnecessarily
3 treated would be the people who didn't have disease,
4 so that would be 44 percent times the specificity of
5 the test, so it's of the people -- I'm sorry, 100
6 minus the specificity of the test, so it would be 44
7 percent times 13 percent, so whatever that comes out
8 to, so that's around 4 or 5 percent, so about 5
9 percent of the patients would end up being
10 unnecessarily treated, being subject, so five out of
11 every hundred patients would be subjected to
12 unnecessary side effects of treatment. So it's a
13 fairly small number but it's not zero.
14 DR. LERNER: And then they just go off

15 treatment?
16 DR. MATCHAR: Right, if it's a benign
17 drug, that's the core of the conclusions is that that
18 5 percent of people, it's not only relatively
19 uncommon, but it's also of relatively little
20 consequence.
21 DR. SILVERMAN: Could I add to that? If I
22 understood your question correctly, it was given the
23 treat all strategy, what proportion would be
24 incorrectly treated, and the formula he just gave --
25 DR. PAPTATHEOFANIS: Dr. Silverman, can you

00135

1 hold on for just a second. We're trying to keep the
2 discussion to the questions that are coming from --
3 DR. SILVERMAN: I'm responding to that
4 question.
5 DR. PAPTATHEOFANIS: You haven't been asked
6 to respond to the question. This was a question
7 directed to Dr. Matchar. Is that basically what you
8 were asking?
9 DR. LERNER: I'm fine.
10 DR. PAPTATHEOFANIS: All right. Anything
11 else that you wanted to go into as far as the side
12 effects, especially if we can get at dysutilities in
13 a more quantitative way. I guess I would leave that
14 more up to the clinicians who actually take care of
15 these patients to give us a sense of whether that has
16 been represented accurately according to your
17 experience.
18 DR. ALBERT: Yes, it's my general
19 impression that the side effects have been
20 appropriately represented. They tend to be mild, as
21 Gary indicated. The most disturbing one is, are GI
22 symptoms, and they tend to either be eliminated
23 completely or be reduced by the way in which you
24 administer the medication. So if you very gradually
25 increase it, you will lower the likelihood of having

00136

1 those symptoms. And by in large, the number of
2 people who discontinue it because of they symptoms is
3 exactly as it was described, about 15 percent.

4 DR. PAPANATHOFANIS: Is that okay?
5 DR. LERNER: Yeah, absolutely.
6 DR. PAPANATHOFANIS: Dr. Johnson, did you
7 have anything to add?
8 DR. JOHNSON: That's my experience as
9 well.
10 DR. PAPANATHOFANIS: So the model holds and
11 so forth. What Barbara asked for has been posted, I
12 believe the two points that lead into the question
13 we're going to be voting on, and that is whether or
14 not the evidence regarding the accuracy of PET in
15 this case compares with standard methods of
16 diagnosis, and then of course the impact of this
17 improved accuracy on net health outcomes. Did anyone
18 want to go into either of those points?
19 DR. McNEIL: No, I just wanted them up
20 there.
21 DR. PAPANATHOFANIS: It's a good frame work
22 to sort of build a conversation around.
23 DR. LERNER: I guess the biggest question
24 I have is did anybody on the panel here, if we're
25 basically satisfied with the model that was

00137

1 presented, did you hear anything that gives you pause
2 about the model, in essence the first question above
3 the voting question, does anyone have some major
4 qualms?
5 MS. ANDERSON: For the record I am going
6 to read that question just so we have it. The
7 question that we're referring to is, is using the
8 AHRQ decision model, including its assumptions and
9 calculations, a reasonable way to determine the
10 clinical utility of PET as an imaging tool in the
11 diagnosis and management of Alzheimer's disease? And
12 then it goes on if we have a decision.
13 DR. ALBERT: It may be worth stating that
14 at least in my opinion, the model seems to be
15 generous in the sense that it accepts a lot of the
16 literature and doesn't get overly upset about whether
17 or not the case mix in any particular paper is
18 appropriate and like real life, things of that sort,
19 I think it tends to be quite generous. Whether or

20 not the data are always interpretable, you can always
21 look at a scan and come to a conclusion about what
22 pattern it shows.

23 DR. PAPANICHOPOULOS: Peter, would you
24 agree?

25 DR. NEUMANN: I would say overall I think

00138

1 it's a very nice model structurally and I think the
2 assumptions made are reasonable, the sensitivity
3 analysis around the parameter estimates, and I would
4 agree, some things may be generous, perhaps in terms
5 of the drug effect, believing that the drug effect
6 would last for that long, that dropouts are rather
7 minimal, that the drug works in MCI and symptomatics,
8 perhaps that is a bit optimistic.

9 On the other hand, there might be other
10 benefits to the drug that are not considered here.

11 Caregiver benefits for example, nursing home
12 admission for example, and again, there is a
13 sensitivity analysis around the effect of the drug
14 that shows that the basic conclusions are fairly
15 robust. I mean, it's narrow but robust that
16 treatment is preferred.

17 I think there are two big areas of
18 uncertainty. One is -- well, maybe three areas. One
19 is do the drugs work in MCI and asymptomatics, there
20 is no formal evidence on that; the drugs haven't been
21 approved for those indications. Two, side effects of
22 drugs as has been mentioned and long-term effects as
23 was just mentioned, there is no evidence long-term on
24 what happens. And the third area to me that the
25 public comments really get at and is not quantified

00139

1 in the model, though alluded to as an important
2 potential issue is the value of the information.
3 The one place the test strategy does
4 better is the percent correct diagnosis. It doesn't
5 do better on life expectancy or qualities or percent
6 dementia free states. It does do better, 87 percent
7 versus 56 percent, on percent correct diagnosis. Now
8 what's the value of that additional percent that you

9 have of good diagnosis on? I think that's the issue
10 and I think what we heard is that clinicians believe
11 that that would lead to better management, more
12 reassurance on their part, more reassurance on the
13 part of patients, maybe better compliance and so
14 forth. But I think then we're into an area of
15 speculation without a lot of data.

16 DR. PAPTATHEOFANIS: So you think the
17 assumptions that were made were possibly a little
18 generous but because we don't have direct evidence,
19 that they are reasonable?

20 DR. NEUMANN: I think so. And we can
21 quibble with, there's lots of assumptions that go in,
22 we can quibble about them. I don't think they're
23 going to change the basic nature of these results.

24 DR. PAPTATHEOFANIS: Okay, that's important
25 to know. Anyone else want to add in?

00140

1 DR. TUNIS: I wonder just as part of this
2 -- well, Sally, why don't you go first?

3 MS. HART: I was just going to say as the
4 consumer representative I have some concerns about
5 the focus of the model on treatment decisions that
6 might be made in response to a correct diagnosis. I
7 think beneficiaries, I think I can fairly say are
8 interested in knowing their diagnosis in order to
9 make important life decisions and that that's an
10 important factor, should be an important factor in
11 our decision making, as well as how effective
12 treatment decisions will be.

13 DR. TUNIS: I guess the point I was going
14 to raise and more to see if the committee is
15 satisfied with their understanding of it is that
16 there seems to be discrepancies in the outcomes of
17 the model presented by the Duke folks, Dr. Matchar,
18 and the model more briefly presented by
19 Dr. Silverman, and we explored that, tried to explore
20 those differences a little bit, but I'm just
21 wondering if given that these are both decision
22 models also and they seem to come out with fairly
23 radically different conclusions about the impact on
24 health outcomes, particularly regarding fewer nursing

25 home days, whether we ought to try to explore a

00141

1 little bit more the sources of the divergence in the
2 conclusions of the model.

3 DR. NEUMANN: I guess I don't really read
4 it that way. I mean, I think the models look at
5 different outcomes essentially. Where the Matchar
6 model looks at quality of life expectancy gains and
7 percent in severe dementia free states, the Silverman
8 model is really looking at -- well, there is some
9 differences on sensitivity and specificity, but the
10 outcomes looked at in the Silverman model are really
11 months with unnecessary drugs and I think if you, the
12 Matchar model could certainly accommodate that and
13 you would probably come to some similar conclusions.
14 Now nursing home placement is looked at by
15 the Silverman model, not in the Matchar model, but my
16 strong guess is if you really took the Silverman
17 model and compared test versus treat all even with
18 nursing home days, treat all is going to do better
19 under reasonable assumptions. I don't know if
20 anybody would disagree with that but you'd have to
21 really convince me that that's not the case.
22 So I don't see the models coming to very
23 different conclusions. They do come at the problem
24 in different ways, they do look at different
25 outcomes, but I think they are sort of taking a

00142

1 different angle on the issue.

2 DR. TUNIS: Maybe just a question, and I
3 wonder, Dr. Matchar, if you wouldn't mind talking
4 about the issue of the nursing home days saved as an
5 outcome in terms of how you understand it from the
6 UCLA model, and then maybe have Dr. Silverman have a
7 chance to respond to that. Do you feel like you know
8 the UCLA model well enough to comment on that aspect
9 of it?

10 DR. MATCHAR: I think I would be doing a
11 disservice to the committee by trying to make too
12 much of my understanding of the model that was
13 presented this morning. I mean, I agree that it is

14 possible to use something like nursing home days as a
15 surrogate for what would the more standard kind of
16 policy analysis measure which would be a quality or a
17 life year. So it didn't quite compute for me why the
18 testing strategy should necessarily lead to more
19 nursing home days, or fewer nursing home days if
20 indeed everybody was going to get treated, and I
21 think that's what everyone is discussing, that as
22 long as that scenario is not being considered, then
23 that's the explanation. If they were to consider
24 that option, then we would probably have
25 substantively the same conclusions that they would

00143

1 conclude that everyone for whom treatment is
2 effective should be treated.
3 DR. TUNIS: Dr. Silverman, could you
4 respond to that please?
5 DR. SILVERMAN: Thank you. Yes, I
6 actually agree with Dr. Neumann, that these are not
7 substantially different predictions that would arise
8 from the two models, that they come to basically the
9 same conclusions, pretty close to accuracy of the
10 PET. And also, I agree with Dr. Matchar that you can
11 use a surrogate -- it wouldn't be a surrogate for
12 life expectancy, what it would be a surrogate for
13 would be the severe dementia free period. And I also
14 agree with Dr. Matchar that yes, if you measured
15 against the treat all strategy, which is not what our
16 model purported to do, that you would get a
17 comparable conclusion there. And what our model did
18 is measured against what actually has been done in
19 clinical trials, which is treat patients according to
20 whether or not they are thought to have Alzheimer's
21 disease by NIN/CDS/ADRA criteria, not by a treat all
22 strategy, and we compared what would happen if you
23 treat them according to the diagnosis whether or not
24 they have Alzheimer's disease as made by those
25 criteria by themselves versus as made by that kind of

00144

1 diagnostic workup with PET incorporated into it.
2 DR. PAPTHEROFANIS: Thank you. Peter, are

3 you aware of any other models floating around there?
4 DR. NEUMANN: I have been involved in some
5 modeling, not specifically looking at PET I should
6 say, not yet, it could easily be accommodated to do
7 so, but the modeling that I have done is basically
8 similar to the Matchar model; it's a mark-off model
9 that follows cohorts of patients through stages of
10 disease and follows utilities and life expectancy and
11 so forth, so -- and it's a big reason why I feel
12 comfortable with the model. And there are others out
13 there that basically do the same kind of things.

14 DR. PAPATHEOFANIS: That's what I thought.
15 Any more discussion around the model? The reason
16 we're beating this horse into the ground is because
17 as you can gather, it's at least according to Sean
18 probably the first time that this sort of model or
19 decision analysis, which has really grown from the
20 interim guidelines that have been put together by
21 this committee will be used to drive the decision
22 that comes from this panel. So it's important that
23 we are all comfortable with the ins and outs and
24 crossed every T and dotted every I before we consider
25 a vote.

00145

1 DR. TUNIS: I think it would be useful
2 actually if we could maybe even just poll the entire
3 panel on sort of their reaction or response to
4 question number one, which is you know, is the model
5 including its assumptions and calculations a
6 reasonable way to determine the clinical utility of
7 PET, and at least give everybody a chance to reflect
8 on that question, and I think we will do this with
9 all these general discussion questions.

10 DR. PAPATHEOFANIS: And then move on.

11 MS. ANDERSON: Just to clarify, are we
12 calling for a quasi-vote or just comment?

13 DR. TUNIS: No, just comments.

14 DR. PAPATHEOFANIS: Sally, why don't we
15 start with you. Anything that you want to add on
16 this discussion so far?

17 MS. HART: I already made the point that
18 I'm concerned about the sort of inexorable link

19 between diagnosis and treatment options because I
20 don't think that that's the only valid way of
21 evaluating the work of a diagnostic tool. I also
22 have some concerns about the practical efficacy of
23 the treat all approach, although I understand there
24 is a difference of opinion about that. I don't see
25 strong evidence to support the belief that it is

00146

1 reasonable to assume that physicians and patients
2 will function that way, and so I have concerns about
3 that part of the model as well.

4 DR. JOHNSON: I have to agree. I think as
5 Dr. Matchar said earlier, there are some limitations
6 and to some extent the model is incomplete, although
7 many other aspects of the problem could be included
8 in the model. Whether those limitations are
9 sufficient to make the model, to compromise its
10 utility, I think the answer would probably be no.
11 Clearly we'd like to know more about the
12 costs, not necessarily in dollars, but in delayed
13 diagnosis that could be involved in using the
14 standard practice rather than a one-time study. And
15 we would like to be able to know what it means in
16 dollars or in healthcare outcomes to know a diagnosis
17 with certainty. I think if you could put those two
18 features into the model, we would have a better
19 model; whether that information would be sufficient
20 to change the overall conclusion, I think probably
21 not, but that's just a guess. I have no data to
22 support that.

23 DR. PAPTATHEOFANIS: Jeff.

24 DR. LERNER: I am comfortable with the
25 model as presented and discussed and if I could just

00147

1 editorialize for a moment for the public benefit, I
2 think that since it plays such a central theme both
3 in this discussion and clearly for future ones, it
4 does raise issues about how we can get the best
5 critiques of models from public comment so that, you
6 know, so they will be most critical in the best sense
7 of the word. And I don't know, you know, what

8 strategy invites you to do that, but I think Medicare
9 should do something.
10 For example, there could be either some
11 guidance issued to public presenters or you could
12 take some educational course on this type of
13 modeling, on mark-off models, and help people
14 understand how they can make critiques of the models,
15 both generically and then of course you have to apply
16 that for the specific case, because Sally, you raised
17 a couple of issues that are certainly true issues.
18 The problem that we have is understanding research
19 data that would cause us to overturn the model or to
20 adjust it in some way. And we have instincts that
21 maybe some of those things are important but we don't
22 have data and we're supposed to be data driven.
23 DR. PAPANICOLAOU: As far as the panel is
24 concerned, the reason for bringing aboard the ad hoc
25 members was exactly to address that, that someone

00148

1 like Dr. Neumann who has more than a course under his
2 belt can really spend some substantive time and
3 answer substantive questions, and of course he's
4 available to the public as well. So I think it's a
5 good point that you're making but we can't expect
6 everyone to understand very sophisticated models like
7 this, and that's why we have this forum, it's an
8 opportunity to ask some questions. But as far as
9 asking more sophisticated questions, I think you're
10 right, the more education that's out there, obviously
11 the better the questions. Barbara.

12 DR. MCNEIL: I think this is a very
13 complicated case. I actually thought that the model
14 was a very very good one and I thought that it was
15 particularly valuable because it really ran the gamut
16 of all the possible variables that affected the
17 decision. The assumption is that we do testing,
18 because largely the assumption in this model, and I
19 think in most of medicine, is that we do testing to
20 drive management and treatment decisions. If we were
21 to say that testing is done for the goal of
22 information content per se, and that we could attach
23 a higher utility to that information, then we would

24 be talking about a completely different ball game in
25 terms of how this committee operates.

00149

1 It was my impression where I am, people
2 generally do testing for the purposes of treatment,
3 so that's why I am supportive of the model. But
4 following on one thing that Jeff said is for future
5 discussions of this sort, we ought to get the
6 opportunity to really dig into the Duke model very
7 very carefully, it actually took a long long time to
8 do, and it seems to me in the future, if this is the
9 way of doing it and there is a public presentation of
10 a different model, then it's probably most important
11 to indicate exactly where it differs. You know, have
12 the base line tree up there and say we differ in
13 decision node two and we differ in outcome six.

14 DR. PAPTATHEOFANIS: Be specific.

15 DR. McNEIL: Be very very specific because
16 otherwise, I don't think it's easy for us, without
17 having something written much more so than the
18 limited review that was given, to make a comparative
19 view. In this particular case I don't think it
20 matters because of the treat all strategy, but if it
21 did make a difference for other reasons then I think
22 we would want to have some way of getting that other
23 than from a ten-minute presentation.

24 DR. PAPTATHEOFANIS: As another person here
25 who has more than a course under their belt and your

00150

1 allusion to the treatment intent of this sort of
2 modeling, did you see any weakness, or I guess the
3 question is, are you comfortable that this is a
4 reasonable way? I mean, of course there's going to
5 be limitations and weaknesses and so forth, but is
6 there anything that jumped out at you with your
7 experience in using those models and taking this
8 treat all approach that maybe you want to bring up at
9 this point?

10 DR. McNEIL: Well, no. Actually I agreed
11 with it as I said, Frank, and I actually had a mother
12 who died of Alzheimer's disease, and if I were to put

13 her in this decision node right now because of the
14 false negatives and the potential treatment benefit,
15 I personally would go with the treat all on the basis
16 of the data without even going through this
17 complicated a model. This is almost the kind of
18 thing that once you believe that you need a treatment
19 outcome, I hate to say it, but it's almost obvious,
20 you don't necessarily -- right?

21 SPEAKER: Yes.

22 DR. PAPATHEOFANIS: Thank you. Peter.

23 DR. NEUMANN: I would agree with those
24 remarks and I guess just add a few things. This is a
25 very complex issue and despite the intuitive nature

00151

1 of it, I think it's very helpful to go through a
2 model like this to really understand sort of the
3 intuitive appeal in some curious sense. The model
4 does lead to this very interesting result that it's
5 better to treat everyone, and that will result in
6 treating a lot of people who are not treated today
7 and treating people for whom we don't have the kind
8 of evidence we would like to have from well
9 controlled trials. So I think that needs to be on
10 the table and thought about.

11 The model also has this interesting result
12 that a more and more accurate test is not going to
13 change the basic conclusion. Treating everybody is
14 still better as long as you buy into the assumption
15 that the side effects are not very bad, so that's
16 also interesting. We can talk all day about how
17 accurate this test is and you know, you can present
18 data that it's more accurate than is in this paper,
19 but it's not going to change the results if you buy
20 into the conclusion that the side effects aren't very
21 bad.

22 The one place I would come back to that I
23 think is important and comes out of remarks by
24 Doctors Small and Silverman, how much is it worth to
25 have a better diagnosis? Perhaps the answer is not

00152

1 very much, treat regardless.

2 But there are data out there, even from
3 Alzheimer's the little bit that I know of, but
4 certainly from other diseases that people,
5 physicians, patients, family members value
6 information. Even if they don't do anything about
7 it, they may value that information. And certainly
8 in this case perhaps they would do some things
9 differently if they knew it were Alzheimer's disease,
10 perhaps better management we heard about today and so
11 forth. So I think those are important issues that
12 could potentially change the results. If you really
13 believe that information were very important, then
14 you might want tested, but that's not explicitly
15 considered in the model. So I think the model is a
16 rather nice one, but I do have that issue.

17 DR. PAPTATHEOFANIS: Okay. Marilyn.

18 DR. ALBERT: I concur with most of
19 everything that has already been said. I do think
20 that the model is a good one, I think it's generous
21 and has already been said, when we have better
22 treatments, they will no doubt have more side effects
23 and then we will revisit this. We will revisit it
24 hopefully with more information about the accuracy of
25 various tests that are available and with more

00153

1 information perhaps about the value of just
2 information per se, and perhaps we could build that
3 into the model as well.

4 DR. PAPTATHEOFANIS: Okay, great. Kim.

5 DR. BURCHEIL: I think it's pretty clear
6 to me that PET is an accurate test. I think the
7 thing that's hanging us up is the data on outcomes is
8 lacking. But the thing that I guess bothered me a
9 little bit about the model is that the treat all
10 strategy, is that really data driven? I think it's
11 actually the reverse, it's sort of driving the data,
12 it's driving the model. It's a bit of an artificial
13 construct.

14 As Peter just pointed out, this is not
15 just an implicit part of the model, this is a
16 treatment recommendation, and I am concerned that
17 that's sort of ingrained in our deliberation right

18 now, is that we're really talking about a new
19 treatment recommendation which is not, maybe the
20 neurologists can correct me, but it's not part of the
21 AAN, it's not a guideline, it's not even on the
22 radar. So is this feasible, is it practical, those
23 issues have been brought up. It's certainly never
24 been tested, so if we're a data driven deliberating
25 body, we don't really have data on that particular

00154

1 thing.
2 I don't think that that completely
3 subverts the intent of the model, I think as much as
4 I understand the model. But I think we have to
5 recall that this is not a real situation; we're
6 really talking about a major clinical recommendation
7 that is as unproven as PET is in terms of outcomes.

8 DR. PAPTATHEOFANIS: Steve.

9 DR. TUNIS: I think what we're going to do
10 since there will be probably some desire to respond
11 to these, that we will invite a few folks up to
12 respond to these specific comments once we get
13 through the whole panel.

14 DR. GUYTON: I would echo Kim's concerns
15 that both the treat all strategy and the test
16 strategy, which are basically compared in the model,
17 neither are based on present day reality, and both
18 are a significant change from what is going on in
19 clinical practice today, and if CMS wants to say in
20 response to a request for PET scan no, I'm sorry, you
21 need to treat the patient, then there are going to be
22 a certain number of patients who are going to be
23 treated outside of the FDA labeling of the drugs.
24 And who's going to be responsible for that.
25 So, I agree that the model seems very good

00155

1 and comes to some conclusions that are probably
2 important for the American Academy of Neurology to
3 consider, but we're not at that point yet.

4 DR. PAPTATHEOFANIS: Carole.

5 DR. FLAMM: I think overall I have a
6 significant comfort level with thinking of this in

7 terms of the model that has been presented. The
8 sensitivity analyses that were reported do show such
9 a nice robustness to the conclusions over the
10 sensitivity range that needed to be considered in the
11 diagnostic performance and the treatment efficacy and
12 side effects. I too, we sort of struggle with this
13 idea of the value of information and whether that can
14 be studied empirically and try and quantify and roll
15 that into some sort of quality of life sort of
16 measure would be an interesting direction and that
17 would be something nice to see to sort of start to
18 consider that explicitly.

19 As far as the practicality and whether in
20 real life people will treat all patients, I don't
21 treat these kind of patients so I don't know, but I
22 think that is something that we would need to think
23 about in terms of how applicable this would be to
24 real life applications.

25 DR. PAPATHEOFANIS: Okay. Dr. Matchar,

00156

1 and then Dr. Silverman.

2 DR. MATCHAR: I would like to apologize
3 for having named this strategy treat all, because I
4 think I may have led to a misperception of that
5 meant. The treat all strategy again in the
6 discussions with our advisory group, was to treat
7 individuals, and we're only talking for the true base
8 case or for the demented patients, patients with mild
9 to moderate dementia. Treat all meant those
10 individuals with mild to moderate dementia who have a
11 probability of having Alzheimer's disease on the
12 order of 50 to 60 percent, that treating all of those
13 people who have dementia of that sort is superior to
14 testing them and only treating people with a positive
15 test, again because the people who were false
16 negatives would fail to be treated.

17 That actually, my understanding is that
18 from the perspective of experts in the field, that is
19 the recommended practice, so the treat all strategy
20 of people who clinically have dementia who have no
21 other evident reason for being demented, reversible
22 causes and so on, that those individuals will be

23 treated, that's fairly common practice. And the only
24 reason people don't treat is because the patient
25 doesn't want to pay for it, the doctor doesn't think

00157

1 it's all that worthwhile, or some combination of
2 those.
3 The issue about the treat all strategy for
4 the other two scenarios, those were pure speculation,
5 and we acknowledged that those were pure speculation,
6 and the only reason that we evaluated those was
7 because we were asked to evaluate them. We
8 acknowledged up front that there was no evidence that
9 treating all patients with mild cognitive impairment
10 or treating all patients with first degree relatives,
11 that there is no evidence that treating those
12 patients makes any sense. So the model in no way
13 suggests that that's the right thing to do; the model
14 only suggests that if you believe that treatment
15 works for those people in delaying the onset of
16 dementing illness, then you should treat people. So
17 there is a big if.
18 And then the last part is the value of
19 information question which I think is a really
20 important point, it's something that's very difficult
21 to incorporate into an analysis like this, but
22 effectively the way I look at that is as follows:
23 You incorporate into the model the things that you
24 can quantify reasonably well. Life expectancy
25 certainly, quality of life with the cognitive

00158

1 impairment maybe not quite as well, but these issues
2 of value of information even less well. Now if we
3 don't include the value of information in this
4 analysis, the question you need to ask yourself is
5 whether the value of information is worth the
6 decrement in life expectancy or quality in life or
7 dementia free survival, is the information worth it
8 to you to get that information, acknowledging that if
9 you do get that information you may actually lose
10 life expectancy or lose quality of life.
11 So yes, it's something that needs to be

12 studied empirically and if there were empirical
13 evidence about that, it could be included in the
14 model and should be included in the model, but in the
15 absence of that, there is really no way to handle it
16 other than just subjectively, is it worth that
17 trade-off in quality of life or survival.

18 DR. PAPTATHEOFANIS: Does that help clarify
19 the question on treat all?

20 DR. BURCHEIL: Yes.

21 DR. ALBERT: Could I just make one
22 comment? In fact what's going on in clinical
23 practice is that people who have so-called mild
24 cognitive impairment are also being treated with a
25 high degree of regularity and it is specifically

00159

1 because the downside is very small, because nobody
2 knows, they have the hypothesis that it might be
3 beneficial and people want to preserve neurons if
4 they can and the downside is small, so in fact that
5 is what's going on in clinical practice to some
6 degree.

7 THE WITNESS: So you would agree then that
8 Dr. Matchar's recommendation or suggestion that treat
9 all is a misnomer should really be reclassified as
10 folks with mild to moderate cognitive impairment?

11 DR. ALBERT: Well, what he's talking about
12 is true, that people who meet criteria for probable
13 dementia, which is still an uncertain diagnosis, are
14 recommended for treatment, and people who are even
15 milder than that are offered treatment, at least in
16 our practice.

17 DR. PAPTATHEOFANIS: Dr. Silverman or
18 Small?

19 DR. SMALL: Just a couple points I wanted
20 to make. One, I think that Dr. Matchar said that the
21 current practice is to treat all patients with
22 dementia and Dr. Albert mentions a lot of MCI
23 patients are treated as well. That is the case. A
24 lot of dementia patients are treated and a lot of MCI
25 patients, but the indications right now are for mild

00160

1 to moderate Alzheimer's disease. So these are really
2 off label uses of these medications.
3 But the point I wanted to make and I don't
4 think it was mentioned as yet, and that is if you
5 accept the assumptions and you look at the logic of
6 the model, the logic is not only that we should
7 recommend treatment for everyone, but we shouldn't be
8 doing any diagnostic assessments. I mean, why should
9 we do that? We're saying, our assumption is that
10 let's just treat everybody, there is a possibility it
11 may help. We've extended the model to people just
12 with a family history of dementia, right? What if we
13 extended that to just people. I'm not an economist,
14 I'm just thinking the logic of it to me says we
15 should stop doing clinical examinations, we should
16 stop doing PET scans, and we should just put
17 everybody on cholinesterase inhibitors, and to me
18 there is something fundamentally wrong with that
19 logic.

20 DR. PAPTATHEOFANIS: Dr. Matchar, or
21 Dr. Zarin, could you address that?

22 DR. ZARIN: If I could, I would like to
23 address that and then say something else about the
24 value of clinical information.

25 DR. PAPTATHEOFANIS: Sure.

00161

1 DR. ZARIN: I think that again, to
2 reiterate what Dr. Matchar said, the treat all
3 strategy for the mild dementia was really developed
4 to reflect current practice. These are people who
5 after they have gone through the AAN standard workup
6 are presumed to have Alzheimer's disease. The
7 question we were asking was, should we do yet another
8 test to try to increase the certainty that they have
9 Alzheimer's? But these are people who clinically
10 today, to go back to Dr. Lerner's scenario if PET
11 didn't exist, to the best of our ability we think
12 they have Alzheimer's disease, and that's who is
13 recommended for treatment with the cholinesterase
14 inhibitors. That's what the treat all strategy is.
15 Again, for the other scenarios, it was
16 this huge if. If you believe that the treatment

17 trials will eventually show that the drugs are also
18 effective in those other groups, so that if you
19 believe treatment works, then increasing diagnostic
20 certainty won't help you any. Because again, the
21 people you're going to save from treatment, the sort
22 of true negatives, will be outweighed by the false
23 negatives, the people who really would have
24 benefitted but are now not going to get the
25 treatment. So that's the -- treat all is an

00162

1 unfortunate term but anyway, we have hopefully undone
2 that.

3 In terms of the value of clinical
4 information that is not modeled, if you look at
5 Table 10 in the technology assessment, we really
6 tried to at least brainstorm and look at the
7 literature on sort of psychosocial and other ethical
8 impacts of diagnostic information, and I think it's
9 important to realize that there is potential positive
10 impacts and potential negative, and in order to be
11 sort of valid about it, you have to think about it
12 both ways. So you can think about the people with
13 MCI who might be harmed by having the label in their
14 medical records saying they have Alzheimer's.

15 I mean, they could be harmed in terms of
16 insurability, employability, et cetera, things like
17 that. You can also think of the way in which they
18 might benefit. I mean, they're going to get a
19 treatment that they otherwise wouldn't get. And you
20 can go through it, and we tried to at least
21 systematically think through, do the thought
22 experiment of ways in which people might benefit or
23 be harmed. There is no data that we know of to tell
24 you on balance, but my guess is that it's going to be
25 heterogeneous, it's going to vary with different

00163

1 people's particular situations, with their own
2 utilities, et cetera.

3 I think it's worth looking at that table,
4 because I think you have to remember the negative
5 value of information in both the true results which

6 could have a negative impact, and certainly the false
7 results.

8 DR. PAPATHEOFANIS: Thank you.

9 Dr. Silverman, did you want to add to that?

10 DR. SILVERMAN: Actually, there is a lot
11 that has been said both by the panel and by speakers
12 after the panel to respond to. Let me start with
13 kind of the end, and treat all is an unfortunate
14 terminology for the people in the mild to moderate
15 dementia category, but it's not an unfortunate
16 terminology, it's a very accurate terminology for
17 what they're doing to people in the MCI category.
18 Basically what's happening is they only need to have
19 enough symptoms to document that they have memory
20 impairment without necessarily functional decline to
21 put them in the treat all group.

22 Now, I think that Dr. Burcheil's and
23 Dr. Guyton's points were really right to the point,
24 which is that the panel is polled about how do you
25 feel about the model, and I don't think anybody

00164

1 disagrees that the model is a very fine model in many
2 ways, in terms of its structure, in terms of the ways
3 that it arrives at its estimates with which to fill
4 the structure. The problem is what questions the
5 model is being used to answer, and the question it
6 was being used to answer was not the question that
7 was given to it. It was not being used to answer the
8 question of what happens when you use PET versus when
9 you don't use PET in terms of clinical outcome, it's
10 what happens if you use PET versus if you treat all
11 or if you treat none.

12 And if you think about it, you know, this
13 is supposed to be a technology assessment, but if you
14 think of it, if you had a two-sided coin that was
15 both side heads or both sides said treat, and you
16 flipped it, it would be a totally useless test in the
17 sense that it had no relation to reality, you would
18 still end up with a better outcome than you would
19 with another test, PET or anything other, that had 99
20 percent sensitivity. So it ends up not being an
21 assessment of the technology at all, it ends up being

22 an assessment of the treat all strategy, which isn't
23 what the committee was charged to answer.
24 Secondly, Dr. Hart raised a very good
25 point about the importance of information and that

00165

1 was echoed by several members of the panel, but it
2 goes beyond just any kind of touchy feely yeah, it's
3 nice to know. There's actually hard data; there is,
4 for example, an excellent randomized control trial by
5 Mittler that was published in JAMA in 1996 before the
6 era of treatment with anticholinesterase was popular,
7 and that looked at specifically the value of having
8 information in terms of plugging patients into proper
9 resources in terms of counseling the families with
10 what to expect and so forth, and they found that
11 among those patients who had mild symptoms that there
12 was an 82 percent reduction while they were in mild
13 symptoms of nursing home placement, and delayed for
14 the whole group overall nursing home placement by 11
15 months. So that information can be used not just to
16 make patients and their families feel better about
17 knowing the answer, it can actually be used in ways
18 to treat other than anticholinesterase treatment.
19 And the model only answers, not only asks
20 the question about PET versus treat all and treat
21 none, it only answers the question about PET treat
22 all with anticholinesterase versus treat none with
23 anticholinesterase, which is a very limited question
24 for the model to pose.
25 And finally the issue about labeling

00166

1 patients, whether it's labeling them with technology
2 or labeling them with a diagnosis, if you label them
3 with the diagnosis made just purely clinically, as
4 you saw from the lower specificity, you will actually
5 mislabel more patients as having Alzheimer's disease
6 than if you used PET to establish that label. On the
7 other hand, if you treat all, imagine the labeling
8 that's going on here. You have every patient who has
9 MCI and they have to say oh, I'm taking Donepezil or
10 Rivastigmine or Galantimine and you say why are you

11 taking that, well, my doctor thinks I might have
12 Alzheimer's disease. I mean compared to having a PET
13 scan and saying oh, I can say with 95 percent
14 certainty that even though I have the familial
15 problems, those aren't due to Alzheimer's disease.
16 And I will stop at that point, thanks.

17 DR. PAPTATHEOFANIS: Thank you. Jeff.

18 DR. LERNER: You know, I really find this
19 to be an absolutely fascinating discussion, and I
20 wonder in terms of since we will be using these kinds
21 of models at MCAC whether the EPCs from AHRQ might be
22 able to take some of the things that are in Table 10
23 that build on some of your arguments, Dr. Silverman,
24 and in a sense reduce them to social science to the
25 best of our ability, because if decisions like this

00167

1 are going to turn on this in the future or at least
2 turn part on it, you can see the scenario of today
3 repeating constantly with no evidence, just lists of
4 things that could be or could not be. There needs to
5 be a sort of social science of how patients make
6 decisions, how doctors make decisions, how they
7 change clinical practice. Now obviously people are
8 working towards that, but maybe it needs to focus
9 some on some of these major technologies that we're
10 asking for because we're asking for national coverage
11 decisions that have you know, such a huge impact, so
12 it's worth focusing that kind of research on these
13 kinds of questions.

14 I would assume that's where we may try to
15 get to in the future, but a lot of these are research
16 questions and I was sort of stepping back and saying
17 there's different world views going on here today,
18 very different approaches. One is the value of
19 knowing, human curiosity. Clinicians have it,
20 medicine has always been built on it, patients have
21 it more and more. And those are various serious
22 research questions and were this a research panel,
23 you know, you'd discuss it for quite a bit and you'd
24 commission all kinds of studies. But it's a coverage
25 panel and it's asking does the evidence exist today,

00168

1 now, can it be presented at this moment that will
2 inform our decision, because we have to make
3 decisions based on available evidence today. So
4 that's where I think the world view is sort of a
5 tough issue, but I think there is a way to make some
6 progress.

7 DR. PAPATHEOFANIS: Well, I think one of
8 the recommendations I would like to make to Sean and
9 we will probably pursue this off-line is to try to
10 enlist the help of folks like Peter and other
11 methodologists on more of an ongoing basis and maybe
12 form some sort of ad hoc subcommittee of the
13 Executive Committee or something along those lines if
14 we're going to be thinking of using modeling in the
15 future for further assessment and part of that will
16 be, again, the education opportunity, whether web
17 based or individually based or how we decide to
18 propose it, to bring as many people up to speed as
19 possible. But I think you're absolutely right, there
20 are national meetings and journals, international
21 journals focused on these issues of methodology and
22 we're not going to resolve them here. And certainly
23 the point that you echoed that we are facing with
24 making a decision based on the available evidence is
25 true. We just have to all be comfortable with what

00169

1 we have in front of us, and that's what we're all
2 hoping to get into this, or out of this round of
3 conversations.

4 I think I would like to move along though,
5 and that is to question 2, in keeping with that
6 focus. We have addressed some issues that were not
7 addressed in the model but might influence our
8 decision. Are there any other issues apart from
9 those we have already come up with that have not been
10 addressed by the model that any of you would like to
11 discuss or bring up at this point? Marilyn?

12 DR. ALBERT: I just think it's worth
13 noting that if it were the case that PET identified a
14 fraction of patients who responded to treatment
15 better, then that would change the way in which we

16 were balancing things, but to my knowledge there are
17 no such data, but that would be one way in which
18 actually knowing would make a huge difference.

19 DR. PAPTATHEOFANIS: I'm not going to poll
20 everyone on this one again. Did you have a comment,
21 Sean, on this question?

22 DR. TUNIS: No. I think in the previous
23 conversation we have identified some of these other
24 issues outside the model that we think are relevant
25 and important for CMS to consider, and I just wanted

00170

1 to make sure this is not a final opportunity, but
2 another opportunity to make sure, if there's anything
3 else that's missing from the model that ought to be
4 part of this discussion, we should bring them up now.
5 I want to make it clear that the use of
6 the model was intended to facilitate a broader
7 conversation, not to be the entire focus of the
8 conversation, and that's why these questions are
9 here, to make sure if there's other issues that we
10 have a chance to put them on the table.

11 DR. PAPTATHEOFANIS: Let me take Sally
12 first, and then Barbara and then we will get to
13 Peter.

14 MS. HART: Well, this isn't exactly
15 another issue but it's another consideration, and I'm
16 speaking about the concern that there could be harm
17 to people in getting an accurate diagnosis in the
18 sense of their being labeled or losing employment
19 opportunities or insurability and the consideration
20 that we haven't discussed I think is the option for
21 an individual to decline to be tested if they are
22 concerned about those factors.

23 DR. PAPTATHEOFANIS: Barbara?

24 DR. McNEIL: Well, I'm not going to
25 verbalize this well but I think it may apply to lots

00171

1 of other studies that we do, and I think it came up a
2 little bit in our previous discussion. None of our
3 models talk about the differential value of certain
4 kinds of doctors in starting off the whole diagnostic

5 and therapeutic process, so it's conceivable that a
6 model could be built, and I don't think we should in
7 this case because I haven't seen enough evidence to
8 show that, but that it could start back and say
9 patient comes in with symptoms of some sort and then
10 the question is do you follow on to treat X based on
11 that doctor's prior probabilities which then feed
12 into the remainder of the tree? Or instead, do you
13 send that patient to Dr. Y who has a much higher
14 performance set of characteristics and then move
15 along the same tree.

16 That is assessing the technology in the
17 environment in which the system functions and the
18 patient is cared for, and that would really expand
19 enormously. I'm not suggesting we do it here, but
20 you asked what other issues are not addressed in this
21 model. In fact, that's an issue that's not addressed
22 in most of the current decision analytic models, for
23 cardiac disease, for cancer, for any of them, so the
24 same thing would apply here.

25 DR. PAPTATHEOFANIS: Good point.

00172

1 Dr. Conti.

2 DR. CONTI: Just to make a couple comments
3 also on the model. I'm sitting here listening to
4 some of this discussion and I agree with a lot of
5 what's being said on both sides of the fence, but I'm
6 also worried that this is a new model, it's a new
7 approach, and I'm wondering perhaps whether we're
8 using it prematurely in the decision process. From
9 what I heard, it's never been sort of tested, if you
10 will, in this type of forum. And is it appropriate
11 for the panel to make a decision on efficacy of a
12 radiopharmaceutical in this case, for PET scanning,
13 on the basis of an unproven model? Just something to
14 think about.

15 The model doesn't take into account a
16 couple of things also that I was concerned about, and
17 one of the things for example is the timing of
18 therapy. We heard some evidence today that the
19 timing of therapy could influence the outcome. Does
20 the model adequately deal with when the final go is

21 given to treat the patient if we're going to treat
22 all, when is that decision made? Is it made after
23 the first assessment, is it made after six months, is
24 it a year, and are we potentially losing ground in
25 those patients if we delay.

00173

1 This is a dynamic field. We picked
2 acetylcholinesterase inhibitors. Six months from
3 now, maybe it's Valium, I don't know. But the
4 reality is that drug development is going to go on,
5 so we're going to have to deal with a model that
6 takes into account the full spectrum of the benefits
7 as well as the side effects, and whether the test
8 indicates it would be beneficial if the side effects
9 increased. I think you really need to think about
10 that, and take that spectrum into consideration to
11 determine whether or not the test is helpful in the
12 management of patients, not just the specific drug or
13 type of drugs.

14 Clinical trials are going to require
15 improved diagnostic accuracy, they do require the
16 best diagnostic accuracy as far as entrance criteria.
17 How can we sit here and say that it's okay to use a
18 technique that's less accurate for patients to enter
19 clinical trials? Drug development, the NIH would
20 frown on that obviously. PET offers an improved
21 diagnostic accuracy, both models conclude that, so
22 why aren't we using that to enter these patients into
23 clinical trials for future drug development.

24 Now the issue of the accuracy of the
25 outcome data is also affected by which patients you

00174

1 send to those trials. So if you preselect with a
2 tighter criteria you are more likely to have more
3 reliable outcome data upon which to base future
4 decisions and improve your models.

5 The polydrug issue and compliance. From a
6 practical point of view, I remember my grandmother
7 having difficulty timing which medication she took at
8 which time. It may be okay to remember when to take
9 your acetylcholinesterase inhibitor, but when you

10 have five or six drugs, compliance is going to go
11 down, that's just the practical side of it. I don't
12 have data to show you, I just have practical
13 experience and I think everyone in this room probably
14 has the same practical experience.

15 So, I think those are the key things I
16 want to mention on the modeling and I think we need
17 to really, if we're going to consider the fact that
18 this is a new way of looking at this, maybe we ought
19 to be thinking of relying more on our traditional
20 standards, is the test better or equivalent to what's
21 currently available, as opposed to speculating which
22 model is going to do which things and what the
23 assumptions are, whether they are valid or not.

24 DR. PAPTATHEOFANIS: Let me, before I
25 continue on, just briefly give my short-term memory a

00175

1 run of things. Just to respond to Dr. Conti and
2 folks in the audience who have very similar
3 questions, I think in this case we're thinking of
4 modeling as fundamental to the technology assessment
5 policy or technology assessment process. I don't
6 think that anyone should come away feeling that this
7 modeling is in any way experimental, apart from the
8 fact that there are assumptions that have to be made
9 and it's not unlike what folks do in the laboratory
10 when they make assumptions in doing experimental
11 research. The methodologies continue to be worked
12 out, but one should keep in mind that very
13 significant decisions at multilevels in many
14 different industries, many different areas, rely on
15 decision analysis and decision modeling to help them
16 work their way through very complex issues. I think
17 that part of the challenge here is that we are not
18 only looking at a disease process that's complex in
19 its current state if you will, but a disease process
20 that's chronic, and once you enter into the notion of
21 chronicity, it becomes extremely difficult to
22 determine or answer questions such as when does one
23 enter treatment and when is that person entered into
24 the model.

25 I'm sure Dr. Matchar could create tracker

00176

1 variables and do whatever else he has to do to answer
2 questions like that, but I think that that's not
3 really what we were asking of this model. What we
4 wanted was some assistance in clarifying this complex
5 issue and then it turns out the model was the way to
6 get that assistance, and then once we had the model,
7 we obtained expert assistance from folks who are as
8 experienced or more experienced than the members of
9 this panel. So I think the process has been as
10 rigorous and as appropriate as we can make it.
11 I don't think that anyone would argue that
12 the use of PET imaging in clinical trials would be
13 contradictory to anything we're saying here, that
14 clinical trial and the use of novel not only
15 experimental techniques but also the diagnostic
16 components that go with them are open to the clinical
17 trialists in the designs that they come up with and
18 the sponsoring institutions, and I don't think we
19 have said anything here that prevents anyone from
20 using PET in any sort of clinical trial. I think
21 what we're trying to develop is this notion of are we
22 comfortable looking at this complex process using a
23 fairly complex methodology and can we make a decision
24 based on what we have. So, enough editorializing
25 from me, but I just wanted to sort of summarize and

00177

1 move on with that.
2 The third point under our general
3 discussion is the notion, one that we really haven't
4 addressed very keenly, and that is can PET serve as a
5 replacement for rather than an adjunct to the
6 existing approaches or conventional clinical
7 evaluation for suspected Alzheimer's dementia. I
8 wanted to spend a little bit of time here and a
9 little bit of discussion here, especially with some
10 of our clinical experts who have hands-on experience
11 in these areas. Marilyn, I always look at you.
12 DR. ALBERT: I don't know that we actually
13 have much data on this question. Most of the studies
14 that I know of take people who have already been

15 worked up with a clinical evaluation and then see
16 whether or not you can accurately identify them or
17 more accurately identify them. I don't myself know
18 of any studies that have for instance taken everybody
19 in a primary care practice without an evaluation and
20 done a scan.

21 DR. PAPATHEOFANIS: Dr. Johnson.

22 DR. JOHNSON: I'm not aware of any such
23 data. It's a really important question. To some
24 extent when I was reviewing this, I was puzzled by
25 the implication that the structure of this enterprise

00178

1 is that we're looking at one technology against a
2 backdrop of the traditional application of a whole
3 family of things in the setting basically of a
4 neurologist's office. And it made we wonder, how
5 good is the information about that. How much do we
6 know about that in terms of accuracy and so forth?
7 So I think it's a very important question.

8 DR. PAPATHEOFANIS: What's your I guess at
9 this point, highly educated guess regarding
10 replacement or identifying it as a technology that
11 could replace versus serve as an adjunct to?

12 DR. JOHNSON: I think this frequently
13 comes up in neurologic practice when a new technology
14 of any sort comes in from another discipline, and it
15 was true in the case of CT scanning and certainly in
16 the case of various forms of MRI. Again, as Marilyn
17 has pointed out, there is no data and such a study
18 would be a very important thing to do but very
19 difficult to do.

20 DR. ALBERT: I think it's also worth
21 adding that when imaging techniques, sophisticated
22 imaging techniques became available, the original
23 hope was that these tools would be the tests that you
24 could give and you could eliminate the clinical
25 evaluation, and so far people have been disappointed

00179

1 in the ability to do that with a particular test. I
2 think right now the consensus is that maybe if you
3 had a combination of tests you could do that, but

4 that's not based on any data.
5 DR. PAPANATHANOFANIS: Peter.
6 DR. NEUMANN: Those are both excellent
7 points. I would just add, to some extent the model
8 considers such eventualities in the sense that there
9 are extensive sensitivity analysis on the prevalence.
10 So if you believe that first standard workup is much
11 more accurate, PET won't look as good, and if you
12 believe it's much less accurate, it will look better,
13 but you can sort of infer from the sensitivity
14 analysis what's going on.
15 DR. PAPANATHANOFANIS: Excellent point.
16 Sean, did you want to ask any more questions?
17 DR. TUNIS: Maybe since we didn't get open
18 public responses much before, to give one last chance
19 for anyone to offer comments before we move to the
20 voting question.
21 DR. PAPANATHANOFANIS: So are you pretty
22 comfortable then with the discussion on questions 1,
23 2 and 3 at this point?
24 DR. TUNIS: Yeah, I am. I would just ask
25 Dr. Burken or Dr. Furo, do you want to pursue any of

00180

1 those questions any further?
2 DR. BURKEN: Just revisiting question 2,
3 Dr. Papanathanofanis, and this is just a matter of
4 protocol, were we going to run through the whole
5 group and have them comment on question number 2?
6 DR. PAPANATHANOFANIS: No.
7 DR. BURKEN: Okay, thank you.
8 DR. PAPANATHANOFANIS: Let's make available
9 about ten minutes for any additional public comment.
10 Feel free to step up to the podium and either ask us
11 questions, or we can ask you some questions.
12 MS. LATELLE: My name is Candace Latelle
13 with Latelle and Associates. This is probably beyond
14 the purview of this group but I thought I might raise
15 it, perhaps when CMS looks at the broader coverage
16 decision associated with this discussion, and that is
17 as I understand it, these drugs that are being looked
18 at under the treat all scenario are self administered
19 drugs and therefore, they would not be part of

20 covered services for Medicare beneficiaries. And
21 whether or not there is value in a rule-out
22 associated with a PET scan that would then eliminate
23 cost to the beneficiary of not taking those drugs.
24 So again, it's not pertaining specifically to the
25 model, but I think it does deal with the broader

00181

1 coverage question that this model and this discussion
2 will be used for, and that is the exposure of
3 Medicare beneficiaries to increased costs, or the
4 potential for PET to reduce some of those costs in
5 terms of the cost of self administered drugs.

6 DR. TUNIS: Making any comment about
7 payment for outpatient drugs or costs in the context
8 of coverage really is beyond what I'm willing to talk
9 much about, but those are good points.

10 DR. PAPTATHEOFANIS: Right. And also kind
11 of bringing it all the way back, I think that we are
12 not making a recommendation to the American Academy
13 of Neurology, we're not making a recommendation on
14 changing practice guidelines, we are basically
15 reviewing a model as I said, which we use as a tool
16 in helping us to make a decision. Any other public
17 comment?

18 MS. ANDERSON: For the record, the voting
19 members today are Barbara McNeil, Carole Flamm,
20 Jeffrey Lerner, Kim Burcheil, Steven Guyton, and
21 Chairperson Frank Papatheofanis will vote in the
22 event of a tie. A quorum is present. Now I will ask
23 someone from the panel to give us a motion to vote on
24 today's voting question.

25 DR. LERNER: So move.

00182

1 MS. ANDERSON: And I need a second.

2 DR. GUYTON: Second.

3 MS. ANDERSON: Okay, I'm going to read the
4 voting question. Is the evidence adequate to
5 demonstrate that PET has clinical benefit in
6 evaluating patients with suspected AD?

7 DR. TUNIS: Can I ask a question for
8 clarification of the question? And this may be a

9 question for Dr. Burken again, and sorry to have
10 gotten this far without being clear on this, but
11 we've talked today and there have been the three
12 model scenarios for mild to moderate dementia, mild
13 cognitive impairment, and suspected or family
14 history, I guess. Does the suspected Alzheimer's
15 disease encompass all three of those categories
16 together, is that the way the question was intended,
17 would there be -- can you.

18 SPEAKER: It would include the category of
19 probable Alzheimer's, potentially could include
20 symptoms, mild symptoms. It would not include the
21 asymptomatic.

22 DR. TUNIS: One of the reasons, it seems
23 to me that as we got into parsing the discussion
24 about the different scenarios in the model that the
25 model as it applied to mild to moderate dementia for

00183

1 the treat all strategy, that treat all strategy is
2 the gold standard clinical approach, it's the FDA
3 approved use of the drug for mild to moderate
4 dementia, so that the treat all strategy there
5 represents something that is clinically defensible
6 and not speculative. For the mild cognitive
7 impairment it is actually a somewhat different
8 question, because the treat all strategy there has
9 the large caveat of if one believed that the
10 treatment was effective for that population, which
11 strategy would then dominate. And I guess the
12 committee is free to vote on these two questions
13 together, but we also I suppose could consider a
14 separate vote as it relates to mild to moderate
15 dementia, one scenario, and the mild cognitive
16 impairment, which is a different scenario that seems
17 like it has some different characteristics.

18 DR. GUYTON: So in effect though, that
19 second point would endorse an unproven treatment
20 strategy is what you're saying, for MCI. Even though
21 that's not what we're doing, still there is an
22 implication there that that's better than getting a
23 PET scan, by this panel. Is that not right?

24 DR. TUNIS: I guess if you were to vote in

25 the affirmative for that, you would be endorsing an

00184

1 unproven treatment strategy. Is that what you mean.

2 DR. BURCHEIL: Well, I took from what
3 Dr. Matchar said was we need to be very careful to
4 differentiate the scenario A from everything else.
5 And I think from what he said, that was the only
6 implication of the model, although it's a little bit
7 fuzzy because there are these other things out there
8 which were sort of, at no extra charge we'll throw in
9 B and C. But I think this middle ground is crucial
10 because that gets into this issue I was talking about
11 of unproven therapies.

12 DR. PAPTATHEOFANIS: Should we modify the
13 voting question then to only include the scenario A?
14 Can we do something like that and satisfy what you
15 need, Sean, or do you really want to try to break it
16 into those two?

17 DR. TUNIS: It might be based on some of
18 this discussion that the committee would actually
19 like to answer a different question characterizing
20 suspected Alzheimer's disease slightly differently,
21 as in the mild to moderate dementia.

22 DR. BURCHEIL: I would like to make a
23 motion. I would move that we vote on Alzheimer's as
24 defined by scenario A, which is proven or probable
25 Alzheimer's, and not vote on MCI. Maybe one of the

00185

1 neurologists can give a better framework for that,
2 basically voting for Alzheimer's and not the second
3 category.

4 DR. JOHNSON: I guess you would have to
5 specify what exactly you mean and what definition.

6 DR. BURCHEIL: Using the AAN definitions.

7 DR. PAPTATHEOFANIS: Scenario B basically.

8 DR. BURCHEIL: Yeah, staying away from
9 scenario B.

10 DR. PAPTATHEOFANIS: Right. And not having
11 a recommendation based on something that isn't
12 happening right now, and having someone misconstrue
13 that as a clinical recommendation.

14 DR. PAPATHEOFANIS: We have a motion and
15 do we have a second.
16 DR. LERNER: I assume I should withdraw.
17 DR. PAPATHEOFANIS: Okay. Do you have a
18 recommendation? So now the motion on the table is
19 Dr. Burcheil's. Do you have any recommendation on
20 how we can change that language, do we just add a
21 couple of words that say as specified in scenario A.
22 DR. BURCHEIL: Or as defined by the AAN
23 would probably be better; no one is going to
24 understand what scenario A is outside of this room.
25 DR. PAPATHEOFANIS: Right, but I mean, the

00186

1 substance of scenario A.
2 DR. BURCHEIL: Scenario A is effectively
3 those guidelines if I understand that correctly, is
4 that not right?
5 DR. MATCHAR: Yes, scenario A is
6 individuals with functional impairment and therefore,
7 satisfy the criteria for dementia. And the only
8 reason that B is being separated out is because of
9 the absence of evidence about clinical efficacy of
10 treatment in that scenario. However, my
11 understanding is that that was the question that was
12 being raised by the advocates of the PET scanning
13 technology.
14 DR. BURCHEIL: Can I also point out,
15 though, that the advocates, and maybe they want to
16 respond, for MCI they have no outcome data either to
17 put forward, all they have is specificity and
18 sensitivity data. Is that correct for MCI?
19 DR. SILVERMAN: Outcome data using drugs
20 per se?
21 DR. BURCHEIL: Yeah, for treatment
22 outcome.
23 DR. SILVERMAN: Right, outcome data would
24 be based on treating patients who have, as FDA says,
25 mild to moderate Alzheimer's, but changing what that

00187

1 means to include the diagnosis made with the
2 inclusion of PET, along with the standard AAN

3 criteria. Otherwise, you'd never be able to evaluate
4 any new test that wasn't already there.
5 DR. BURCHEIL: I think I'm talking
6 specifically about MCI.
7 DR. SILVERMAN: That's what I'm saying.
8 MCI includes people who do have Alzheimer's and
9 people who don't have Alzheimer's, but without PET,
10 there is no way to distinguish those two groups. If
11 you interpret the FDA label that it is used in people
12 with mild to moderate Alzheimer's, that MCI actually
13 includes some people who have mild Alzheimer's, but
14 before PET there was no way to find those people,
15 then we would say there is outcome data, yes.
16 DR. PAPTATHEOFANIS: Well, Janet's going to
17 read off --
18 DR. ALBERT: Just to say that right now,
19 there are trials underway with patients who meet
20 criteria for MCI with these cholinesterase
21 inhibitors, and they are not yet complete.
22 DR. SILVERMAN: Right, but those trials
23 still won't answer the question. The question is,
24 among those patients with MCI who PET says have
25 Alzheimer's, will they benefit. And there we can

00188

1 just turn to the FDA label and whether or not people
2 believe that PET says they have Alzheimer's, it is
3 more likely they do have Alzheimer's.
4 DR. PAPTATHEOFANIS: Okay. Janet is going
5 to read out a very carefully word smithed
6 modification.
7 MS. ANDERSON: I'm reading this for the
8 purpose of making sure that this is indeed
9 Dr. Burcheil's motion, and then we'll get a second
10 and then we'll vote.
11 Is the evidence adequate to demonstrate
12 that PET has clinical benefit in evaluating patients
13 with suspected AD as defined by the American Academy
14 of Neurology guidelines?
15 DR. BURCHEIL: Is suspected the right word
16 then, because is that the wording in the guidelines?
17 DR. ALBERT: It must be probable and
18 possible AD, is it not?

19 DR. ANDERSON: It's your motion,
20 Dr. Burcheil.
21 DR. BURCHEIL: I'm asking for help from my
22 neurology colleagues here.
23 SPEAKER: There is a member of the
24 committee of the AAN here, you can ask him.
25 DR. ALBERT: I am assuming the language is

00189

1 the same as the NIN/CDS/ADRA criteria, which is
2 probable and possible, but I'm just trying to find
3 it.
4 DR. PAPTHEROFANIS: Not to put you on the
5 spot, but do you know the exact wording?
6 DR. SMALL: I have the entire transcript
7 of the deliberations of the committee in my head. I'm
8 trying to understand what the issues are here. You
9 know, we talk about several terms that can be used.
10 You can talk about questionable dementia, you can
11 talk about possible dementia, and you can talk about
12 probable Alzheimer's disease. I think what we said
13 already, if somebody has probable Alzheimer's
14 disease, you're pretty convinced of the diagnosis,
15 and PET may not be helpful or necessary, it may be
16 something extra. So to use the term suspected
17 dementia, I don't believe that there are actual
18 operational criteria for that.
19 I mean, we're kind of talking about this
20 area where people have cognitive symptoms.
21 Basically, what is the cut point? When we say
22 dementia, what's the difference between dementia and
23 MCI, the basic difference is the person's ability to
24 function. And that's one of the basic differences
25 because you have with MCI primarily a memory

00190

1 impairment that is quite similar to someone with
2 early dementia but they are still functioning in the
3 community. So I think it gets to be when you talk
4 about a suspected dementia, that could be someone who
5 has MCI, you're not quite sure if there is functional
6 impairment, it's this gray zone that I think is very
7 difficult to pin down.

8 I think if you went through the AAN
9 documents, I don't know that you'd get the answer to
10 that. I think my question would be, what's behind
11 the concern in the word smithing? Is the concern
12 that you're going to make a recommendation that
13 people should be treated outside the FDA indications?
14 My understanding was this panel was not making
15 treatment recommendations, all you're doing is
16 judging the technology in terms of its added value in
17 the diagnosis.

18 DR. ALBERT: We have the guidelines here
19 and my reading of it indicates that they use the term
20 dementia to refer to what is then defined in the
21 DSM-IIIR, the DSM-IV or the NIN/CDS/ADRA criteria,
22 all of which use the terms possible and probable AD,
23 not suspected AD.

24 DR. SMALL: Right. And possible AD, as I
25 recall from the NIN/CDS/ADRA criteria, means that

00191

1 there is a dementia but it's possible that there
2 could be several different causes, or could be
3 something -- no?

4 DR. ALBERT: No.

5 DR. SMALL: It doesn't mean questionable?

6 DR. ALBERT: No. It means that someone
7 has a dementia and it's possible that some other
8 medical condition might be --

9 DR. SMALL: Exactly. That's what I mean,
10 but there is a dementia, it's not a questionable
11 dementia.

12 DR. ALBERT: That's correct.

13 DR. SMALL: It's not MCI.

14 DR. ALBERT: Yes, that's correct.

15 DR. PAPTATHEOFANIS: Well, a suggestion on
16 word smithing would be, so, are you recommending then
17 in the wording of the voting question we change the
18 word suspected to possible or probable AD?

19 DR. ALBERT: I think so.

20 DR. PAPTATHEOFANIS: Kim?

21 DR. BURCHEIL: I'm just trying to
22 differentiate this from MCI so we don't sort of
23 overstep where there's very little information.

24 DR. PAPATHEOFANIS: Would you prefer
25 possible or probable?

00192

1 DR. BURCHEIL: I think you have to put
2 both.

3 DR. ALBERT: I think in practice it's
4 possible and probable.

5 DR. PAPATHEOFANIS: I agree. So then the
6 voting question if you agree, Kim, that's on the
7 table, has been changes to: Is the evidence adequate
8 to demonstrate that PET has clinical benefit in
9 evaluating patients with possible or probable AD as
10 defined by the AAN guidelines.

11 DR. BURCHEIL: Right.

12 DR. LERNER: Could you add the word
13 current AAN guidelines?

14 DR. PAPATHEOFANIS: Sure, by current AAN
15 guidelines. You're right, and that's an important
16 point actually. Are you comfortable with that, Kim?

17 DR. BURCHEIL: Yes.

18 MS. ANDERSON: We need a second on this
19 motion.

20 DR. McNEIL: Second.

21 MS. ANDERSON: And we will vote. All
22 those voting for the motion? All those voting
23 against the motion?

24 (Inaudible colloquy.)

25 MS. ANDERSON: Okay. The motion is to

00193

1 vote on the following question: Is the evidence
2 adequate to demonstrate that PET has clinical benefit
3 in evaluating patients with possible or probable AD
4 as defined by current American Academy of Neurology
5 guidelines?

6 DR. McNEIL: I'm sorry. Are we answering
7 this question or are we voting on a motion to make
8 this the question?

9 MS. ANDERSON: I thought that we only had
10 one motion.

11 THE REPORTER: Dr. Burcheil moved to vote
12 on that question, it was seconded, so that is what's

13 before the panel.
14 DR. McNEIL: So we're voting on this, yes
15 or no.
16 DR. PAPTATHEOFANIS: Correct.
17 MS. ANDERSON: Those voting yes?
18 DR. TUNIS: Yes meaning yes, the evidence
19 is sufficient.
20 (No response.)
21 MS. ANDERSON: Those voting no, or against
22 the motion?
23 (All voting members raised their hands.)
24 MS. ANDERSON: We don't have any
25 abstaining, to the vote is against, and it's

00194

1 unanimous.
2 DR. TUNIS: So, just to tie a loop related
3 to, since we altered the original voting question
4 somewhat, what we left on the table at least in my
5 mind but you can tell me how you want to dispense
6 with it, is the issue of mild cognitive impairment,
7 and whether you are as a panel not wanting to vote on
8 that question, or can we potentially have a motion on
9 that question and vote on it separately. Or would
10 you argue that if you voted no on the sufficiency of
11 evidence for possible or probable Alzheimer's
12 disease, automatically the evidence is insufficient
13 for mild cognitive impairment? Any comment on that?
14 DR. McNEIL: Sean, I have one comment.
15 That's why I actually wanted to see the criteria that
16 we were using. So if we're using those criteria in
17 that we have to consider both of them, and if part of
18 the second one is based on an off-label use or an
19 unapproved FDA use, then I think we're in a situation
20 where we can't really follow the guidelines that we
21 made for ourselves because of the non-FDA approval of
22 the drug as I understand it, for mild cognitive
23 impairment. In other words, because it's not
24 approved, are we allowed to look at evidence that
25 would be based on health outcomes? If we are

00195

1 allowed, then I would be able to vote on it.

2 DR. TUNIS: Yeah. It is an FDA approved
3 drug, this would be an off-label use of an FDA
4 approved drug, which is legal. This committee does
5 not have the authorization to make binding
6 recommendations on clinical practice. So the answer
7 is, you can consider it, you are not precluded from
8 considering it. You know, you may take the fact that
9 it's not FDA approved for this indication as part of
10 your deliberation, but you don't get off from having
11 to think about it just because it's not FDA approved.
12 So I guess that's the way I would answer that.

13 DR. PAPTATHEOFANIS: So the question is do
14 we take a second vote on the MCI application of this
15 question? Do we just recast or rephrase this to
16 indicate the MCI application, that would be the most
17 straightforward, right?

18 DR. BURCHEIL: I think we should, because
19 I think this is going to come up and we should be on
20 the record for that. It's obviously a very important
21 point and we could abstain, but it leaves a little
22 doubt as to what the panel had.

23 DR. PAPTATHEOFANIS: Okay. So I need
24 another motion.

25 DR. BURCHEIL: I would move that we amend

00196

1 the question for this next vote to just read mild
2 cognitive impairment instead of AD, using the same
3 verbiage.

4 DR. PAPTATHEOFANIS: Okay. Janet is going
5 to compose that and read that to you before a vote is
6 taken on that.

7 DR. TUNIS: Is Dr. Silverman still here?

8 DR. SILVERMAN: Yes.

9 DR. TUNIS: In regards to this, there was
10 a comment that you made about ten minutes ago or so
11 where it sounded as if you were suggesting that the
12 use of PET in patients with mild cognitive impairment
13 might in fact identify a subgroup who one might then
14 identify as having probable Alzheimer's disease by
15 virtue of the PET findings and who would then qualify
16 for treatment. Did I get that right and is that our
17 argument?

18 DR. SILVERMAN: That's almost right. I
19 wouldn't use the words probably Alzheimer's, that has
20 a very specific definition as assigned by
21 NIN/CDS/ADRA, but that they actually probably have
22 Alzheimer's is what we would say. And there's also a
23 hole that's being left here, if you consider just MCI
24 and just possible and probably AD, because there are
25 many people who have dementia who would qualify by

00197

1 DSM-III or DSM-IV criteria as having dementia who
2 still wouldn't have possible Alzheimer's or probable
3 Alzheimer's. You might think that they have dementia
4 and you might say I know they have vascular disease,
5 and the PET scan might show in fact that they have
6 Alzheimer's disease on top of their vascular disease,
7 so the people who have possible or probable AD don't
8 include all the people who have dementia, those are
9 two issues that are being confused here, or at least
10 there's still a gap of people who aren't being
11 considered by the decision that's being made here.

12 DR. PAPATHEOFANIS: Let's hear the
13 redrafted question.

14 MS. ANDERSON: I'm going to read the
15 question and then I'm going to ask for a motion. The
16 question reads: Is the evidence adequate to
17 demonstrate that PET has clinical benefit in
18 evaluating patients with mild cognitive impairment as
19 defined by current AAN guidelines? A motion to vote
20 please?

21 DR. McNEIL: So move.

22 MS. ANDERSON: I need a second.

23 DR. FLAMM: Second.

24 MS. ANDERSON: To the question that I just
25 read, anyone voting yes, or for the question?

00198

1 (No response.)

2 MS. ANDERSON: Anyone voting no, or
3 against the question?

4 (All voting members raised their hands.)

5 MS. ANDERSON: No one abstaining. We have
6 a unanimous vote against.

7 DR. TUNIS: So provoked again by that last
8 comment by Dr. Silverman, we don't want to leave any
9 holes here, so we have now voted on this mild to
10 moderate, or the possible or probable Alzheimer's
11 disease and we voted on mild cognitive impairment.
12 It's possible that we had actually wanted to vote on
13 this broader category of the dementia that
14 Dr. Silverman just talked about when we did the first
15 vote, I don't know. Let's address it at least
16 because otherwise we will be haunted by it to the end
17 of our days.

18 DR. SILVERMAN: Since I provoked it, can I
19 suggest an alternative, that you vote, rather than
20 include that in the original, that you just vote on
21 that as a third category right now, that people who
22 meet the category of dementia but don't meet the
23 category of possible or probable Alzheimer's disease
24 by NIN/CDS/ADRA criteria?

25 DR. ALBERT: We haven't heard any data

00199

1 about that.

2 DR. TUNIS: Because unfortunately, I guess
3 Dr. Matchar has left, but Deb, scenario A or whatever
4 the heck it was --

5 DR. ZARIN: You're talking about people
6 who have dementia and by the AAN criteria don't have
7 probable or possible AD, you're saying have some
8 other cause of dementia, but the argument is they
9 might also have AD?

10 DR. SILVERMAN: That's correct.

11 DR. ZARIN: I guess conceptually you could
12 think of that as a different, as a lower prior
13 probability in your sensitivity analysis. I mean,
14 that's the only way I can think of the model applying
15 to that group. They'd have some probability of AD
16 that's less than the probable AD group that's higher
17 than zero is the argument, and if you recall those
18 sensitive analyses, as the prior probability goes
19 down, you would have to pull it out, but I guess --
20 let me say that besides applying the model, I don't
21 know of any test accuracy data on PET scans in that
22 group, so I would think that the first bullet

23 wouldn't -- I mean as far as I know, there is no data
24 on what the operating characteristics of PET would be
25 in that group, so I don't think you can go beyond

00200

1 that. Forget about what I said about trying to apply
2 the model, I don't think you could even get there.

3 By your look I don't think what I said helped.

4 DR. TUNIS: It all helps.

5 DR. GUYTON: I would move that we proceed
6 on toward adjournment without any further motions.

7 DR. TUNIS: I don't think that's an
8 appropriate motion yet.

9 DR. PAPATHEOFANIS: So, what's the bottom
10 line, do we take a third vote.

11 MS. ANDERSON: If there's no motion, then
12 there's no vote.

13 DR. PAPATHEOFANIS: Okay, no motion, no
14 vote. Are you okay with that or is that going to
15 leave you hanging?

16 DR. TUNIS: I'm okay with that.

17 DR. PAPATHEOFANIS: What's next on the
18 agenda, panel business.

19 MS. ANDERSON: I think we did it. If Sean
20 has anything else he wanted to add in addition to his
21 previous comments this morning.

22 DR. TUNIS: No. I would just point out,
23 first of all, thank the panel and all the guests and
24 our presenters for their good work, and also point
25 out that we are still formally operating under the

00201

1 rules for the MCAC that this recommendation will have
2 to be forwarded to the Executive Committee. There
3 has not yet been a statutory or regulatory change
4 that allows this panel to directly recommend to CMS.
5 There is an Executive Committee meeting scheduled I
6 believe it's April 16th, so that's when this will go
7 before the Executive Committee. And other than that,
8 just thanks again for your assistance.

9 DR. PAPATHEOFANIS: Let me also add my
10 thanks again, especially to the three ad hoc members
11 who behind the scenes and also today have helped

12 other members of this committee arrive at some very
13 reasonable conclusions and make some recommendations.
14 I would also like to thank Janet Anderson for all her
15 help, and I think with that --

16 MS. ANDERSON: One last thing before we
17 go. For continuing information, you can visit our
18 web site at www.cms.hhs.gov/coverage, or there is a
19 coverage process button on the cms.hhs.gov web site.
20 To conclude today's session, would someone move that
21 this meeting be adjourned.

22 DR. GUYTON: So move.

23 MS. ANDERSON: A second?

24 DR. LERNER: Second.

25 MS. ANDERSON: Thanks everyone, the

00202

1 meeting is adjourned.
2 (The meeting adjourned at 2:20 p.m.)

3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25