

100001

1
2
3
4
5
6
7
8
9

10 CENTERS FOR MEDICARE AND MEDICAID SERVICES

11 Medicare Evidence Development & Coverage Advisory

12 Committee

13
14
15
16

17 September 22, 2010

18

19 Centers for Medicare and Medicaid Services

20 7500 Security Boulevard

21 Baltimore, Maryland

22

23 Reported by:

24 Paul Gasparotti

25

00002

1 Panelists

2

3 Chairperson

4 Clifford Goodman, Ph.D.

5

6 Vice-Chair

7 Saty Satya-Murti, M.D., F.A.A.N.

8

9 Voting Members

10 Ray Baker, M.D.

11 Kevin J. Bozic, M.D., M.B.A.

12 Helen Darling, M.A.

13 Charles Davis, III, M.D., Ph.D.

14 Jeffrey G. Jarvik, M.D., M.P.H.

15 Susan Kendig, J.D., M.S.N.

16 Edward Kim, M.D., M.B.A.

17 Courtland G. Lewis, M.D.

18 Robert McDonough, M.D., J.D.

19 J. Sanford Schwartz, M.D.

20 Andrew Sloan, M.D., F.A.C.S.

21 Robert L. Steinbrook, M.D.

22

23 Industry Representative

24 Peter Juhn, M.D., M.P.H.

25

00003

- 1 Panelists (Continued)
- 2
- 3 Guest Panel Members
- 4 John S. Kirkpatrick, M.D., F.A.C.S.
- 5 Raj Rao, M.D.
- 6
- 7 Invited Guest Speaker
- 8 Julie Glowacki, Ph.D.
- 9
- 10 CMS Liaison
- 11 Tamara Syrek Jensen, J.D.
- 12
- 13 Executive Secretary
- 14 Maria A. Ellis
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

00004

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks and Introduction of Panel	
5	Maria Ellis/Tamara Syrek Jensen/	
6	Clifford Goodman	6
7		
8	CMS Presentation of Voting Questions	
9	Deirdre O'Connor	14
10		
11	Invited Guest Speaker Presentation	
12	Julie Glowacki, Ph.D.	20
13		
14	Technology Assessment Presentation	
15	Thomas Ratko, Ph.D.	36
16		
17	Scheduled Public Comments	
18	Brian K. Kwon, M.D., Ph.D., F.R.C.S.C.	86
19	R. Patrick Jacob, M.D., F.A.C.S.	93
20	Richard Kuntz, M.D.	99
21	William G. De Long, Jr., M.D.	105
22	Christopher M. Bono, M.D.	109
23		
24	Open Public Comments	
25	Jeffrey Ziegler	114

00005

- 1 TABLE OF CONTENTS (Continued)
- 2

3	Questions to Presenters	117
4		
5	Initial Open Panel Discussion	146
6		
7	Formal Remarks and Voting Questions	165
8		
9	Final Open Panel Discussion	199
10		
11	Closing Remarks/Adjournment	213
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at 8:30
3 a.m., Wednesday, September 22, 2010.)
4 MS. ELLIS: Good morning and welcome, committee
5 chairperson, vice chairperson, members and guests. I am
6 Maria Ellis, the executive secretary for the Medicare
7 Evidence Development and Coverage Advisory Committee,
8 MedCAC. The committee is here today to discuss the
9 evidence, hear presentations and public comment and make
10 recommendations concerning the currently available
11 evidence regarding the clinical benefits and harms of
12 on-label and off-label use of bone morphogenetic protein,
13 BMP.

14 The following announcement addresses conflict of
15 interest issues associated with this meeting and is made
16 part of the record. The conflict of interest statute
17 prohibits special government employees from participating
18 in matters that could affect their or their employer's
19 financial interests. Each member will be asked to
20 disclose any financial conflicts of interest during the
21 introduction. We ask in the interest of fairness that all
22 persons making statements or presentations disclose any
23 current or previous financial involvement in any company,
24 including Internet or E-commerce organizations, that
25 develops, manufactures, distributes and/or markets bone

00007

1 morphogenetic protein, or devices or services, hardware,
2 implants, surgical instruments related to bone
3 morphogenetic protein. This includes direct financial
4 investments, consulting fees and significant institutional

5 support. If you haven't already received a disclosure
6 statement, they are available on the table outside of this
7 room.

8 We ask that all presenters please adhere to
9 their time limits. We have numerous presenters to hear
10 from today and a very tight agenda, and therefore cannot
11 allow extra time. There is a timer at the podium that you
12 should follow. The light will begin flashing when there
13 are two minutes remaining and then turn red when your time
14 is up. Please note that there is a chair for the next
15 speaker, and please proceed to that chair when it is your
16 turn. We ask that all speakers addressing the panel
17 please speak directly into the mike, and please state your
18 name.

19 For the record, voting members present for
20 today's meeting are Dr. Saty Satya-Murti, Dr. Ray Baker,
21 Dr. Kevin Bozic, Helen Darling, Dr. Charles Davis, III,
22 Dr. Jeffrey Jarvik, Sue Kendig, Dr. Edward Kim,
23 Dr. Courtland Lewis, Dr. Robert McDonough, Dr. J. Sanford
24 Schwartz, Dr. Andrew Sloan, and Dr. Robert Steinbrook. A
25 quorum is present and no one has been recused because of

00008

1 conflicts of interest.

2 The entire panel, including nonvoting members,
3 will participate in the voting. The voting scores will be
4 available on our website following the meeting. Two
5 averages will be calculated, one for voting members and
6 one for the entire panel.

7 I ask that all panel members please speak
8 directly into the mikes, and you may have to move the
9 mikes since we have to share.

10 There is a TV network broadcasting and recording
11 today's MedCAC meeting. This is in addition to the CMS
12 web NR and transcriptionist. By your attendance you are
13 giving consent to the use and distribution of your name,
14 likeness and voice during the meeting. You are also
15 giving consent to the use and distribution of any
16 personally identifiable information that you or others may
17 disclose about you during today's meeting. Please do not
18 disclose personal health information.

19 If you require a taxicab, there is a sign-up
20 sheet at the desk outside of the auditorium. Please
21 submit your request during the lunch break. Please
22 remember to discard your trash in the trash cans located
23 outside of this room.

24 And lastly, all CMS guests attending today's
25 MedCAC meeting are only permitted in the following areas

00009

1 of CMS single site, the main lobby, the auditorium, the
2 lower level lobby, and the cafeteria. Any persons found
3 in any area other than those mentioned will be asked to
4 leave the conference and will not be allowed back on CMS
5 property again.

6 And now I would like to turn the meeting over to

7 Tamara Syrek Jensen.
8 MS. JENSEN: Good morning, and welcome. I'm
9 Tamara Syrek Jensen, the deputy group director in the
10 Coverage and Analysis Group.
11 In general we have two types of MedCAC meetings.
12 Most of the times we have a MedCAC meeting because we have
13 an open national coverage determination. In today's
14 meeting we don't have an open decision, but clearly are
15 very interested in the MedCAC panel's opinions about the
16 evidence that they are reviewing, so we look forward to a
17 very good discussion today, and again, thank you and
18 welcome.

19 DR. GOODMAN: Thank you, Tamara. I'm Cliff
20 Goodman, the chair of MedCAC. Today we've got a pretty
21 ambitious agenda with a finite amount of time, so with
22 that in mind, we do expect that all of our speakers, as
23 well as our panelists, will be on point and concise today.
24 Do speak into the microphone. If you've got
25 something to say and you don't come to the microphone, you

00010

1 won't be heard by our court reporter and won't be in the
2 record. So it just won't help us unless you have been
3 recognized and are at the microphone. We've got I believe
4 five scheduled public comments today, each of which has
5 been allotted seven minutes. As you heard from Maria
6 Ellis, it's really important to stay to those seven
7 minutes, and I and my cochair, Dr. Satya-Murti, kindly but
8 firmly suggest that each scheduled speaker think now about
9 focusing your comments on information that pertains
10 directly to today's voting questions, please do focus on
11 that as much as possible in your limited amount of time.
12 And if you plan to present material that you will soon
13 find or later this morning find that might be repetitive
14 of previous speakers or is merely background information
15 about your organization or your association, you might
16 consider dispensing with that material and focusing
17 instead on what you want this committee to hear and know
18 about for this particular issue today. So in any case,
19 please do heed the traffic light system up there. Do know
20 that we will proceed to the next speaker once you've used
21 your allotted seven minutes because we do need to get to
22 the core of this today.

23 Moving to disclosures, and I will start that
24 off, I am Cliff Goodman, vice president of the Lewin
25 Group. Lewin is one of multiple subsidiaries of a company

00011

1 called Ingenix, which is a healthcare information and
2 analysis firm. Ingenix in turn is one of multiple
3 subsidiaries of UnitedHealth Group. You may know that
4 another subsidiary of UnitedHealth Group is
5 UnitedHealthCare. I have a mutual fund with healthcare
6 holdings that may involve one or more of the companies who
7 have an interest in today's proceedings, but I have no
8 financial interest pertaining to today's topic.

9 Dr. Satya-Murti.

10 DR. SATYA-MURTI: Saty Satya-Murti. I am a
11 neurologist and independent consultant. I have no
12 conflicts of interest.

13 DR. BAKER: I'm Ray Baker, I'm an
14 anesthesiologist from Seattle, Washington. I have no
15 conflicts of interest pertaining to anything being said
16 today.

17 DR. GOODMAN: Dr. Bozic.

18 DR. BOZIC: I'm Kevin Bozic, from the University
19 of California San Francisco. I have consulting relations
20 with UnitedHealthCare as well as the Pacific Business
21 Group on Health.

22 DR. GOODMAN: Thank you. Ms. Darling.

23 MS. DARLING: I'm Helen Darling from the
24 National Business Group on Health, and I have no
25 conflicts.

00012

1 DR. GOODMAN: Dr. Davis.

2 DR. DAVIS: I'm Charles Davis, with the Penn
3 State College of Medicine, and I have no conflicts of
4 interest.

5 DR. GOODMAN: Dr. Jarvik.

6 DR. JARVIK: I'm Jeffrey Jarvik from the
7 University of Washington. I have no direct conflicts of
8 interest, although I do consulting for the healthcare
9 industry.

10 DR. GOODMAN: Ms. Kendig.

11 MS. KENDIG: I'm Susan Kendig, associate
12 teaching professor at the University of Missouri St. Louis
13 and coordinator of the Women's Health Nurse Practitioner
14 Program, as well as an attorney in private practice. I
15 have no financial disclosures.

16 DR. GOODMAN: Dr. Kim.

17 DR. KIM: I'm Edward Kim, I'm a psychiatrist and
18 employee of Novartis Pharmaceuticals Corporation. I have
19 no financial conflicts of interest.

20 DR. GOODMAN: Dr. Lewis.

21 DR. LEWIS: Courtland Lewis, I'm an orthopedic
22 surgeon from Hartford, Connecticut. I have no conflicts
23 of interest today.

24 DR. GOODMAN: Dr. McDonough.

25 DR. MCDONOUGH: I'm Bob McDonough, Clinical

00013

1 Policy and Research for Aetna. I work for a company that
2 pays for bone morphogenetic proteins.

3 DR. GOODMAN: Dr. Schwartz.

4 DR. SCHWARTZ: I'm Sandy Schwartz, I'm a
5 professor of medicine, health management and economics at
6 the medical school and the Wharton School at the
7 University of Pennsylvania. I also serve on the Blue
8 Cross Blue Shield medical advisory panel and have served
9 as a consultant in outcomes and effectiveness research for
10 Abbott, Amgen, Bayer, Genentech, Johnson & Johnson, Merck,

11 but none of them that I am aware of are working in this
12 area, but I have no idea what these people are working on
13 most of the time.

14 DR. GOODMAN: Thank you, Dr. Schwartz. Dr.
15 Sloan.

16 DR. SLOAN: I'm Andrew Sloan, I'm a neurosurgeon
17 at University Hospital, Case Medical Center in Cleveland.
18 I have no conflicts.

19 DR. GOODMAN: Dr. Steinbrook.

20 DR. STEINBROOK: Robert Steinbrook, Dartmouth
21 Medical School. I'm an internist and I have no conflicts.

22 DR. GOODMAN: Dr. Juhn.

23 DR. JUHN: Peter Juhn, from Medco Health
24 Solutions. I'm the industry rep. There are no financial
25 conflicts, however.

00014

1 DR. GOODMAN: Dr. Kirkpatrick.

2 DR. KIRKPATRICK: John Kirkpatrick, professor
3 and chair of the department of orthopedics at the
4 University of Florida, Jacksonville. I have potential
5 conflicts although not real, with stock ownership in
6 Zimmer, Johnson & Johnson and Pfizer, and I also have
7 departmental conflicts with educational support being
8 received from Medtronic and Stryker.

9 DR. GOODMAN: Dr. Rao.

10 DR. RAO: Raj Rao, professor of orthopedics and
11 neurosurgery at the Medical College of Wisconsin. No
12 conflicts of interest.

13 DR. GOODMAN: Thank you all very much, thank
14 you. We're now going to move to Dr. Julie Glowacki, is
15 that correct? Pardon me. We're going to move to Deirdre
16 O'Connor, who is going to provide the presentation of
17 voting questions from CMS.

18 DR. O'CONNOR: Hi. I'm Deirdre O'Connor, I have
19 no conflicts. I apologize for the contrast on the slides,
20 that's my fault, so we will get on with this.
21 This is the MedCAC for on-label and off-label
22 use of bone morphogenetic proteins. BMPs are growth
23 factors that have the potential of inducing the formation
24 of new bone. While BMPs were discovered in 1965, they did
25 not become commercially available until 2001 in this

00015

1 country. Two recombinant BMPs have some form of FDA
2 approval, rhBMP-2 and rhBMP-7. There are reports stating
3 that up to 85 percent of BMP use is for off-label
4 indications. There have also been a number of reports of
5 adverse events associated with the use of BMP.

6 BMPs are available commercially in this country
7 under FDA premarket approval, PMA, and humanitarian device
8 exemption, HDE. For information on the PMA and HDE
9 on-label uses of BMPs, the panel can look at the appendix
10 that summarizes the FDA actions and public health
11 notifications on BMPs.

12 There are three PMAs, INFUSE for lumbar spine,

13 INFUSE for open tibial fracture, and INFUSE for sinus.
14 There are three HDEs, OP-1 for long bone nonunion, OP-1
15 for posterior lateral fusion in the lumbar spine, and
16 INFUSE Mastergraph for posterior lateral fusion in the
17 lumbar spine. This is just a one-page summary of the FDA
18 actions for the BMPs that we're looking at today.
19 The difference between PMA and HDE, this is a
20 very simplistic definition of the difference, it's much
21 more complicated. PMA is the most involved process under
22 FDA. To reasonably assure that a device is safe and
23 effective, PMA requires valid scientific evidence that the
24 probable benefits to health from the intended use of the
25 device outweigh the probable risks, and that the device

00016

1 will significantly help a large portion of the target
2 population. PMA requires robust and independent evidence
3 to support the safety and effectiveness of the device
4 under review.

5 HDE is a process used for a device that is
6 intended to benefit patients by treating or diagnosing a
7 disease or condition that affects fewer than 4,000
8 individuals in the United States per year. HDEs are
9 exempt from requirements to demonstrate effectiveness.
10 They must pose no unreasonable risk, or at least the
11 probable benefit should outweigh the risk. The device
12 must be used in a facility with an institutional review
13 board.

14 Off-label use is defined as any use for other
15 than the specific indications and the specific manner in
16 the FDA approval.

17 We will move on to the voting questions. For
18 all voting questions, the clinically meaningful outcomes
19 of interest for CMS are pain, patient function and adverse
20 events. A scale identifying level of confidence, with one
21 being the lowest or no confidence and five representing a
22 high level of confidence, will be used for the voting
23 questions.

24 The first voting question is: How confident are
25 you that there is adequate evidence to determine whether

00017

1 or not the use of BMPs in each of the following
2 indications improves at least one of the clinically
3 meaningful health outcomes? A: On-label use in, one, the
4 lumbar spine; two, open tibial fractures; and three,
5 recalcitrant long nonunions. B: Off-label use in, one,
6 cervical spine; two, lumbar spine; and all other.

7 The second question: How confident are you that
8 there is adequate evidence to determine that the use of
9 BMPs in the lumbar spine for each of the indications
10 identified below improves at least one of the clinically
11 meaningful health outcomes? A, FDA PMA on-label use; B,
12 FDA HDE on-label use; C, off-label use.

13 Number three. How confident are you that the
14 evidence is adequate to conclude that the use of BMPs for

15 FDA HDE on-label use in recalcitrant long bone nonunions
16 improves at least one of the clinically meaningful health
17 outcomes?

18 Number four. How confident are you that the
19 evidence is adequate to conclude that the use of BMPs for
20 FDA PMA approved on-label use for the treatment of acute
21 open tibial fractures improves at least one of the
22 clinically meaningful health outcomes?

23 Number five. How confident are you that the
24 evidence is adequate to conclude that the off-label use of
25 BMPs in the cervical spine improves at least one of the

00018

1 clinically meaningful health outcomes?

2 And number six. How confident are you that
3 these conclusions are generalizable to the Medicare
4 patient population, and B, the community-based settings?

5 We have one discussion question for the panel.

6 In the absence of evidence-based guidelines, what types of
7 research are needed to address evidence gaps so that
8 physicians can appropriately counsel patients on the risks
9 and benefits of the use of BMPs?

10 That's it.

11 DR. GOODMAN: Thank you very much, Ms. O'Connor.

12 We will now be moving on to a presentation from
13 Dr. Glowacki. As she is moving up to the podium, I just
14 want to remind the panel and our guests of a few things
15 with regard to the questions. First, do take note that
16 these questions focus on the outcomes for patients,
17 patient outcomes. You may hear a lot today about other
18 kinds of things that these products may do or offer, but
19 the questions that CMS has posed to us regard pain,
20 patient function and adverse events. These are patient
21 outcomes.

22 Second, for those of you that haven't been on
23 this panel before, or those that have been before, the set
24 of questions resembles those that we often see which
25 distinguish between two sorts of evidence questions up

00019

1 front, what are the kinds of questions we ask first, and
2 this is characteristic of question one, regards not what
3 the evidence says just yet, but how good or adequate is
4 the body of evidence to conclude something. So it's the
5 adequacy of the evidence, number one, and then for those
6 where there's adequate evidence as judged by the panel,
7 then we look into what the evidence actually says. So
8 there is a distinction between the kind of question one
9 and those subsequent questions. That's important to keep
10 in mind, and as we listen to the presentations this
11 morning about the evidence and so forth from the scheduled
12 presenters and others, it's always helpful, at least I
13 know that Dr. Satya-Murti and I find, to be listening for
14 things that address the questions in particular.
15 So at the close of the day we will ask about
16 things pertaining to what we call generalizability or

17 external validity, that's question six typically when we
18 say well, we've heard a lot of evidence today, but how
19 applicable is it to the Medicare beneficiary population,
20 and then we also ask about how applicable is it to
21 community settings, sometimes we call it effectiveness in
22 the real world.

23 And then question seven is not a voting
24 question, it's a discussion question where we try to roll
25 up our observations during the day regarding if there are

00020

1 any evidence gaps in particular, what sort of evidence
2 might address or fill those evidence gaps.
3 So the flow of questions is generally the kinds
4 of things we've seen before at MedCAC meetings, I just
5 wanted to provide a little ramp-up to that.
6 And with that, we're going to have a
7 presentation now from Dr. Julie Glowacki, who's a
8 professor of orthopedic surgery at Harvard Medical School.
9 She's also professor of oral and maxillofacial surgery at
10 the Harvard School of Dental Medicine, and with orthopedic
11 research at the Brigham and Women's.
12 Welcome, Dr. Glowacki. You've got about, they
13 tell me here, about 20 or 25 minutes.

14 DR. GLOWACKI: I think I can do it faster than
15 that.

16 DR. GOODMAN: Okay, but don't do it so fast we
17 don't understand it.

18 DR. GLOWACKI: Okay. I have no financial
19 conflicts, but I chose to disclose that in 2002 I applied
20 for a gift from Wyeth of BMP-2 in the collagen sponge that
21 was provided to clinicians in order to do research that
22 they do not support, and I have been a past volunteer for
23 many many years to the activities of the American
24 Association For Tissue Banks.

25 I'm going to give a brief summary of the history

00021

1 of the BMP OP-1 story, but it's first important to get
2 some terminology established. There are three important
3 key words listed on this slide, representing the three
4 mechanisms of bone formation that occur with bone grafts
5 or implants. The first is osteogenesis, which is the
6 direct formation of bone by osteoblast; osteoconduction,
7 in which the material provides a passive scaffold for the
8 growth of bone from the margins of the implantation site;
9 and the third mechanism is osteoinduction, where the
10 material stimulates non-skeletal cells to become skeletal
11 cells wherever the material is positioned.
12 I'll also talk a bit about assays that are used
13 to demonstrate something that is osteoinductive, I will
14 spend a moment or two talking about the native BMPs and
15 how we get recombinant BMPs, and then a number of research
16 questions will be posed.
17 Regarding bone grafts, implants and substitutes,
18 autograft really starts the history and is still currently

19 considered the gold standard. An autograft refers to the
20 transfer of viable bone from one part of the body to
21 another location. It relies upon osteogenesis, the
22 transplanted osteoblasts must be alive, or they must be
23 living pre-osteoblast, and then create new bone in the
24 position where they have been placed.
25 An allograft or an alloimplant refers to bone

00022

1 tissue that's transplanted from one person to another
2 person, usually through banking mechanisms. You have to
3 be concerned, with respect to tissue transplantation,
4 antigens and inflammatory materials that are the result of
5 there being dead cells in there, and so the process is
6 very important for addressing those issues. And once the
7 material is cleaned, one still has to deal with the
8 balance between resorption of the implant and the ingrowth
9 of new bone by osteoconduction.

10 The third category refers to alloplastic or
11 synthetic materials, which would include metals and
12 recombinant methods.

13 The history goes back to Marshall Urist, who was
14 the original champion of this, and he referred to his
15 various things he was using back in the '60s as AAA bone,
16 which is an abbreviation of autolyzed antigen-extracted
17 allogeneic bone, and he was very concerned with coming up
18 with a protocol that would reduce the antigenicity, would
19 reduce inflammatory debris in order to enhance the
20 incorporation of allogeneic bone, and what he determined
21 was that in testing for inflammation and antigenicity, he
22 implanted the test materials into a muscle pouch, and he
23 discovered that the material when properly processed was
24 osteoinduction, actually forming new bone in that muscle
25 pouch where he implanted it.

00023

1 The key steps for AAA bone preparation is
2 organic extraction with organic solvents to remove
3 inflammatory and antigenic components, and the extraction
4 in his case was primarily one or two millimeters of
5 surface demineralization because he was using large
6 allografts. Auto-digestion of the cellular material
7 results if they're deprived of their blood supply. And
8 then for storage, freeze-drying and vacuum packaging.
9 We were involved with demineralized bone, and
10 the protocol was modified from what was done in rats by
11 other investigators, the key investigator is Hari Reddi
12 and Charlie Huggins. The key steps in what we were doing
13 was to reduce the size of the bone to particulate it in
14 order to get a faster reaction in vivo, organic
15 extraction. After extraction we use the term
16 demineralized bone, it's really just a jargon, because
17 many other things are removed from bone, many acid soluble
18 molecules are removed from bone by the acid treatment, but
19 we just refer to it with shorthand as demineralized. And
20 because of the acid extraction, it's very important to

21 remove all of the acids in order to neutralize the pH of
22 the material and that has to be done with extensive water
23 washes and the dehydration for storage.

24 During the 1980s and 1990s, we had extensive
25 clinical input on the material from plastic and

00024

1 reconstructive in pediatric and adult patients with
2 craniomaxillofacial, periodontal and orthopedic surgery.
3 The importance of acid extraction is shown on
4 this slide. On the left is what happens histologically
5 after the subcutaneous implantation in rats of
6 demineralized bone products. On the ninth day one sees
7 the appearance of those chondrocytes producing cartilage
8 matrix in and around the particles of the demineralized
9 bone, and by 14 days all the cartilage is gone, the tissue
10 has been replaced by bone and hematopoietic matter, and
11 then goes on in the sequence. If everything is done the
12 same except for the acid extraction, a very very different
13 tissue is formed, it's what I call gold particles, and
14 here you see it with red staining, indicating the
15 osteoblast-specific enzyme, and the appearance of
16 osteoblasts should not be full of particles of bone, and
17 they may be the resorption of bone particles, and by three
18 or four weeks all this has been removed, a very very
19 different fate.

20 Here's a closer look at it. This scanning
21 electron micrograph down in the bottom corner shows
22 particles of demineralized bone two hours after they were
23 incubated with human skin fibroblasts, which are important
24 for osteoinduction, and within two hours all the cells
25 attached to the particles and spread out. Histologically

00025

1 we can't follow it in humans, but here's a sequence scan
2 in rats. An ingrowth of connective tissue cells is a very
3 benign looking reaction, not inflammatory at all, the
4 appearance of these beautiful chondrocytes, and once the
5 tissue becomes vascularized it becomes mineralized, it
6 undergoes chondrolysis, it's restored as shown on the
7 slide here in a hematopoietic manner.
8 Marshall Urist used a similar assay. That was
9 with subcutaneous implantation, he used intramuscular
10 implantation in order to purify and isolate the active
11 component in the demineralized bone matrix. In 1979 he
12 determined by biochemical means where he would subject the
13 material to different very specific enzymes, and
14 determined that the activity was chemically identified as
15 a hydrophobic glycoprotein.
16 Urist also identified another 18,500 molecular
17 weight protein that he called BMP, solubilized with very
18 very strong solutions of urea or guanidine hydrochloride,
19 but he noted in this very important paper that in this
20 soluble form the material was very very weakly active. So
21 why didn't he call it BMP? Because that was the essential
22 component in composites that he put together to show

23 activity.
24 Now this figure is very important; it describes
25 the x-ray showing all of the bone growth that was induced

00026

1 after he implanted the material, but notice that it's two
2 parts of the BMP protein, seven parts of other proteins,
3 one part of another one, and a total of three milligrams
4 showing up there. In other words, the material had to be
5 insolubilized before its activity could be tested in this
6 assay.
7 Meanwhile, Drs. Sampath and Reddi were also
8 pursuing a course of isolating the active components from
9 demineralized bone. Starting off with active bone, you
10 put it under the skin of the rat, and it induces new bone
11 formation when extracting from it this strong agent,
12 guanidine hydrochloride, and that left a residue that was
13 inactive on its own, and all of these solubilized
14 molecules were also inactive on their own. This was a
15 classic biochemical technique to separate and enrich for
16 these different proteins on the basis of their molecular
17 weight, on their charge, you could come up with different
18 fractions of it and then one by one add them back to this
19 inactive residue, and lo and behold, one of these when
20 added back rendered this reconstituted material active
21 when you put it into an in vivo assay, and so that
22 material then gets the definition of BMP. In their case
23 they refer to it as osteogenetic protein.
24 Once you have purified proteins with the
25 explosion of molecule technology and recombinant

00027

1 technology, it's possible to get a recombinant material,
2 and this is a quick summary of that partial amino-acid
3 sequence of these proteins, so you can then use the
4 genetic code to translate back to what the gene would be
5 that would produce that protein, and you design what's
6 called cognate oligonucleotide probes. That allows you to
7 then fish out into molecular cloning of genes that have
8 sequences that are like that.
9 Now you can't go the other way, you don't get a
10 single one, so you get an array of genes. Each of those
11 can then be placed into a phage and you can do expression
12 synthesis of this, you get bacteria to produce large
13 amounts of the protein that's encoded by that gene. Then
14 with all that material you can do safety and efficacy
15 studies in animal models, and move forward to clinical
16 applications.
17 In doing that, this is a brand new list of all
18 of the molecules that have been identified in this manner.
19 This organizational chart represents the similarity in the
20 protein structure when the two materials are identified as
21 being close on this. For example, BMP-2 as far as the
22 amino-acid sequence, BMP-2 is the material that is
23 marketed in the INFUSE products, and then you see quite a
24 distance from it, there is BMP-7, also know as OP-1, the

25 other marketed product or group.

00028

1 And then in the course of identifying all of
2 these genes and their expression during osteogenesis, one
3 comes up with a whole array of natural inhibitors that
4 stop the activity of these proteins during their
5 embryological development of the mouse usually, and then
6 there are all of these BMP inhibitors then that are being
7 investigated, again with this organizational chart. Here
8 you'll see Noggin, one of the important natural
9 inhibitors, and we'll refer to that in a couple of
10 seconds.

11 This is one of the first preclinical models that
12 showed real hope for using BMP recombinant proteins for
13 clinical application, and there are two x-rays here
14 showing the production of bone in this location and that
15 location with the BMPs. This was done by a different
16 surgical technique, a less invasive technique in this
17 particular paper.

18 There will be a lumbar discussion about clinical
19 efficacy that I'm going to show in a slide that showed the
20 first clinical potential where a success was defined
21 clinically with the Oswestry questionnaire scores, and in
22 red I have highlighted the group that had the implantation
23 of the recombinant BMP-2 versus the autograft that was a
24 comparison of it, and one can see here in the number of
25 patients that showed improvement, clinical improvement was

00029

1 evident three months postoperatively, and it continues to
2 improve. Unfortunately it's not possible statistically to
3 show whether this improvement shows superiority or
4 inferiority with the other materials because the numbers
5 are just a little too tight, but it showed the potential.
6 One of the big questions was why is so much of
7 this recombinant protein needed, and in some of the
8 literature that I reviewed it was between three and 32
9 milligrams of the protein per site. Well, think back to
10 the Marshall Urist paper, think back to the way that it
11 had to be purified, it can't be reprecipitated. One
12 thing, as reported by Wozney, showed that one molecular
13 gram of BMP could be isolated from one kilogram of bone,
14 so how much would be necessary to substitute or to replace
15 the bone? Concentrations of BMP a million times greater
16 than that found in the human body, according to Dr. Hsu,
17 an academic.

18 So the problem is posed in several ways. Is the
19 carrier needed to deliver this soluble molecule to the
20 site where you need it, is the carrier, does a special
21 carrier need to be designed in order to contain it so it
22 doesn't just diffuse away and just lower its
23 concentration, or do you need the carrier to stabilize the
24 protein, because once a protein is put into tissues, it is
25 subject not only to diffusion but also to degradation by

00030

1 ubiquitous proteins? But it can also be asked whether the
2 osteoinductive signal is not in a form that can be
3 dissolved or fully reproduced with the recombinant
4 protein. One can also ask whether multiple molecules are
5 needed to reproduce the efficacy of AAA bone or
6 demineralized bones.
7 We're now going to talk about some of the
8 experimental basic science about these points. What we
9 did is tried to compare the effects of demineralized bone
10 versus the recombinant BMP-2 on a collagen sponge when
11 targeted to the fibroblasts in vitro, and we were looking
12 at early genes that are signaled in the target cells by
13 these osteoinductive materials.
14 In this graph we can see that there's
15 equivalence in our signal we're establishing. This was
16 reassuring to us because it told us that we probably had a
17 good concentration, a good equivalent in concentration,
18 but many many other genes are not regulated in this early
19 step equally by BMP and DBP, and so in this paper we
20 concluded that although BMP was originally isolated as a
21 putative inductive factor in demineralized bone, the
22 recombinant BMP-2 and DBP do not affect all of the genes
23 in all of the ways, and some of the ones that I put on
24 this graph are targets of another protein called TGF beta,
25 and I found with some literature on this side was BMP-2

00031

1 and on this side would be OP-1, which shows how you can
2 enhance the activity.
3 I call your attention, let's just look at this
4 Collagen II section here, TGF beta gives you this much
5 induction, BMP-2 gives you this much induction, but when
6 you add the two of them together, you get a much larger
7 response just by itself.
8 Over on this side, this is a very interesting
9 paper, it's induction in, intramuscular in baboons, old
10 world baboons, conducted by Dr. Ripamonti in Africa. And
11 what he showed here in blue hatched is the OP-1 alone,
12 showing the increase in activity, in bone formation in
13 vivo with increase in concentration. But in two of his
14 25, for example, starting with OP-1, when you add
15 increasing amounts of TGF beta, you see more bone
16 formation. The other startling thing is that in this
17 baboon study, which has not been shown in any other animal
18 model, is the osteoinductive effect of TGF beta alone, and
19 that's represented by this bar. In the rats that we've
20 studied, and the mice and other animals, TGF beta doesn't
21 have independent activity.
22 We will skip that one.
23 One of the important factors in understanding
24 the biochemistry of the actual BMP was the recognition
25 that the native molecule is made up of two chains of BMP,

00032

1 but it's not two chains of BMP-2 into BMP-7, but it's made
2 up of two chains, with one of each of those particular

3 amino-acid sequences, and the two chains are coherently
4 bound together, linked together with what is called a
5 bridge, making them a very stable parent.
6 So that raises the question of whether you need
7 to have one BMP-2 and one BMP-7 in this heterodimer,
8 meaning two, in order to get activity. And that's what's
9 shown on this slide, is the BMP-7 story. Here's in the
10 blue the four and four, in this is two chains of seven and
11 seven, but if you have one chain of four and one chain of
12 seven, much stronger activity, more like the native
13 molecular activity considered in the studies.
14 Now looking at the BMP-2 studies, there's also
15 literature out there on this, and this shows in the blue
16 increasing amounts of the homodimer with two chains of
17 BMP-2, and here's much more activity at lower
18 concentration when you have one chain of two and one chain
19 of seven.
20 There's an understanding, a partial
21 understanding of why this is, so if you look at the
22 inhibitors, and this is what one has to worry about with
23 respect to the fate of these materials, what is the
24 cascade of events that occurs? In this case we're looking
25 at this Noggin, this is looking at muscle cells that are

00033

1 the target of the BMPs. In looking at how much Noggin or
2 inhibitor is induced by each of them when you look at
3 BMP-2 alone or BMP-7 alone, but the heterodimer of two and
4 seven induces less of the inhibitor. Does that mean it's
5 going to be more potent, does that mean it's going to be
6 more enduring in its activity?
7 Another interesting thing is that knowing on its
8 own, if you add BMP heterodimer, two and seven, and add
9 Noggin to it, it's not as strong an inhibitor to that
10 heterodimer. Yet, if you add Noggin to BMP-2 you will see
11 this diminishing activity, and here's the BMP-7 showing
12 the same thing.
13 Yes, you have a question?
14 DR. GOODMAN: No.
15 DR. GLOWACKI: Two minutes, okay. See, I told
16 you I would be fast.
17 So some of the research questions that basic
18 scientists around the world have been asking and are
19 continuing to ask are listed on this. People are
20 interested in what the appearance, what the temporal
21 appearance, which BMPs occur in different stages of their
22 development, as well as their inhibitors as the skeleton
23 is produced in the embryo. What about its growth in
24 adolescent growth of animals, which are the BMPs and which
25 are the inhibitors that are more vulnerable. What happens

00034

1 to the fracture material, is it a complete recapitulation
2 and then a regeneration, or is there something different
3 going on in terms of the bone formation?
4 And a big area of research concerns osteosarcoma

5 and the role that BMP plays with sarcoma. An investigator
6 at the University of Chicago, Dr. Ho is one of the people
7 who is comparing the amount of BMP-2 within normal
8 osteoblast or osteosarcoma cells, and I think right now
9 that BMP-9 is the most dysregulated of all the sarcoma
10 cells.

11 Now in order to understand the mechanism of
12 action of the BMPs, this whole field, we need to know
13 about the receptors, signaling molecules, inhibitors,
14 because this, the specificity, the tissue specificity of
15 what cells the BMP is going to act on are required in
16 this.

17 Now one of the things that we've learned with
18 mice engineered with gene knockouts is when you knock out
19 a BMP, there's many many activities beyond those, and in
20 fact Dr. Reddi suggested that we shouldn't call them bone
21 morphogenetic proteins, they should be body morphogenetic
22 proteins because of other effects that these genes have on
23 heart development, eye development, kidney development.
24 While clinical trials provide evidence that BMP
25 contributes to bone formation alone or with an autograft,

00035

1 what further information is needed? What's the mechanism
2 of action in humans, are these superphysiological
3 activities, how can we safely improve on the efficacy and
4 reduce the potential side effects, different
5 complications, different locations, and what's the
6 significance of an antibody formation that occurs in all
7 of these patients? And I think these questions give an
8 opportunity for academic scientists, company people and
9 the government agencies to work together to answer these
10 questions. Thank you.

11 DR. GOODMAN: Thank you very much, Dr. Glowacki.
12 Well, there you have it, the molecular, cellular and
13 tissue development up to preclinical, thank you very much,
14 and also for the basic and technical vocabulary.
15 Next we're going to have a presentation on the
16 technology assessment, and as Dr. Ratko approaches the
17 podium, I just remind our panel that typically when CMS is
18 anticipating a MedCAC meeting such as this, it will often
19 ask AHRQ, the Agency for Health Research and Quality, also
20 in the Department of Health and Human Services, to prepare
21 a systematic review and an accompanying evidence report or
22 a technology assessment. These evidence-based practice
23 centers, among, there are 13 of them that are contracted
24 under AHRQ, and there is a subset of them I believe that
25 prepare these reports for MedCAC meetings such as ours.

00036

1 Also because of matters of timing, the evidence
2 questions that are posed and addressed by the EPC don't
3 always line up exactly with the evidence questions we
4 actually see before us, so there's a lot of overlap here,
5 but the set of questions don't go precisely hand in hand,
6 so you want to allow for that.

7 In any case, our technology assessment
8 presentation is going to be by Dr. Thomas Ratko, who is
9 the associate director of the Blue Cross Blue Shield
10 Association Technology Evaluation Center, i.e., one of our
11 EPCs, and welcome very much, Dr. Ratko. I will remind our
12 panel that we received this ahead of time, it's thicker,
13 and this is the technology assessment in full. Welcome,
14 Dr. Ratko.

15 DR. RATKO: Thank you, Cliff. As Dr. Glowacki
16 and Dr. O'Connor led off, they took a lot of my slides
17 away, I have no disclosures, so what I'm going to do is go
18 right to the methods of the systematic review.
19 They were originally taking questions that we
20 were asked to review and I will talk about each one of
21 them in order, except for number nine. So going to our
22 methods, what we did was compile a systematic review. We
23 developed a protocol a priori. We developed a search
24 strategy and as far as our dates, we started with 1998
25 because we felt that would probably encompass what we

00037

1 needed, and then went through, the end of the search was
2 July 28th, 2009, but we updated that on February 25th,
3 2010. We searched MEDLINE, EMBASE and Cochrane, and went
4 from there.

5 The patient populations, obviously patients with
6 some sort of bone-related condition for which intervention
7 is undertaken. The interventions we've talked about,
8 BMP-2, INFUSE, and BMP-7. Dr. O'Connor mentioned what the
9 off-label determination was, and we were asked to look at
10 that, but we only extracted that kind of material if the
11 study was interrelated.

12 The comparators you see here, they're
13 osteoconductive, osteogenic or osteoinductive agents,
14 autologous bone, the gold standard, allogeneic bone, bone
15 marrow, demineralized bone matrix, and also surgery and
16 placebo.

17 When we do a systematic review, we first specify
18 as much as we can what outcomes we're looking for.
19 Dr. O'Connor mentioned the key, and Dr. Goodman mentioned
20 the key outcomes that are important to the patient, pain,
21 function, but we also looked at the radiography,
22 conventional or computer tomography; that's almost a
23 universal measure in these studies. We've seen pain
24 scores, quality of life scales, combined scales, Oswestry
25 Disability Index and the Neck Disability Index.

00038

1 Neurological status in the spinal studies. The dental,
2 the maxillofacial applications have some very specific
3 outcomes that we took a look at. And then because we were
4 looking at off-label uses, we didn't know what exactly we
5 were going to find when we got into the literature, so we
6 couldn't really clarify that.

7 I just want to make a couple points as it
8 relates to spinal fusion outcomes and the question with

9 regard to radiographic assessment. These are primarily
10 standard anteroposterior and lateral flexion-extension
11 radiography, but often CT is used when the radiographs are
12 not conclusive. And typically in spine studies there was
13 an independent evaluation by two radiologists who was
14 unaware of the treatment, and there was an adjudication by
15 a third as needed. The criteria are pretty standard, the
16 presence of bilateral bridging bone between transverse
17 processes, the absence of motion as defined there, and
18 absence of radiolucent lines.

19 One of the key points I want to make is that as
20 we've heard, the clinical outcomes reflect those that are
21 important to the patient, but typically we would look at
22 three or four of these together, and these are right here.
23 Overall success in these spinal fusion studies had to meet
24 these criteria, radiographic evidence of successful
25 fusion, absence of severe device-related adverse events,

00039

1 the absence of the need for a second surgical procedure at
2 the index level, and then maintenance or improvement in
3 the neurological status, and this is typically reported as
4 a proportion of patients achieving that.

5 I also want to make the point that the available
6 evidence is inconclusive as to whether there is a
7 necessary correlation between approved clinical outcomes
8 and radiographic fusion success. That's an important
9 point. When it comes to fractures, it's really been
10 difficult to define fracture healing that's clinically and
11 biologically accurate. For example with tibial fractures,
12 non-union may be defined as ranging anywhere from two to
13 12 months.

14 Again, this is most often assessed using
15 conventional radiography, which as everyone knows, is
16 widely available, delivers a low dose of radiation, and it
17 allows for qualitative assessment of callus formation,
18 cortical bridging, loss of the fracture line, and
19 trabecular crossing.

20 Again, the correlation between radiographic
21 fusion and mechanical strength is not well established,
22 and it's really still unclear whether the radiographic
23 measures correspond to clinical outcomes that are
24 important to the patient, those we're going to consider.
25 When you see the term clinical success, it's a combined

00040

1 outcome measure which involves conventional radiography
2 and results of clinical questions on pain and
3 weight-bearing, and these are typically reported as a
4 proportion.

5 The importance of harms, we originally looked at
6 the McMaster Quality Assessment Scale, a 15-question
7 scale, and tried to distill it down in a way that would
8 allow us to see just a view of how the harms were
9 reported. And when you see the six questions there, is
10 there an explanation of how they were identified, was a

11 standardized or validated instrument or scale used, was
12 ascertainment similar in all study groups, was a measure
13 of severity reported, were harms attributed to the study
14 intervention likely causally associated, and were the
15 number and type of harms reported separately for study
16 groups.

17 Our study selection criteria are here. We
18 prefer to see randomized clinical trials, and we also
19 looked at nonrandomized comparative trials that would
20 provide some sort of direct evidence that utilized BMP
21 therapy in patients with a defect that required
22 intervention. We also looked at other studies that
23 reported harms that appeared to be related to the device
24 itself, one of the two devices. We excluded non-English
25 articles. We originally included them in our search but

00041

1 we came to the conclusion that their inclusion would not
2 change the story any at that point. We assessed study
3 quality using the United States Preventive Services Task
4 Force criteria, the general approach, and then we did a
5 data synthesis that involved the GRADE Working Group,
6 which addresses the areas of bias, consistency, directness
7 and precision. We did not do any sort of quantitative
8 meta-analysis; this was purely raw data with a GRADE
9 analysis.

10 Here's the results of the literature search. We
11 found 1,608 citations in MEDLINE, about 500 in EMBASE, 54
12 in Cochrane, for a total of 1,992. We excluded 1,738 at
13 initial title abstract review, we retrieved 254, and 114
14 were compiled in this report.

15 This sort of gives you a distribution of what
16 we're looking at, and as we've heard earlier, these report
17 about 85 percent of off-label use in the spine. Overall,
18 we found in our systematic review about 73 percent, so
19 very similar.

20 We also decided just to take a quick look at
21 the, as one of our quality indicators, is what studies did
22 any sort of power analysis, we culled through all of the
23 statistical methods on every paper, and we found only 33
24 percent of the studies that were reported as on label had
25 any sort of reporting on power and sample size a priori,

00042

1 and only seven percent of the studies reporting off-label
2 indications had any sort of a priori reporting of this.
3 This is just a slide to show you what the
4 universe of non-comparative studies of BMP-2 and BMP-7,
5 and you can find the various ways, that these products are
6 in use in many many applications, as I'll show you as we
7 go on.

8 Coming back to the questions, key question one:
9 What is the evidence supporting improved outcomes with
10 on-label use of rhBMP-2 or INFUSE for fusion of the
11 lumbar-sacral spine? We considered two randomized
12 clinical trials plus a pooled analysis that we ultimately

13 didn't consider in the GRADE analysis. These trials
14 reflect on-label use according to the PMA. Of note, our
15 search did not identify any trial deemed on label for the
16 product initially approved via the HDE, the
17 INFUSE/Mastergraph.
18 You'll see that this study, the one by Burkus is
19 a very substantial size study, and then a smaller one by
20 Boden. We see typically a nice result with BMP-2,
21 radiographic fusion success, ODI success, no difference in
22 leg pain mean score, work status was improved a bit,
23 patient satisfaction was the same, and this is versus
24 autograft bone, and then a smaller study with similar
25 results. Using the GRADE analysis we find that the

00043

1 strength of evidence is moderate to support clinical
2 benefit from the use of rhBMP-2 in this setting, as
3 patients can also avoid the additional procedure of
4 autograft bone harvest. But we also have concluded that
5 the size and duration of the randomized trials are
6 insufficient to precisely determine the frequency and
7 severity of device-related adverse events.
8 Key question two, what is the evidence
9 supporting improved outcomes with on-label use of rhBMP-7,
10 or OP-1, for fusion in the lumbar spine? We didn't
11 identify any published comparative studies on this key
12 question. However, we did take a look at the FDA
13 submitted HDE study, which the panel can find at page 37
14 in Table 9, and you see the results here showing that
15 generally versus autograft bone, the OP-1 had improved
16 clinical success rates, radiographic success, and overall
17 success. We don't have any significant differences
18 reported on these, we just have these numbers.
19 The FDA when they did this, based their
20 conclusion on the fact that there is preclinical evidence
21 for the benefit, or the activity of rhBMP-7, the patients
22 who it's intended for, which would be revision, revision
23 surgery, have probably already undergone autograft bone
24 and maybe are not good candidates for another harvest and
25 another fusion. So they put all the data together based

00044

1 on this, these were patients in the primary setting, and
2 made the conclusion that it would be approvable under HDE.
3 Key question three is, what is the evidence
4 supporting improved outcomes with on-label use of rhBMP-7
5 in recalcitrant long bone nonunions? We identified two
6 randomized trials and a cohort study, which I have shown
7 here, but you can see them on page 40, Table 12. Again,
8 what we have here is we have a nice comparison of fusion
9 or clinical success showing a benefit of BMP-7 in the
10 Calori study. We also show a very similar result in the
11 Friedlander study, versus autograft bone.
12 We also find that due to the difference in the
13 comparators and the quality of the study, in particular
14 Calori, the strength of the evidence is low to support

15 improved outcomes with on-label use of BMP-7 for long bone
16 nonunions.
17 Key question four is, what is the evidence
18 supporting improved outcomes with on-label use of rhBMP-2
19 or INFUSE for the treatment of acute open-shaft tibial
20 fractures? The main evidence here is the BESTT trial, a
21 BMP-2 evaluation in surgery for tibial trauma compared to
22 different doses of BMP-2, versus standard of care.
23 There's also a subgroup analysis available for patients
24 with Gustilo-Anderson Type III fractures in that, and
25 there's a smaller study which to our knowledge had a total

00045

1 of 60 patients and used the identical inclusion criteria
2 procedure that was put into this combined subgroup
3 analysis.
4 You can see the key outcome here was the
5 invasive secondary intervention rate, which showed a
6 substantial benefit with BMP-2 versus standard of care in
7 both the subgroup analysis and BESTT, as well as improved
8 clinical success rates and a significantly shorter median
9 time to actual healing in that study, and significantly
10 lower infection rates. Putting it together, we find that
11 the strength of evidence is moderate for on-label use of
12 BMP-2 to enhance fusion in open shaft fractures.

13 Key question five is, what is the level of
14 evidence and summary of evidence for the on-label use of
15 rhBMP-2 or INFUSE for sinus augmentation? There are two
16 randomized trials of staged bilateral or unilateral
17 maxillary sinus augmentation and one RCT of extraction
18 socket alveolar ridge augmentation procedures, which are
19 found on pages 46 and 47, Tables 19 and 20.
20 What we see here is BMP-2 in the Boyne study
21 compared to often a mix of autograft bone and allograft
22 bone really did not seem to have any significant
23 improvement or benefit, but they weren't worse, and this
24 is universal in these studies, with the exception of the
25 Fiorellini study where in the no treatment group there was

00046

1 a significant benefit of a higher dose.
2 These are three well done studies. We rated
3 them good by USPSTF, and we find overall that the strength
4 of the evidence is moderate that BMP-2 does not improve
5 prosthesis implantation and functional loading compared to
6 autograft plus allograft bone. We also find that the
7 strength of the evidence is moderate that oral sensory
8 loss associated with autograft bone harvest can be avoided
9 by use of BMP-2.

10 I'm going to turn to key question six. For
11 which indications are there clinical studies in which BMP
12 is used off label? In such studies, what is the evidence
13 of the effectiveness? We looked at three different
14 settings, looking at the lumbar-sacral spine, we looked at
15 the cervical spine, and then the rest of the indications
16 that we came across.

17 What I'm looking at here is rhBMP-2 for
18 lumbar-sacral spinal fusion. We have identified six
19 randomized studies and also five nonrandomized comparative
20 studies which I have not shown here, but they are
21 available in the report.

22 The next slide shows the reasons why they're
23 categorized off label. Boden et al., 2002, was the use of
24 rhBMP-2 matrix in an unapproved posterolateral surgical
25 approach. The Burkus study used INFUSE with cortical

00047

1 threaded allograft bone dowels rather than an approved
2 interbody device. In Dawson the BMP-2 was used with an
3 unapproved matrix; I want to note that this HDE was
4 voluntarily withdrawn by Medtronic in early 2010. The
5 Dimar study used BMP-2 in an unapproved matrix in a
6 posterolateral approach. Glassman used BMP-2 in a
7 posterolateral approach, and also did multilevel fusions
8 with an additional discretionary use of autograft
9 extenders. And finally, Haid used BMP-2 in a
10 posterolateral interbody fusion, and this study was
11 terminated early.

12 What we decided in our GRADE deliberations was
13 we gave the greatest weight to the two largest RCTs,
14 Burkus and Dimar. We both rated them fair, and their
15 outcomes showed significant improvements in radiographic
16 fusion success when compared to autograft bone. There
17 were very similar ODI mean point scores, and significant
18 improvements in leg pain, mean scores in SF-36 functional.
19 Our GRADE conclusion was that the strength of
20 the evidence was moderate that rhBMP-2 improves
21 radiographic fusion success based on the two largest RCTs.
22 We also find that no conclusions can be drawn regarding
23 the potential impact of the off-label components of the
24 radiographic fusion success, and that the strength of
25 evidence is moderate to support clinical benefit from

00048

1 off-label use of BMP-2 as patients can avoid the
2 additional procedure of autograft bone harvest and its
3 associated adverse events.
4 These are the off-label randomized trials of
5 lumbar-sacral fusion spine fusion with BMP-7. We found
6 four of them, as you see here. These are the reasons why
7 we deemed these off label. Vaccaro et al. was OP-1 putty
8 for primary fusion. Johnsson et al. was OP-1 implant,
9 which is not indicated for spinal fusion. Kanavama et al.
10 was OP-1 putty, which again was a primary fusion study,
11 and Vaccaro again was OP-1 putty in a primary fusion
12 study.

13 We decided that the best available evidence for
14 the efficacy comes from Vaccaro, a nice sized trial with a
15 nice length of 36-plus months, showing similar
16 radiographic fusion success rates, a similar ODI success
17 rate, a similar increase in ODI mean point score, and
18 similar neurologic success rates. However, based on the

19 a priori designation that we needed at least two trials,
20 comparative trials to do a GRADE analysis, we find that
21 the strength of the evidence is insufficient to draw
22 conclusions on the off-label use of BMP-7 in fusion of the
23 lumbar-sacral spine.

24 Now turning to the cervical spine fusion, we've
25 identified five trials that used BMP-2 in cervical spinal

00049

1 fusion. Three of them were primarily considered adverse
2 events to the device. Two of the studies actually gave
3 some results, Baskin et al., a 2008 study, and Vaidya
4 et al., a 2007 nonrandomized study. Based on what we have
5 here, we find that the strength of the evidence is
6 insufficient to draw conclusions about radiographic fusion
7 success or associated changes in neck disability measures
8 in cervical spine fusion.

9 However, we did look at the incidence of
10 swelling that has been reported, and we see three studies
11 here that provide some data on that. Butterman et al.
12 shows a significant amount of swelling compared to the use
13 of autograft bone in the anterior cervical spine, as does
14 Smucker et al., as well as some dysphagia. We find that
15 the strength of evidence is moderate that off-label use of
16 rhBMP-2 in anterior cervical spinal fusion increases
17 cervical swelling and related complications.

18 Now I'm going to go to a few miscellaneous
19 off-label uses. I'm not going to talk about them much.
20 They're typically small trials, they're one off-spin, they
21 really do not give us any sort of strength of evidence to
22 draw conclusions about their outcomes in these settings.
23 Here's a numbered list, just an exercise in compilation
24 that I did, and as you see, the strength of the evidence
25 is insufficient to draw conclusions about the outcomes.

00050

1 I want to turn to question seven. What is the
2 evidence of adverse events with on-label use of BMP and
3 off-label use of BMP, and at what dosage and
4 administration do such adverse events occur? I'll
5 dispense with dosage and administration because we really
6 didn't find any studies that were intended to evaluate
7 that in any sort of rigorous way.

8 The main conclusions that we have here are that
9 overall, the summarized evidence on BMP-specific harms in
10 comparative studies is insufficient to draw conclusions in
11 most studies, and this is, again, found in the report at
12 Table 36.

13 The strength of the evidence is moderate that
14 off-label use of rhBMP-2 in anterior cervical spine fusion
15 increases cervical swelling and related complications.

16 The body of evidence suggests that autograft
17 bone harvest may be associated with pain at the harvest
18 site, but it is not possible to systematically assess the
19 frequency, duration and clinical significance of these
20 harvests, and overall, autograft harvest-associated harms

21 were inconsistently reported.
22 We find it's not possible to strictly associate
23 the use of a BMP device with an adverse event in the
24 non-comparative studies, and it's also not clear that the
25 absence of reported harms in studies reflects a true

00051

1 absence, or whether the investigators didn't seek the
2 information or report it.
3 Now we're going to get to key question eight.
4 What is the quality of reporting or adverse events in
5 publications? Provide a summary to support the
6 conclusion. This is where we bring in the McHarms or the
7 distilled questions, and what you will see in this slide
8 is that really the reporting of harms, as I alluded to, is
9 very inconsistent.
10 For example, was ascertainment similar in all
11 groups; in 92 percent of the studies yes, eight percent
12 no. But was the measure of severity reported; in 85
13 percent of the studies no, in 15 percent yes. This sort
14 of gives us a picture of the overall quality of the body
15 of evidence which allowed us to come to some of our GRADE
16 conclusions.
17 Here are the off-label comparative studies
18 again. It's all over the world, there's inconsistencies
19 in reporting, and what we find is that our main conclusion
20 is that the reporting of harms amongst comparative studies
21 was inconsistent, and again, whether or not the absence of
22 harms reflects a true absence, or that the investigators
23 did not seek such data or did not report it.
24 I'm going to skip over key question nine and go
25 right to key question ten. What is the age distribution

00052

1 of study patients compared to the Medicare population, age
2 65 and older? If you look at the entire body of evidence
3 among all the studies, the mean reported age was typically
4 in the mid to upper 50 years range. However, there is a
5 range of 16 years to 87 years in the entire body of
6 evidence, so we really aren't sure about the first
7 question.
8 And what are the considerations in generalizing
9 evidence from trials to the age 65 and older Medicare
10 populations, such as comorbid conditions and this
11 population's susceptibility to adverse events? We
12 identified one trial performed by Glassman and colleagues
13 that's the study most relevant to age 65 years and older
14 Medicare population. This study does not specifically lay
15 out outcomes to age or comorbidities. And also,
16 considerations relevant to generalizing from studies in
17 the non-Medicare population include patient age, the
18 presence of comorbidities such as osteoporosis or
19 diabetes. However, in generalizing it from the available
20 studies, we also believe the dose and surgical methods
21 should be considered. And I think that's it.
22 DR. GOODMAN: Thank you very much, Dr. Ratko.

23 And Dr. Ratko, if you wouldn't mind staying at the podium,
24 we're going to take a few minutes now for discussion if
25 you don't mind. And if did Dr. Ratko's slides could come

00053

1 back up, because we want to make reference to some
2 numbered ones.

3 Well, panel, Dr. Ratko covered a lot of
4 territory very quickly there, and I know we all did have a
5 chance to look at our full text technology assessment, and
6 I wanted to thank MedCAC staff for handing out his slides
7 if you hadn't printed them before. I wanted to take some
8 time now just to kind of cover some items that you might
9 have gone through pretty quickly there, and it was a very
10 effective presentation, I might add. A few things here.

11 Can you go to slide 26, and I will just start this off,
12 and if you have some other questions, we will do this.
13 Thank you.

14 Panel, just to kind of get our bearings once
15 again here, this is sort of a 50,000-foot view of the
16 number of comparative studies, so this is kind of a high
17 level lay of the land here. And I know that some of our
18 questions deal with on-label, off-label, so forth, and
19 you'll see some of the various kinds of BMPs at the top,
20 the twos and the sevens and so forth, but do just take a
21 look at how big this body of evidence is. You will see
22 that the on-label studies covered there, you see the
23 number there, 12, or 27 percent of the total; off-label
24 studies there's 29, which comprises 73 percent of the
25 total, so there are, basically the body of literature that

00054

1 we're looking at, I think comprises the 41 studies,
2 correct?

3 DR. RATKO: That was the body of literature that
4 met our selection criteria.

5 DR. GOODMAN: And the selection criteria are
6 outlined. Yes, Dr. Jarvik.

7 DR. JARVIK: Relating to the number of studies
8 in the search strategy, was there an attempt to identify
9 trials that were registered but not published?

10 DR. RATKO: No, we didn't.

11 DR. GOODMAN: So as is typically the case when
12 we look at stuff that's been peer reviewed that's out
13 there, sometimes the presenters will say things about
14 other things in the pipeline and so forth, and you may use
15 your judgment on whether or not those are relevant for our
16 proceedings today.

17 DR. JARVIK: And I think we have to keep in mind
18 the issue of publication bias, and I understand we always
19 deal with that.

20 DR. GOODMAN: We do like peer review, not a bad
21 thing, but yes, it's important to maintain that
22 distinction, and there are things in the pipeline. Yes,
23 Dr. Schwartz.

24 DR. SCHWARTZ: Are you done with this, Cliff?

25 DR. GOODMAN: I've got a few more to go over.

00055

1 DR. SCHWARTZ: I've got a quick question that's
2 not directly related, so I will wait until you get done.

3 DR. GOODMAN: Okay. Slide 27 please.

4 DR. JUHN: Can I ask a question?

5 DR. GOODMAN: Sure, I'm sorry. Slide 26 for Dr.
6 Juhn.

7 DR. JUHN: I have a question about your bottom
8 bullet points here about the reporting of power or sample
9 size.

10 DR. RATKO: Yes.

11 DR. JUHN: When you say that there was some
12 level of reporting, can you give us some sense of what you
13 mean by some level of reporting, does it mean that they
14 actually did a power calculation or did they provide the
15 numbers so a power calculation could be done, could you
16 just clarify that?

17 DR. RATKO: Yes, that is exactly it, whether
18 that was even mentioned or presented.

19 DR. GOODMAN: So again, before we leave this
20 slide, comparative studies, A versus B, what have you, 41,
21 that's the body of evidence of the published studies.

22 Next slide, please, slide 27. Thank you.

23 These are the number, this is the number of
24 non-comparative studies by surgical study down the
25 left-hand column, and by BMP type, the sevens and the

00056

1 twos. So this is another way to kind of get a lay of the
2 land here in terms of distribution of the evidence, not
3 saying anything about the quality of the evidence at this
4 point, but the distribution of the evidence for those
5 studies. There's certainly more non-comparative studies
6 than comparative studies, and we see that a lot of the
7 action here is with the BMP-2 for cervical and lumbar,
8 those are two of the biggest chunks of evidence there, so
9 do take note of the size of that body of literature.

10 Next slide, please. This is an example of one
11 of the key questions, and you will notice here a couple of
12 things. First of all, this has to deal with improved
13 outcomes, we care about outcomes. This is on-label use
14 for BMP-2 for lumbosacral. I just want to point out to
15 the panel that we're looking basically here at two
16 studies, so this is looking at two particular studies that
17 fall into this particular niche. Notice on the right-hand
18 side the column that deals with USPSTF study quality. I
19 want to make a distinction here because we'll be using it
20 for the rest of the day.

21 The U.S. Preventive Services Task Force has a
22 well recognized evidence appraisal scheme, and their
23 scheme at this level is on a study-by-study basis, okay?
24 And this is described in more detail in our technology
25 assessment at pages 27 and 28, but what's important to

00057

1 keep in mind here is that on a study-by-study basis
2 they're graded good, fair and poor. In this particular
3 case these are two fair studies, all right?
4 And that's different from what the GRADE
5 approach does, which we will see later. In the GRADE
6 approach, rather than looking at the single study level,
7 the body of single studies, we'll look at the body of
8 evidence, the set of studies comprising the evidence for
9 any particular evidence question. So the USPSTF is for
10 individual studies, GRADE is for the body of studies, are
11 they kind of constant or aligned, or are they in
12 opposition and so forth. So that will be a very useful
13 distinction, I think, for the rest of today.
14 In this case you will see at the bottom of the
15 slide under GRADE conclusions, there is a reference to the
16 strength of evidence being moderate, and then the size and
17 duration of the RCTs are not sufficient to precisely
18 determine in this case frequency and severity of
19 device-associated adverse events. So you're going to see
20 comments about how good is the evidence, is the quality of
21 the evidence, and then other statements about what the
22 evidence might say, and that's relevant to our questions
23 as well.
24 Okay. Those are just by way of some kind of
25 navigation points. Dr. Satya-Murti. Oh, was it

00058

1 Dr. Schwartz?
2 DR. SCHWARTZ: The question I had is, you noted
3 earlier, I don't have the slide in front of me, but you
4 noted earlier that there was insufficient evidence
5 basically across the board for potential harms,
6 particularly outside the site of the implantation. Are
7 there any sort of meta-analysis or has anybody gone back
8 to do any evidence, people trying to aggregate these
9 relatively small studies to see if there was anything that
10 showed up in that area?
11 DR. RATKO: I'm not aware of any meta-analysis.
12 There was a study by Cahill in JAMA last year that used,
13 it was a database study that tried to look at some harms,
14 but it didn't distinguish between the devices, and I
15 believe it was mostly in the spine. Other than that, I'm
16 not aware of any distillation of that, except there is
17 one -- actually, I take that back. There is one from HTA
18 that came out, I believe two years ago, and that's the
19 only other one.
20 DR. SCHWARTZ: And what did that show, what did
21 they conclude?
22 DR. RATKO: Well, their conclusions are very
23 similar.
24 DR. SCHWARTZ: So even having done that, the
25 information base is inadequate.

00059

1 DR. RATKO: Correct.
2 DR. GOODMAN: Thank you, Dr. Schwartz.

3 Dr. Sloan I think is next.

4 DR. SLOAN: With regard to the USPSTF study
5 quality, what are the ratings, fair?

6 DR. GOODMAN: They're good, fair and poor.

7 DR. SLOAN: Good, fair and poor.

8 DR. RATKO: Yes, sir.

9 DR. SLOAN: And can you give us sort of a
10 breakdown of clinical studies in general, is there an even
11 breakdown, or what percentage are good, what percentage
12 are fair, what percentage are poor?

13 DR. GOODMAN: I'll take a shot at that because
14 we only asked Dr. Ratko to look at this body of evidence.
15 It's quite different. I mean, in some clinical
16 indications and some evidence questions it all kind of
17 bunches up at the good end and for some it bunches down at
18 the poor end, so there's no typical distribution across
19 all the different types of evidence questions in clinical
20 areas.

21 The criteria, by the way, used for USPSTF is
22 shown on page 27 of our complete evidence report.

23 I believe, is it Dr. Lewis who was next? Yes.

24 DR. LEWIS: Dr. Ratko, just a quick question for
25 you. I understand that your key questions were developed
00060

1 somewhat independently of the ones we're asked to look at
2 today. You have addressed the issue of adverse events in
3 one of the later questions, but using key question number
4 one as an example, we're asked to look at meaningful
5 health outcomes including pain and patient function, and
6 the primary endpoints for these two studies, for example,
7 are primarily radiographic endpoints. My question is, do
8 you have any sense of whether the GRADE conclusions would
9 be changed based on the use of a secondary outcome in
10 these particular studies as opposed to radiographic
11 outcomes? In other words, can we extrapolate from your
12 GRADE conclusions in general, not for specific studies,
13 but in general to our questions that are put before us?

14 DR. RATKO: Well, I think we can see, in
15 particular the Burkus study, that the ODI success as a
16 composite measure showed a little benefit, not of
17 statistical significance. Again, we see a similar
18 increase in mean score improvement in work status. So I
19 think if you took away the radiographic success, I think
20 it wouldn't really change things.

21 DR. GOODMAN: Thank you. Dr. Bozic is next.

22 DR. BOZIC: My question is actually
23 clarification for you and for CMS, that we are in fact not
24 to consider radiographic outcomes, radiographic fusion,
25 and/or, or radiographic fusion as a clinical outcome for
00061

1 purposes of our voting and our consideration in these
2 questions?

3 DR. GOODMAN: We are specifically to look at
4 those three types of patient outcomes, none of which

5 include radiographic. I would allow, or mention that in
6 some clinical areas, and I'm not saying whether that's
7 true here or elsewhere, but in some clinical areas
8 sometimes there is very strong evidence of association
9 between a biomarker or intermediate outcome and a clinical
10 outcome of interest, and I'll leave it to your judgment to
11 make that connection if indeed it's here, and I don't know
12 that it is. But for purposes of our questions we are
13 interested in those three types of outcomes, and correctly
14 as pointed out, various columns on this slide, yes,
15 radiographic fusion is shown, but then there are one, two,
16 three, it looks like four columns to me that show more
17 patient-oriented outcomes, okay? Was Dr. McDonough next?
18 I believe so.

19 DR. MCDONOUGH: Following up on that point,
20 though, can we infer from radiographic evidence of fusion
21 that one can avoid pain from harvest of an autograft? In
22 other words, that the benefit may not be directly from the
23 fusion but the idea that you may be able to avoid an
24 autograft?

25 DR. GOODMAN: I don't know that that was the
00062

1 line of questioning or inquiry from CMS. I will leave
2 that up to you. I know we have to get to our three
3 outcomes when all is said and done. If you think that
4 there is --

5 DR. MCDONOUGH: I don't know if I phrased that
6 correctly, but you understand what I'm asking? Sort of an
7 indirect evidence of reduction in pain by avoidance of a
8 second procedure.

9 DR. GOODMAN: Yeah. I'll ask CMS if they have
10 an opinion or a view or some guidance on that. We're not
11 talking about the patient himself or herself just getting
12 the procedure, but at that time something apart from it,
13 the avoidance of pain, correct? Any comment from CMS
14 about wanting to go that way?

15 SPEAKER: We have our opinion, but it's up to
16 the panel.

17 MS. JENSEN: CMS does have an opinion but we
18 really would prefer before we tell you our opinion to hear
19 what you have to say, instead of advising you what ours
20 is.

21 DR. MCDONOUGH: In my opinion I would believe
22 avoidance of pain from harvest of an autograft to the
23 extent that there is evidence that it can reduce pain is a
24 clinically relevant outcome.

25 DR. GOODMAN: Thank you, Dr. McDonough, well
00063

1 heard. Ms. Darling.

2 MS. DARLING: Noting that I'm not the clinician
3 on the panel, I think on that last point I would add it's
4 an additional procedure, but it's always additional
5 patient safety risk, and given what we know about the
6 probability of there being an adverse event, we have to

7 add that in, it seems to me.

8 DR. GOODMAN: Thank you, Ms. Darling, so the CMS
9 staff is hearing the opinions of this panel.

10 Dr. Steinbrook.

11 DR. STEINBROOK: I wanted to go back to
12 questions one or two earlier, with the issue of
13 radiographic success and how that correlates, but I think
14 it's slide 35 where you're talking about, let's see, so
15 this is off-label use in the lumbar-sacral setting; is
16 that correct?

17 DR. RATKO: Correct.

18 DR. STEINBROOK: So there is a GRADE conclusion
19 about radiographic fusion success, and the word used is
20 moderate there. So to follow up on what I think the
21 people with earlier questions were getting at, if we were
22 to eliminate the notion that radiographic fusion could be
23 considered as somewhat synonymous with a meaningful
24 clinical outcome, how might that be viewed in terms of the
25 grade of the evidence? Did I say that clearly? If we had

00064

1 to wipe away radiographic fusion because we were told
2 earlier that we couldn't necessarily draw a correlation
3 there with some of the earlier slides, where would that
4 leave us in terms of the evidence?

5 DR. GOODMAN: If I may interject, if that column
6 weren't on the slide, Dr. Ratko, where might USPSTF find
7 us in terms of the evidence?

8 DR. STEINBROOK: Or GRADE.

9 DR. GOODMAN: Or GRADE as a group.

10 DR. RATKO: I believe we would still be in the
11 same place.

12 DR. GOODMAN: Okay. Dr. Kirkpatrick, I believe,
13 and then -- oh, excuse me, Dr. Rao, yes, sir.

14 DR. RAO: Just a couple of quick responses that
15 I noted as you were going through earlier in this
16 discussion.

17 DR. GOODMAN: Yes, sir.

18 DR. RAO: Lack of radiographic fusion, whether
19 it's in the tibia or in the spine, may be considered an
20 adverse event if you have a nonunion, for example, that
21 may be considered an adverse event, the lack of
22 radiographic fusion of the tibia leading to nonunion may
23 be considered an adverse event.

24 Another quick response to a point raised earlier
25 in this discussion on whether there are any overarching

00065

1 meta-analyses looking at harm, there are two studies,
2 there's a Medicare database and a Wyeth database both
3 looking at the risk of cancers in populations that have
4 been exposed to BMPs and populations that have not been
5 exposed.

6 The question I have for you, Dr. Ratko,
7 specifically is why does your methodology not allow for
8 the use of FDA PMA submissions, which are possibly the

9 best and most peer reviewed submissions that we have in
10 the public domain?

11 DR. RATKO: We did use an HDE submission for key
12 question two, we just didn't consider the PMA submissions.
13 We felt we had adequate evidence in the peer reviewed
14 literature.

15 DR. GOODMAN: Yes, Dr. Rao, and as you know, not
16 all data submitted to the FDA for regulatory review finds
17 its way into the peer reviewed literature, some of it
18 does, some of it does not, and so they needed to stick to
19 some straightforward criteria. Dr. Rao?

20 DR. RAO: I would say perhaps, Mr. Chairman,
21 that the FDA submissions are possibly better than any of
22 the peer reviewed journal publications that we have, and
23 it would seem to me that the inclusion of the FDA data in
24 your analysis might be helpful.

25 DR. GOODMAN: Thank you for your point. I will

00066

1 just add that we like peer review, and not everything
2 that's submitted to the FDA is submitted for peer review,
3 it's somewhat selective, but your point is well taken.
4 And your point earlier was very well taken with regard to
5 lack of radiographic fusion regarding adverse events.
6 Dr. Kirkpatrick.

7 DR. KIRKPATRICK: A follow-up comment to Dr.
8 Rao, and we're friends, but we disagree on the point that
9 the FDA submission is high quality and peer reviewed.
10 They are absolutely high quality but they are sponsor
11 presentations solely by the sponsor, and so that's part of
12 the rules.
13 The other thing is, I heard CMS ask the question
14 to help them understand what we think about pain from
15 graft harvest and that sort of thing, and then a comment
16 was made about graft harvest. Pain from the graft harvest
17 is going to be reflected in your overall clinical score,
18 it's going to be in the ODI, it's going to be in your DAS,
19 it's there. Yes, if you ask specific questions about do
20 you hurt at the graft site, patients will give you an
21 answer that is rarely incorporated into the data, and I
22 think our colleagues that reviewed the literature showed
23 that.

24 As far as safety from a graft harvest, it also
25 depends on whether it can be done through the same

00067

1 incision, how much added risk there is to a patient,
2 versus a different region. For a cervical spine we
3 usually get iliac crest harvest to put in the cervical
4 spine. That is something that is two separate incisions.
5 For a posterior lumbosacral spine approach we generally
6 can get it through the same incision, that minimizes that
7 extra risk, but it does penetrate into another area and
8 takes the bone from another area.
9 The safety issues always are balanced in
10 clinical medicine as opposed to what's safer and what do

11 we know. Right now we don't know what the BMP antibodies
12 are going to do in the long run. We just heard about the
13 database looking at cancers with BMPs, and we don't know
14 these things. We do know that we have over 50 years of
15 autograft bone use and we know what the safety risks are,
16 versus the unknown risks of the BMPs.

17 DR. GOODMAN: Thank you very much,
18 Dr. Kirkpatrick, points well made. I believe Dr.
19 Satya-Murti was next.

20 DR. SATYA-MURTI: The submission for the FDA for
21 approval, one of the criteria, unlike what we're doing
22 now, was in fact a radiologic fusion or not. So what
23 we're asked to answer here, part of it is based on what
24 FDA indications have been, both on label and off label,
25 although FDA can dictate largely radiographic instability

00068

1 and fusion and so on. So that's yet another point for us
2 to remember in answering whether we stay within the
3 indication. And when we say indication, our assessment is
4 slightly different from what FDA indications were.

5 DR. GOODMAN: Thank you. At this time, it's
6 about ten o'clock, we had a scheduled break a few minutes
7 ago. Do we want to still take a ten or 12-minute break,
8 panel? Yes. Let's have one question from Dr. Schwartz
9 and then we'll take a ten or 12-minute break.

10 DR. SCHWARTZ: One of the things I learned from
11 a colleague of mine in neurosurgery at Penn in working
12 with him in the last years is that the surgical approach,
13 anterior or posterior, can make a big difference in what
14 you see, both in terms of results as well as
15 complications. Did any of these studies differentiate
16 between anterior and posterior surgical approach and
17 report the results? I don't know what neurosurgeons might
18 think about this, so I'm just raising the question, and I
19 guess we can hear from our neurosurgery and orthopedic
20 surgery people a bit later about that, but I wondered in
21 terms of the literature review, was there any separation
22 or separate analysis between the type of surgical approach
23 that was done and the results?

24 DR. RATKO: Within the studies, the on-label use
25 of BMP-2 for lumbar-sacral spine fusion requires an

00069

1 anterior approach, so they are broken out that way.

2 DR. GOODMAN: Dr. Jarvik, if it's a quick
3 question, we'll take it.

4 DR. JARVIK: Well, I'm going to switch my
5 question and follow up on what Sandy just mentioned, which
6 gets to the issue of was the comparator state of the art,
7 you know, and back when these were published in 2005, with
8 just the anterior approach, would that be considered state
9 of the art today as a comparator?

10 DR. GOODMAN: Am I correct, I know that your
11 literature search ran to a certain point, and then it was
12 updated early this year?

13 DR. RATKO: Correct. I can't address that
14 question.
15 DR. JARVIK: Right. This is again maybe more
16 for the panel.
17 DR. GOODMAN: Thank you, Dr. Ratko. I trust you
18 won't disappear, we have time later on today to ask you
19 further questions, but we very much appreciate the report
20 from the EPC and appreciate how direct and clear it was.
21 Thank you, sir.
22 We're going to take a 12-minute break, so take a
23 look at your watches, add 12, and we will see you then.
24 Thank you.
25 (Recess.)

00070

1 DR. GOODMAN: We're going to get started now, so
2 if the panel could take their seats.
3 We're scheduled next for the so-called scheduled
4 public comments, of which there will be five, seven
5 minutes apiece. But before we do so, I thought it might
6 be a good idea to, in a concise fashion, have a little
7 recap or short discussion about a couple of issues that
8 arose prior to the break, and one issue has to do with how
9 this panel will regard adverse events, there was mention
10 made of that. And there was also mention made of the
11 approach for the procedure and whether or not basically
12 the front approach or the posterior approach are indeed
13 different kinds of procedures for different sorts of
14 indications, and I want to have a brief discussion about
15 that because it will help to have that discussion now as
16 opposed to later.
17 So with regard to the adverse events, I want to
18 ask Dr. Davis and then Dr. Baker, if they would, to
19 briefly tell us what they think about inclusion of certain
20 kinds of adverse events. Dr. Davis.
21 DR. DAVIS: I think a key question, as was
22 brought up, the adverse events related to the iliac crest
23 bone grafting, it's unclear to me from the initial
24 questions that we have which say adverse events related to
25 the use of BMPs, whether adverse events related to iliac

00071

1 crest bone grafting that's avoided with the use of BMPs,
2 whether that's to be considered or not, and indeed, what
3 those adverse consequences are. Not much mention is made
4 in the technology review because it sounds like there
5 isn't, the data is not very high quality on that, but I
6 think that's an issue that we need to resolve, whether
7 we're going to consider those as adverse events in our
8 analysis of the question.
9 DR. GOODMAN: Thank you, well stated. I'll just
10 remind us all that CMS has made clear that they want to
11 hear our opinion on that, so there's no right answer as
12 far as CMS is concerned here, but they are very interested
13 in your views. Dr. Baker.
14 DR. BAKER: I would reiterate that it's

15 important for this panel to come to a consensus on this.
16 I think that a lot of the data is going to rest on that
17 iliac crest bone graft donor site pain. And if you look
18 at other issues, for instance open tibial fractures, you
19 have a reduction in infection rates, and that's certainly
20 a reduction in adverse outcomes.
21 But when you're talking about reduction in pain,
22 we've heard two different speakers. We heard Dr.
23 Kirkpatrick say it could actually be reflected in the ODI,
24 but we had our health technology assessment that said
25 there was an improvement in outcomes by reduction in donor

00072

1 site pain. So what we're really seeing is two different
2 answers to that same question, and I think that we really
3 need as a panel to come to some sort of consensus.
4 DR. GOODMAN: Dr. Kirkpatrick, let me pick on
5 you for a moment. You were quite eloquent in your
6 statement before the break. Can you give us your guidance
7 or opinion with regard to including this aspect of iliac
8 crest graft and so forth and how it might bear on our
9 consideration of what comprises an adverse event?

10 DR. KIRKPATRICK: Fundamentally it depends on
11 what specific indication you're looking at. For a
12 posterolateral lumbar fusion the risks of adverse event
13 from the iliac crest harvest are very different than they
14 are when you're doing, as I mentioned earlier, an anterior
15 cervical fusion. The anterior cervical and the anterior
16 lumbar where you harvest iliac crest lead you to the risk
17 of fracture of the iliac crest if you, you know, don't
18 have perfect technique, and even if you do, some people
19 are osteoporotic and they'll fracture, that's an adverse
20 event. A separate incision to get your graft leads to a
21 separate opportunity for an infection, that's another
22 adverse event, so it really has to be specific to the one
23 indication you're looking at, in my opinion.

24 DR. GOODMAN: The specificity with regard to
25 indication is well taken. Let me posit that CMS does care

00073

1 about the health and wellbeing of its Medicare
2 beneficiaries and if we think that an adverse event of any
3 type can be avoided or diminished through the
4 interventions we have been discussing, I would think that
5 should be on the table. Now that may be measured in
6 different ways, but we do care about the adverse events
7 that may affect the Medicare beneficiaries whether they
8 get them one way or another with regard to managing this
9 indication.

10 DR. KIRKPATRICK: Let me follow up with that.
11 CMS also asks frequently black-and-white questions in a
12 world that's very gray. For example when we're asked to,
13 and later I was going to comment, that I think it's great
14 that we asked to look at some quality adjusted life year
15 analysis and things like that, because we weren't able to
16 even bring up dollars in this forum before, so that's a

17 great enhancement because, just as we look at adverse
18 events between BMPs and iliac crest harvest, we don't know
19 what some of those long-term effects of BMP, if we have to
20 go higher doses in elderly, is that going to lead to more
21 problems, we still don't know the antibody questions. So
22 don't talk about it as avoiding something, it's trading
23 one set of circumstances and adverse events for another at
24 an added cost, and I'm sorry I use that, but we were able
25 to open that door when we saw the quality of life year

00074

1 analysis that was presented. So again, it's not black and
2 white.

3 DR. GOODMAN: Fair enough, and the reason they
4 asked this panel to meet is to get beyond the black and
5 white to some more subtle aspects from time to time.
6 With regard to this matter of the iliac crest
7 harvest, is there any member of the panel that would be
8 opposed to including that with regard to how it may affect
9 adverse events or our definition, does anybody want to
10 keep that outside the tent or beyond the boundary of what
11 we care about with regard to adverse events? All right,
12 that helps.

13 Any other comments about what is in or out for
14 adverse events? Dr. Davis.

15 DR. DAVIS: My question is not directly related
16 to that, but I wonder, and perhaps I missed this, but
17 maybe Dr. Ratko could comment on the technology
18 assessment, the data regarding adverse events from iliac
19 crest bone grafting. I don't know that that came through
20 clearly to me in the presentation.

21 DR. GOODMAN: That's a fair enough point. Dr.
22 Ratko, would you approach the mike, please? Sorry, we
23 always have to speak into microphones; otherwise, no one
24 heard the tree fall in the forest. Dr. Ratko, in your
25 technology assessment, were you able to address or

00075

1 distinguish this particular aspect when you looked at
2 adverse events?

3 DR. RATKO: Well, we took a look at it
4 separately after we got into the literature, and we did
5 distinguish it, and we felt it was inconsistently reported
6 and we didn't feel that the randomized trials provided
7 sufficient evidence to adequately assess the autograft
8 harvest site.

9 DR. GOODMAN: So you're saying you looked for it
10 but found little evidence about it?

11 DR. RATKO: Yes.

12 DR. GOODMAN: Okay. Well, the fact that you
13 looked for it and found little evidence is relevant,
14 because we have to talk about adequacy of evidence and
15 we're going to talk about that. Dr. Jarvik.

16 DR. JARVIK: I just have a follow-up for that,
17 and it's this sort of seeming disconnect between the
18 general health-related quality of measures, the ODI and

19 patient satisfaction measures that you put up there in the
20 evidence tables, and this issue of donor site pain, and
21 why do you think there is this disconnect? Because, one,
22 it's not reported consistently and hard to assess, but in
23 those cases where it was perhaps reported better but not
24 reflected in these other measures, how should we weight
25 the relative importance on patient outcomes?

00076

1 DR. RATKO: I'm not really sure how we go about
2 weighting this. We just felt that it was inconsistent and
3 we were unable to connect it to the outcomes. We looked
4 at it separately.

5 DR. GOODMAN: I'll remind the panel that the way
6 the questions are typically worded, if there's not
7 adequate evidence to make a judgment one way or another,
8 we typically stop there with regard to coming in with an
9 answer about whether something is truly beneficial or
10 harmful, so if there's little evidence, we just don't have
11 much to go on. Dr. Bozic is next.

12 DR. BOZIC: The points are well taken about
13 incorporating the iliac crest donor site morbidity into
14 adverse events, but I'm not sure which key question will
15 allow us to address that, because the question that
16 specifically deals with harms or adverse events is
17 question seven, and it talks about the evidence of adverse
18 events with on-label and off-label use of BMP, and it
19 doesn't talk about the alternatives. There's another
20 question --

21 DR. GOODMAN: Dr. Bozic, let me just interrupt
22 you, I'm sorry, before you go on. The three types of
23 health outcomes about which we care are pain, patient
24 function and adverse events, and so in a question that
25 asked about impact on an outcome, adverse events would

00077

1 fall under that, so I believe it's still within our
2 boundary on the table.

3 DR. BOZIC: Okay. Because the questions say
4 what's the evidence supporting improved outcomes, so
5 you're saying that we would incorporate an adverse event
6 into the improved outcome question.

7 DR. GOODMAN: Well, when you're referring to
8 CMS's questions that we have before us today, they say for
9 all voting questions, the clinically meaningful health
10 outcomes of interest for CMS are pain, patient function
11 and adverse events.

12 DR. BOZIC: Okay. Each of the key questions has
13 a different parameter, some of them say what's the
14 evidence for improved outcomes, others say what's the
15 evidence for improved effectiveness, others are
16 nonspecific, and others say what is the evidence for
17 adverse events. So I was assuming those were general
18 framers, and each question asks for a different outcome
19 issue.

20 DR. GOODMAN: Where the question is specific

21 about an outcome we can address that specific outcome, but
22 one way or another, let's make sure what is on our table,
23 and before our day is done, that CMS has heard what you
24 have to say about impact of adverse events. Dr. Baker.

25 DR. BAKER: You know, I guess I would challenge

00078

1 Dr. Ratko on the idea that there's insufficient evidence.
2 You'd clearly say that there is insufficient evidence when
3 you're looking at BMP alone, but then when you're looking
4 at clinical outcomes you're saying that there's an
5 improvement in clinical outcomes by reduction in donor
6 site pain. So you seem to be saying two different things,
7 I guess. You're saying that when you were looking at the
8 body of evidence, the fusion rates, the ODI and other
9 things didn't change, but yet, by reducing donor site pain
10 you felt it was clinically improved.

11 DR. RATKO: Well, it's a balance and we kind of
12 looked at it that way, benefits and harms.

13 DR. GOODMAN: Okay. We're still on the matter
14 of adverse events and want to wrap it up pretty soon.

15 Dr. Schwartz.

16 DR. SCHWARTZ: I just want to clarify something
17 that was raised later, and I just underscore that in this
18 particular case that when we're talking about pain, we're
19 talking about pain in the donor as well as the recipient,
20 and that's true for adverse events too. So the question
21 is what we need to consider if there would be a reduction
22 in adverse events in somebody who donates marrow as the
23 alternative.

24 DR. GOODMAN: Well, let me look at our CMS
25 colleagues. We're looking at the impact of the BMPs on

00079

1 these outcomes. Any guidance with regard to donors for
2 comparator? Dr. Baker.

3 DR. BAKER: I think he meant donor site.

4 DR. SCHWARTZ: Right.

5 DR. BAKER: And the allograft, if you're talking
6 about that, are catameric or from another source, so I
7 don't think you're literally taking allografts into
8 consideration, and autografts are the same patient.

9 DR. GOODMAN: Understood, but that site of pain
10 is still relevant to our Medicare beneficiaries, correct?

11 Okay. Dr. Davis.

12 DR. DAVIS: Again, Dr. Ratko, I'm still not
13 clear on this question of the iliac crest bone graft and
14 the adverse events because you say there's not sufficient
15 evidence. But for question one the conclusion, again,
16 says that the evidence is moderate to support the clinical
17 benefit from the use of recombinant BMP-2 where patients
18 can avoid the additional procedure of autograft bone
19 harvest and its associated adverse events. So it's
20 unclear to me how the conclusion can give support to the
21 use of BMPs because of fewer adverse events but there's no
22 data, so I guess I still remain unclear on the answer to

23 that question.

24 DR. RATKO: Well, the outcomes, the clinical
25 benefits clearly suggest that the BMP-2 is effective. We

00080

1 did look at the iliac crest events where they were
2 reported and took them in the balance, so if you could
3 avoid that second procedure in the face of what looks like
4 similar or improved outcomes, we felt that that should be
5 taken into account in that situation.

6 DR. GOODMAN: Okay. Any further comments on
7 this issue? Dr. Kim.

8 DR. KIM: It seems that we're looking at sort of
9 two different paradigms within the pain domain, one is
10 using the ODI, leg pain is more a therapeutic intent for
11 the spinal surgery. The second would be within that
12 domain then, there is adequate evidence for comparison of
13 BMPs versus the EMG. The second piece with the iliac
14 crest pain is, we're considering that in AE, but at a
15 patient level they're experiencing pain from either
16 therapeutic failure or an adverse event, and I think that
17 may be where we're maybe having a disconnect, trying to
18 get them all under one tent. Obviously patients who are
19 not getting the iliac bone crest are not at risk for that
20 event, so I think that's where we're struggling.

21 DR. GOODMAN: Well, that's all right, and
22 clinical care is complicated. I haven't heard anything
23 yet that would suggest that any of the types of adverse
24 events we've discussed are outside our realm of
25 consideration. Dr. Juhn, a final comment on this?

00081

1 DR. JUHN: It's really a question perhaps from
2 the technology assessor, but also from the researchers
3 that we have on the panel, which is, the ODI, would the
4 ODI also capture enough functional deficit because of
5 adverse events at the donor site, would that actually be
6 captured within the ODI score?

7 DR. GOODMAN: Dr. Kirkpatrick, didn't you
8 address that earlier, your view on that? I thought you
9 did.

10 DR. KIRKPATRICK: I think in general it would
11 get back to the specific indications. The ODI clearly
12 would capture somebody that has iliac crest pain from a
13 posterior harvest or a posterolateral fusion. It would
14 also typically give a patient that had an anterior iliac
15 crest harvest or an anterior lumbar fusion, but when
16 you're looking at a cervical fusion and you have an iliac
17 crest bone graft, an ODI would not detect that, and
18 neither would the NDI. That would be a separately
19 evaluated process which hasn't occurred and is not
20 relevant according to the literature.

21 DR. GOODMAN: Point well made. Dr. Baker.

22 DR. BAKER: When you're looking at the
23 clinically meaningful outcomes, you're looking at pain
24 function, and so in this case pain from a research

25 standpoint is probably going to be taking into

00082

1 consideration the pain from the procedure and not pain
2 from the bone graft, and in most cases I think we're
3 probably going to be looking at a more specific view of
4 the VAS.

5 DR. GOODMAN: Okay. Dr. Satya-Murti, and then
6 we'll move on.

7 DR. SATYA-MURTI: I have a question less for the
8 presenters and more for the orthopods on our panel. The
9 indications for these fusions are also diverse. We
10 haven't heard about that. Some of our presenters will
11 probably talk about scoliosis and instability, but that is
12 also a heterogeneous indication. Some are failed
13 surgeries, some are previous surgeries, and some are
14 clearly congenital, and some are scoliosis. So I just
15 wonder how much dilution there is in assessing pain when
16 the indication for the fusion itself tends to be multiple
17 and it's not a single monolithic indication, so that has
18 always concerned me.
19 And the other minor concern is from, in my day
20 iliac crest graft was a common source and we didn't hear
21 so much about our patients coming back with pain, maybe
22 because there were fewer alternatives to complain about.
23 And then came the hardware and then now the BMP, so I just
24 wonder if iliac pain is becoming amplified now as a
25 reflection of the times.

00083

1 DR. GOODMAN: Any direct -- Ms. Kendig, on that?

2 MS. KENDIG: Regarding the pain question, I
3 think that the point was well made regarding what we're
4 looking at from a research perspective. I wonder from a
5 patient perspective, when we look at outcomes in terms of
6 pain and functionality, I do think that those are
7 important, and I agree with what you just said, perhaps
8 we'll hear more about it, but I think we can't discount
9 that patient perspective within the outcomes.

10 DR. GOODMAN: Okay. Acknowledging that --
11 Dr. Kirkpatrick, on this very point, sir?

12 DR. KIRKPATRICK: I just was going to ask your
13 permission as to whether you wanted to hear about future
14 directions, because that would answer the indication
15 question that we just heard.

16 DR. GOODMAN: I think we'll pick that up in the
17 discussion section later on, so we'll just stick with
18 this.

19 So just, you will forgive me to put this back at
20 a higher level. No one has said on this panel anything
21 that would make me think that the types of pain or sources
22 of pain or adverse events that have been discussed are off
23 the table. We care about the health and wellbeing of the
24 Medicare beneficiary, and whether the pain comes from the
25 iliac crest harvest or something else, we care about it,

00084

1 so let's use that guidance when we look at our questions.
2 I wanted to address the manner of approach, and
3 I'm going to pick on Dr. Lewis for this. Dr. Lewis,
4 before the break -- and Dr. Ratko, you can have a seat.
5 Dr. Lewis, we did not hear much evidence from
6 the technology assessment this morning that would
7 differentiate between those two means of entry and the
8 related indications, and perhaps we need to get a little
9 more guidance for our panel about how to think about that
10 when we appraise the body of evidence. Dr. Lewis.
11 DR. LEWIS: Thank you. I just want to make the
12 point, and based on a couple of comments or questions
13 right before the break, that we may or may not be
14 completely on the same page. I am not a spine surgeon,
15 I'm an orthopedic surgeon, but I'm not a spine surgeon,
16 and so I do not perform these operations on a daily basis.
17 But I would say that it's important for us to recognize,
18 and I think we'll learn probably in the preparations more
19 about this, but it's important to recognize that the
20 different approaches, anterior versus posterior, are not
21 interchangeable, different cohorts of patients, different
22 indications for the surgeries that are being done, and
23 therefore we have to be careful when we look at the
24 information available to us not to mix and match in terms
25 of our discussion and our deliberations.

00085

1 DR. GOODMAN: Thank you. Other comments about
2 this point, these differences? Dr. Lewis, concerning the
3 CMS questions that were posed to MedCAC, do we necessarily
4 need to a priori make that decision when we answer these
5 questions, or can we talk about them insofar as whether
6 it's one approach or another, is there adequate evidence,
7 whether it's one approach or another, is there
8 effectiveness, can we approach it that way with some
9 discussion comments to accompany our votes, or do you
10 propose another approach?

11 DR. LEWIS: No, I don't necessarily propose
12 another approach. I think the on-label studies are fairly
13 clear. Where it talks about off-label trials, they're not
14 always necessarily comparable in terms of the indications
15 for the surgery, so we want to be careful not to lump
16 those findings prematurely, I would say, from the tech
17 assessment. That's all.

18 DR. GOODMAN: Okay. Ms. Darling.

19 MS. DARLING: This is on a slightly different
20 subject but this last conversation has triggered my
21 thinking. As I recall, there's relatively little data on
22 the over 65 population, but we know more about the
23 population that would be among the disabled in Medicare,
24 and so I realize it would be very hard to do this, but
25 would it be useful to think in terms of those two

00086

1 different populations for purposes of our thinking and
2 advice, because they will be very different.

3 DR. GOODMAN: Right. The questions, except for
4 question 6.A, the previous questions don't address the
5 matter of age yet, so I don't think we need to make that
6 distinction in the first five questions when we vote, but
7 if you have a particular comment or observation about the
8 relevance of evidence that may be applied to them, if you
9 don't do it for questions one through five, certainly we
10 need to bring it up explicitly for question 6.A. Okay.
11 May we proceed, then, panel, with our scheduled
12 speakers? Okay, thank you. And we have five scheduled
13 speakers, each of whom has been allotted by CMS seven
14 minutes. And our first is Dr. Brian Kwon, he's an
15 associate professor in the department of orthopedics at
16 the University of British Columbia, BC. Dr. Kwon,
17 welcome, sir.

18 DR. KWON: Good morning, thank you. My name is
19 Brian Kwon, I am a spine surgeon from the University of
20 British Columbia in Canada, and I hope to add to this
21 discussion by providing a clinician's perspective on the
22 use of BMPs in spine surgery.
23 I should disclose that I have a consulting
24 relationship with Medtronic, that my spouse is an employee
25 of Medtronic, and that my travel to Baltimore was provided

00087

1 to me by Medtronic. I, however, am not receiving any
2 consulting fees for my appearance here today.
3 So when Marshall Urist first identified bone
4 morphogenetic protein in 1979 as the explanation for this
5 very robust formation that he had reported on almost 15
6 years earlier, it was pretty clear to people even back
7 then that this was a major scientific advancement, because
8 Urist and others understood that there are millions of
9 patients that have conditions that ultimately require bone
10 healing, and that getting bone to heal in some of these
11 very complex situations is oftentimes very challenging.
12 Now, spine surgeons were very interested in this
13 because they had been trying to heal the spine and obtain
14 spine fusion ever since Fred Albee first described this in
15 September of 1911, and really in the 100 years that have
16 followed, spine surgeons have been trying to find better
17 ways and techniques and technologies to improve their rate
18 of fusion of the spine. So we eventually started taking
19 iliac crest bone grafts and laying that along the back of
20 the spine, and then a whole new technology evolved around
21 putting screws and rods into the spine to stabilize it and
22 giving the bone grafts collaterally a better chance of
23 healing, and what we're trying to achieve is this kind of
24 radiographic fusion as seen in the model here.
25 Now, with all this effort being put into try to

00088

1 heal the spine to fuse, it's certainly not a surprise to
2 anybody that spine surgeons would be very interested in a
3 technology that could potentially facilitate this bone
4 healing, and that of course leads us back into

5 utilization, and thus the technology assessment.
6 Now you want to see very complex statistics and
7 sophisticated health economic analyses and whatnot, but
8 for a practicing physician the question becomes much more
9 human, and that question literally is this. Is this
10 73-year-old gentleman who comes into my office going to
11 need an operation? The question for me is what can I do
12 to give him the best possible outcome? And if that is
13 going to actually require a spine fusion, then I'm going
14 to do everything in my power to achieve that spine fusion.
15 So here's an example. This is a 69-year-old
16 woman with an L3-4 degenerative spondylolisthesis where
17 the L3 vertebral body has slipped forward on L4. You can
18 contrast that with the normal spine where all the
19 vertebral bodies line up nicely. The spinal nerve roots
20 are in the spinal canal behind the vertebral bodies, and
21 in a spondylolisthesis condition the nerve roots get
22 squeezed and pinched off in the level of the
23 spondylolisthesis, and that causes the severe leg pain.
24 Now this, there may be some kind of ambiguity
25 around this association between fusion and clinical

00089

1 outcome, but I can assure you that failing to achieve
2 fusion can certainly lead to a bad outcome. This
3 69-year-old woman underwent three operations and at each
4 time point the spine surgeon failed to achieve a solid
5 fusion between L3 and 4, and you can see this progressive
6 almost dislocation of L3 on top of L4. And when she
7 presented to my office she was on so much morphine she
8 could hardly stay awake, and she recalled how at nighttime
9 if she needed to go to the bathroom, she would literally
10 roll out of bed and crawl on her hands and knees to get to
11 the bathroom because it was too painful to stand. And I'm
12 very confident of the fact that the achievement of fusion
13 in the end for this patient led to her good clinical
14 outcome.

15 And this was reflected in this long-term
16 follow-up of patients that again, like my patient, had
17 degenerative spondylolisthesis, where they compared the
18 patients that achieved a solid fusion, in blue, and those
19 patients that did not achieve a solid fusion, in orange.
20 And you can see that the patients that achieved the fusion
21 had a higher clinical outcome, higher levels of overall
22 satisfaction, lower levels of back pain, and lower levels
23 of leg pain.

24 Tomorrow I'm going to do a decompression fusion
25 on a patient with degenerative spondylolisthesis, and I

00090

1 don't know, I don't have my crystal ball, to know what
2 she's going to be like two years from now, but I'm going
3 to do my best to put her in the blue category. And I
4 think if she saw this slide, she would also prefer to be
5 in that blue category as well.
6 I think this ability to obtain fusion is

7 particularly important in healthy patients like mine, and
8 that's for two particular reasons. One is that there are
9 biological limitations of autogenous bone graft, and that
10 there are the risks of complications associated with that
11 graft harvest. Let's talk about the biology first. We
12 understand that as you age, the number of bone cells that
13 are present in an iliac crest bone graft decreases with
14 time, as shown here on the left.
15 Furthermore, we know the cells that are actually
16 within that bone graft are less able to form bone as you
17 age. This is a study demonstrating the osteogenetic
18 potential of bone cells from ten young patients as
19 compared to nine elderly patients. Those have a lower
20 fusion rate when they had an iliac crest bone graft, and
21 that was demonstrated and illustrated in Steve Glassman's
22 randomized controlled trial of patients over the age of
23 60, with a mean age of 69. It showed that the patients
24 receiving BMP-2 had a higher fusion rate, and importantly,
25 the number of patients that needed a second operation,

00091

1 only one in the BMP group and five in the patients with
2 iliac crest bone.

3 We do recognize that harvesting bone is indeed a
4 surgical operation that is done under different
5 indications, but in seeing that graft and as an
6 alternative we have a technology that we can take off the
7 shelf, pick off the back table, implant something like
8 this in the spine, and avoid the morbidity associated with
9 graft harvest, which includes potential pain, nerve
10 injury, fracture, blood loss or vascular intrusion.

11 This was really demonstrated to me in a patient
12 I operated on in 2006, who had also a degenerative
13 spondylolisthesis, and I took her own bone, I took her
14 iliac crest, and she healed beautifully, and I showed you
15 her x-rays earlier. The wound that I took the bone graft
16 through got infected, and she needed two subsequent
17 washouts, two returns to the operating room to get that
18 washed out, and because of her social situation needed
19 three months of hospitalization receiving intravenous
20 antibiotics to eradicate that infection, so that's a real
21 complication. And while her SF-36 scores and Oswestry
22 scores may not actually be that different from the mean,
23 it's clear to me that she did not have the best possible
24 outcome in my hands.

25 DR. GOODMAN: Less than a minute.

00092

1 DR. KWON: So this brings us really back to the
2 question, and the question for people like myself, how can
3 I use the technology to maximize the chance of giving my
4 patient the best outcome? The technology can facilitate
5 other techniques, so we have now surgery that we're trying
6 where we typically have made large incisions, and now we
7 have technology to do them minimally invasively, and bone
8 morphogenetic proteins facilitate this technology by

9 obviating the need to take a bone graph, and reducing the
10 length of the incision almost as long as those incisions
11 combined.
12 So in summary, I think that the potential to
13 heal bone has many applications across the scientific
14 field, that the spine surgeons are going to continue to
15 try to facilitate bony fusion, and I think there are many
16 occasions where we take that achievement as a challenge.
17 I certainly don't think we have all the answers yet for
18 BMP, but I think that sound scientific evaluation will
19 allow implementation of this technological innovation that
20 will benefit our patients.

21 DR. GOODMAN: Thank you very much, Dr. Kwon, we
22 appreciate your input. Thank you for coming down from
23 B.C., it's a beautiful place.

24 Next is Dr. Patrick Jacob, who's the
25 Dunspaugh-Dalton Chair, Cranial and Spine Surgery,

00093

1 Department of Surgery at the University of Florida, and
2 from what I'm reading here, he's representing the American
3 Association of Neurological Surgeons and Congress of
4 Neurological Surgeons. Welcome, Dr. Jacob.

5 DR. JACOB: Thank you very much for the
6 opportunity to present this morning. By way of
7 disclosures, I have no conflict with BMP. I am a
8 consultant to a manufacturer of spinal implants and
9 representing AANS and CNS. It's a slightly different
10 slide approach, but basically we congratulate the authors
11 of the technology assessment. We feel it was a fair and
12 balanced approach to the presentation of the data and
13 review of the information at hand.
14 We feel that as indicated, that the use of BMP
15 in the anterior lumbar spine is appropriate, reasonable,
16 and supported by the literature. We feel that the routine
17 use of BMP in the anterior cervical spine is probably not
18 reasonable and there is probably not support in the
19 literature for that technique.
20 Some of the things that were not brought out in
21 the technology assessment perhaps identify areas that we
22 would like to focus on, and those are the high risk
23 patients, patients who are end stage renal disease, are on
24 disease-modifying drugs for their rheumatoid problems, and
25 things of that sort, smokers, osteoporotic patients.

00094

1 Although the literature does not specifically address
2 this, we feel that these are areas of great interest and
3 need from a clinical standpoint, and what we can do as
4 clinicians, as the prior presenter just mentioned, that
5 what we can do to get our best possible outcome is, these
6 areas are something that as neurosurgeons and spine
7 surgeons, we are keenly interested in. These admittedly
8 have not been well studied in the IMEs and we cannot
9 present compelling evidence. However, clinically and
10 empirically we believe that there is opportunity here for

11 improved outcome.
12 There has been some discussion about how spine
13 fusions are done, and I thought briefly for some panel
14 members or members of the audience to just look at the
15 anatomy for a moment or two. This would represent an
16 anterior lumbar interbody fusion; it's done through an
17 abdominal incision where a bone graft is placed into the
18 disc space, and here you can see it pre and post-op. This
19 would be the titanium fusion cage which is mentioned in
20 the assessment, and you can see the postoperative view
21 where the cage is in place in the interbody space. Other
22 techniques can be used for that same approach, but that
23 gives you a reasonable idea of the anterior lumbar
24 approach.

25 The posterior lumbar approach for lumbosacral

00095

1 fusions are primarily either a facet fusion where the
2 facet joint would be included into the fusion, or an
3 intertransverse fusion where the red indicates the fusion
4 substrate, be it bone or BMP, being added to the spine in
5 order to help immobilize that segment.

6 The posterior lumbar interbody fusion is exactly
7 as it says. Bone graft would be placed into the
8 intervertebral space through a posterior or posterolateral
9 approach, fusing anteriorly from the posterior, if you
10 will.

11 So in sort of the current uses of BMP, we agree
12 with the data that suggests that the anterior lumbar
13 interbody fusion cage has good evidence in the literature,
14 the fusion rates are at least equivalent if not better,
15 and we decrease bone harvest morbidity. The
16 posterolateral fusion techniques as seen, these are by far
17 the most frequently performed uses of BMP. There are
18 randomized and nonrandomized studies supporting the use,
19 demonstrating superior or at least equivalent radiographic
20 outcomes, and some data supporting the reportable outcomes
21 in terms of reduced pain or perhaps avoidance of pain as
22 outcome measures.

23 In terms of whether or not this should be used
24 as a primary alternative to bone graft, obviously we don't
25 have the compelling literature at this time in terms of

00096

1 quality adjusted years and cost effectiveness, and we
2 think this is an opportunity for further investigation.
3 But when we look at the growing off-label uses, we see
4 patients who perhaps have had prior bone graft harvest and
5 there is physically inadequate volume of bone. We feel
6 those represent a great patient population for this
7 technology, or patients who are osteoporotic, renal
8 failure, or some other problem that would inhibit bone
9 formation.

10 The routine use of BMP in anterior cervical
11 spine is not, in our opinion, an appropriate use of this
12 technology. We believe that in very low frequency

13 operations, the three or four-level anterior fusion,
14 because they have a very high nonunion rate, and if we
15 believe that a nonunion or a failed procedure is an
16 adverse outcome, then in these extremely uncommon
17 procedures it may be a reasonable alternative to consider
18 between the doctor and patient to use these, even though
19 there are increased risks of complications such as soft
20 tissue swelling and that sort of thing. If we know we
21 have a higher nonunion rate and this can eliminate that,
22 it may be a consideration in those highly selected cases.
23 Again, the comorbidities of osteoporosis, smoking, which
24 you know, is a major problem we deal with every day, that
25 sort of thing.

00097

1 Additional studies, we're dealing with the cost
2 effectiveness of this technology, It's extraordinarily
3 expensive, and how we justify this to the hospital and to
4 the patient and to the nation is I think a major issue.
5 I want to go through very briefly some of the --
6 no, I think we've covered that.
7 One major point that I would like to make is the
8 cervical spine is not all the same thing. The posterior
9 cervical spine, if you were to use these in posterior
10 cervical applications, is probably more anatomically and
11 physiologically akin to the thoracolumbar spine. The
12 issues of soft tissue swelling and airway compromise are
13 not going to be present in that region of the spine
14 because they're not anatomically proximate to the BMP. So
15 in your considerations for this, I would ask you to
16 separate anterior versus posterior cervical spine.
17 In terms of the use for revisions, I would also
18 remember that the alternative options, if a patient has
19 had a failed lumbar fusion using iliac crest bone graft,
20 to repeat that same failed experiment probably is not the
21 right thing to do for that patient, and the use of BMP
22 technology where we do have improved fusion rates I think
23 offers an advantage in terms of outcomes to that patient.
24 DR. GOODMAN: One minute, Dr. Jacob.
25 DR. JACOB: Thank you. The cervical spine

00098

1 application, as I said, anterior and posterior are very
2 different.
3 In terms of can we generalize this to the
4 Medicare population, I think the Medicare population was
5 represented in these studies, and although specific age
6 was not a specific variable, I think certainly the
7 representation of that age group within the studies makes
8 a statement about application.
9 In terms of the community-based setting, I think
10 this is something that is applicable to virtually every
11 hospital in America where these procedures are performed.
12 And in terms of future investigations, we're
13 very interested in the primary use of this versus
14 autograft for cost effectiveness, we're interested in

15 determining the dose response, how much BMP is necessary
16 per level per patient, does it vary with age, does it vary
17 with bone density, those types of things. And exactly
18 which of these disease processes put the patient at
19 highest risk for nonunion or adverse event where BMP is
20 justified, I think is a very legitimate area for further
21 investigation.

22 I thank you for your time and attention.

23 DR. GOODMAN: Thank you very much, Dr. Jacob,
24 and thank you in particular for providing information
25 that's directly relevant to our questions. We appreciate

00099

1 that.

2 Next is Dr. Richard Kuntz, who is the senior
3 vice president of strategy and scientific operations for
4 Medtronic. Welcome, Dr. Kuntz.

5 DR. KUNTZ: Thank you, and good morning. My
6 name's Rick Kuntz, I'm the chief scientific clinical
7 officer at Medtronic, and I appreciate the opportunity to
8 present the ongoing evidence demonstrating the clinical
9 effectiveness of BMP-2.

10 I want to make three points in this
11 presentation. First, BMP-2 is a unique clinical
12 innovation that eliminates the need for autograft
13 associated with the harvest procedure that we were just
14 discussing. Second, Medtronic has supported and will
15 continue to support significant research efforts to
16 strengthen the evidence of BMP and its use. And third,
17 there's a growing and well established basis for current
18 and working evidence to support positive conclusions, we
19 think, on the voting questions that you have going
20 forward.

21 BMP-2 has received premarket approvals for
22 anterior lumbar interbody fashion both in tibial fractures
23 and certain oral maxillofacial applications. With the
24 common goal of achieving solid bone growth, BMP-2 is used
25 in association with distinct clinical benefits, including

00100

1 reduced blood loss, shorter operative time, improved bone
2 healing.

3 Here is another paper that combined outputs of
4 four independent studies that focused on this issue we
5 talked about with harvest pain. Essentially what I want
6 to show is that there is a correlation, at least in these
7 studies, with sustained pain over time with the amount
8 that's removed from the iliac crest, so the highest graphs
9 are those associated with continued pain where there's a
10 lot of volume taken out for posterolateral procedures, a
11 moderate amount in the anterior procedures, and a lower
12 amount in the cervical has been correlated in this overlay
13 with pain that can sustain out to a couple years.

14 Medtronic continues to make significant
15 investments to grow the body of evidence, to benefit
16 further indications, and to enhance our understanding of

17 BMP-2's long-term outcomes. Each of the three currently
18 approved indications are supported by robust evidence, and
19 we have moved forward with a significant investment in our
20 clinical trial program to support FDA approval of BMP-2 in
21 multiple indications, specifically posterolateral lumbar
22 fusion and anterior cervical fusion.
23 This is just a slide to demonstrate something we
24 learned earlier. There is peer reviewed literature out
25 there, and we saw the 41 that were qualified by the AHRQ.

00101

1 As a company we're committed to insuring the
2 safety of BMP-2 across all the indications. We recognize
3 that one area of concern is to the anterior cervical spine
4 surgery where there's a small percentage of use,
5 approximately nine percent currently, of all Medicare
6 cervical fusions in 2008. Medtronic began proactively
7 addressing these concerns in 2004 when we took early and
8 voluntary action working with the FDA to provide a dear
9 doctor letter demonstrating the concerns about evidence
10 that was raised in the literature.
11 We recently completed enrollment in a pivotal
12 IDE study which will address this more fully and formally
13 in a randomized control study, and the one or two-year
14 follow-up is starting at this point and we will have that
15 data soon, in one or two years.
16 Here's a graph of the outcomes of the Medtronic
17 regulatory studies where we compared the healing rates
18 that we talked about earlier, with radiographic fusion.
19 And this is an issue that I think we can see in your
20 discussions, that show using that definition, equivalent
21 or better fusion rates compared to iliac crest.
22 As Dr. Kwon pointed out earlier, Dr. Kornblum's
23 study demonstrated that patients with autograft who
24 achieved solid fusion did have reduced back and leg pain
25 compared to those with failed fusion, so there are a few

00102

1 studies that have more specifically, when defined by
2 radiographic fusion, are they associated with clinical
3 outcomes that are different, and this study is one of
4 them. So therefore, achieving the solid fusion is an
5 important clinical outcome from our perspective.
6 In the following slide we provide information of
7 clinical interest related to each of the indications of
8 MedCAC interest. The AHRQ TA discussion earlier this
9 morning included a two-year randomized trial by Dr.
10 Burkus, evaluating BMP-2 against bone graft in lumbar
11 fusion. That study demonstrated that patients receiving
12 BMP-2 reported statistically significant improvements in
13 patient functioning and pain from post and preoperative
14 status. This initial cohort has now been reported and
15 followed out to six years with a sustained benefit.
16 BMP-2 also improved outcomes in treatment of
17 open tibial fractures, which is a challenging patient to
18 treat. We talked about the BESTT study earlier, which

19 demonstrated improvements, and we -- I've got these out of
20 order.

21 Anyway, essentially we showed that most
22 importantly, there was reduction in second surgeries and
23 in those patients who had the worst fractures, there was
24 some reduction in the infection rate overall,
25 demonstrating at least some value of this device used for

00103

1 fusion.

2 Although we're not going to talk about this
3 because of time, we did provide you some evidence for
4 maxillofacial as well.

5 DR. GOODMAN: Dr. Kuntz, you've got less than
6 two minutes. You may want to go to your most important
7 points.

8 DR. KUNTZ: Okay. We previously discussed our
9 clinical development program for evidence on spine.

10 AMPLIFY is a new formulation, a new carrier for BMP-2, and
11 we published these results. It was the subject of a panel
12 review recently by the FDA where they voted in favor of
13 approval pending the FDA's decision, and this shows a
14 superior fusion rate compared to iliac crest, including a
15 50 percent reduction in second surgeries.

16 Dr. Glassman's randomized study of patients over
17 the age of 60 with a median of 69, were a study of
18 patients in the real world with multilevel disc
19 degeneration, including multiple comorbidities. It
20 demonstrated that these rates were higher than those in
21 BMP-2, it was a very important study, and he found fewer
22 secondary procedures and complications overall.

23 So, to review, the PLF data has been published
24 and reviewed by the FDA. The ACDF study, we have
25 completed an enrollment. Several clinically initiated

00104

1 studies continue. Our forum to develop evidence to
2 support ten more potential PMA applications is underway,
3 and three IDEs have already been approved by the Food and
4 Drug Administration, while five are in the current design
5 phase going forward, so we have a very serious and
6 comprehensive effort to continue to study all the
7 applications that have been talked about today.

8 This is my last slide. In summary, the evidence
9 supports positive and durable health outcomes for BMP-2
10 across multiple clinical applications. BMP-2 patients
11 report improved patient function, a decrease in pain with
12 global adverse events in many studies. The evidence also
13 supports that these benefits apply to the Medicare
14 population, especially with respect to Dr. Glassman's
15 study.

16 I won't read this slide for time, but thank you
17 very much. My colleagues and I would be happy to answer
18 any questions you may have.

19 DR. GOODMAN: Great, thank you very much,
20 Dr. Kuntz, and we do appreciate especially your summary

21 slide, which consolidated your earlier observations.
22 Thank you very much, sir.
23 Next is Dr. William De Long, Jr., who is the
24 chief of orthopedic surgery for St. Luke's Hospital &
25 Health Network in Bethlehem, Pennsylvania. It is noted

00105

1 here that he represents the American Academy of Orthopedic
2 Surgeons. Welcome, Dr. De Long.
3 DR. DE LONG: Thank you very much, Mr. Chairman.
4 Today I'm here representing the American Academy of
5 Orthopedic Surgery. I am an orthopedic traumatologist,
6 not a spine surgeon; however, I do treat spine fractures.
7 I'm going to skip over the material that was
8 covered already this morning and just go to extremities.
9 In order to get the fractures to heal we need several
10 elements, the responding cells that form bone matrix, and
11 bioactive factors, this is clear. Something here in this
12 element actually makes autogenous bone graft work, but we
13 really don't know why it works, no one has really figured
14 that out. It's the gold standard, except it's unstudied.
15 TGF beta, a super family of growth-enhancing
16 molecules, really helps promote bone healing by changing
17 the milieu for the cells. There are two available
18 applications at the present time, as we've discussed this
19 morning.
20 Marshall Urist was a candidate for a Nobel Prize
21 when he discovered BMP back in the '60s. He eventually
22 isolated BMP and started treating humans with nonunions
23 and delayed healing of the long bones.
24 BMPs are, for BMP-2 we're talking about use in
25 open fractures. BMP-7, the application is for nonunions.

00106

1 There are other BMPs being studied, there are 20 in all
2 today, tomorrow there may be 24, who knows? The way this
3 works is the mesenchymal stem cell is stimulated to
4 differentiate, and also, a chemotaxis brings the stem cell
5 to the area of need in the region of the surgery.
6 You know, how does autograft work? Is it the
7 stem cells that are transferred with it? Is it growth
8 factors that are located in the bone that's transferred?
9 Is it the conductive property that applies? Or is it
10 another substance that we don't know about? I don't
11 really know the answer to that question and I don't think
12 anybody really does, and we don't have any safety data on
13 autograft bone either. I just know that it's been
14 valuable over the years. BMPs exert their influence on
15 the cell surface and they actually trigger downstream
16 molecular events that up or down regulate cell function.
17 We can see here in this table from left to right
18 increasing potency. The BMPs are far and away above
19 everything else that we use in potency, except for stem
20 cells.
21 The Govender study has been talked about this
22 morning. This is a large study that was controlled,

23 looking at open fractures. The outcomes showed that there
24 was a decreased infection rate in the severe group. III-A
25 and III-B fractures, which generally have a very high

00107

1 infection rate, close to 50 percent. It also provides
2 faster fracture healing and fewer hardware failures, which
3 just means that the fractures healed before the metal
4 fatigued and reached the ultimate yield. Faster wound
5 healing was also established in the patients with BMP. In
6 terms of what the Journal of Bone and Joint Surgery
7 considers level of evidence, this is Level I evidence,
8 this is a controlled prospective study with strong
9 statistical support.

10 Another group studied the use of BMP-2 in bone
11 defects, which, this is really a very difficult problem in
12 bone surgery. When we get cortical bone defects a
13 centimeter or greater, we have a very difficult time
14 getting them to heal. They found that they had improved
15 healing in the BMP group versus the auto group, and there
16 was less blood loss, and there was an improvement in SFMA
17 scores compared to the autologous bone. The conclusion
18 from this study was that the recombinant BMP-2 allograft
19 was safe and effective as a treatment for significant bone
20 defects, and this was considered Level II evidence by the
21 measures in the Journal of Bone and Joint Surgery.

22 BMP-7 was studied for its effectiveness in
23 nonunions. The Friedlander study showed that the
24 allograft and the autograft were very close but the, or
25 the BMP was just slightly below effectiveness, about 81

00108

1 percent compared to 85 percent, and part of this was
2 actually the addition of orthogonal views, healing in
3 three views was required, and clinically a lot of those
4 patients in the BMP group were healed because they could
5 walk painlessly.

6 DR. GOODMAN: Doctor, about a minute left, so
7 you may want to go to your summary slides.

8 DR. DE LONG: There are several studies that
9 show that currently off-label use is providing some
10 positive effects in treatment of nonunions and open
11 fractures in other areas, and I will just go to the
12 summary.

13 In actuality, any use of BMP for things other
14 than open fractures of the tibia and BMP-7 for nonunions
15 are considered off label, that's really clearcut, but
16 there are many studies that support BMPs in the upper and
17 lower extremities, and show relative effectiveness
18 compared to autograft.

19 Only one study provides Level I evidence, and
20 the Level II evidence in the bone defects is very
21 compelling and very important.

22 We have a whole host of problems in the Medicare
23 population facing us, we currently have an epidemic in
24 peri-prosthetic fractures. A large portion of these are

25 open fractures, not high energy fractures, but nonetheless
00109

1 they're going to need treatment. You've already heard
2 today, you've heard testimony that as we get older, the
3 stem cells and iliac crest actually become less effective,
4 and I think the BMPs are going to provide an effective way
5 of treating Medicare recipients that fall into this
6 category.

7 Thank you very much.

8 DR. GOODMAN: Thank you very much, Dr. De Long,
9 and we appreciate your condensing what I believe were 37
10 slides into seven minutes, and thank you for that
11 selectivity, I think some would call it. Thank you, sir.

12 Next is Dr. Christopher Bono. He's the chair of
13 the professional, economic and regulatory committee of
14 NASS, which is the North American Spine Society, associate
15 professor of orthopedic surgery at Harvard, Orthopedic
16 Spine Services at Brigham and Women's. Thank you, sir.

17 DR. BONO: Thank you very much, and as far as
18 disclosures besides the association with NASS, in the
19 distant past I was involved in a Medtronic-supported study
20 group and I did disclose that on the form.

21 We're going to skip these slides. We tried to
22 organize it as far as what the societies presented to try
23 to find some common ground, so you'll see a lot of these
24 different slides about orientation, orientation of the way
25 we do fusions, so leading up to this meeting we did try to

00110

1 find some common ground between the AANS, AAOS and NASS.

2 You've seen these slides about areas of agreement, about
3 high risk patients being an exception, and these other
4 areas of agreement about the quality of the AHRQ
5 assessment, and other various things about the quality of
6 BMP-2 literature versus BMP-7.

7 My job here in representing the North American
8 Spine Society is to demonstrate why NASS supports these
9 statements. Basically it's rooted in evidence and
10 supported by the literature that's available. The goal of
11 this presentation is to make this position clear.

12 The evidence is from multiple RCTs and some of
13 them are high quality, some of them are not such high
14 quality. You've seen this exhaustively before so I'm not
15 going to belabor the details of the studies. We know what
16 the anterior lumbar literature is, we know that there are
17 two RCTs, one's a larger series, this is the smaller
18 series by Boden and this is the one by Burkus that does
19 demonstrate higher fusion rates, and we've belabored this
20 over and over already so far.

21 As far as the data about anterior cervical
22 surgery, we do see some efficacy as far as fusion rates,
23 but the problem is the equivalency of the clinical
24 outcomes and also of the complications, specifically of
25 dysphagia.

00111

1 This is probably the biggest target of this
2 panel advisory committee because it constitutes most of
3 the use, or most of the use of BMP in the spine, and this
4 is off-label use with posterolateral lumbar, and we've
5 seen this data as well, this one by John Dimar, in which
6 there was a prospective randomized control trial. But
7 importantly, to answer the questions proposed by the
8 panel, the clinical outcomes were similar despite
9 different fusion rates with slightly higher fusion rates
10 in the BMP group.
11 Looking at this additional study by Kanayama,
12 which again was a PRCT, not a lot of patients, just nine
13 in one group and ten in the other, with about comparable
14 fusion rates radiographically, and more different with
15 surgical exploration.
16 And finally the study by Vaccaro, which was
17 BMP-7 OP-1 in which clinical success was defined very
18 specifically, and fusion rates were found to be higher in
19 the OP-1 group, but clinical outcomes were not that
20 different.
21 Then finally another study by Boden
22 demonstrating pretty much the same thing, but fusion rates
23 being higher in the BMP group, but with only one-level
24 fusions.
25 Specifically looking at a different indication,

00112

1 which is isthmic spondylolisthesis, so we thought we heard
2 about the indication making a difference. This was a very
3 small study comparing ten patients with OP-1 to ten
4 patients with iliac crest, and the fusion rates were no
5 different.
6 And then this study by Glassman specifically
7 addressing the over 60 years of age population in which
8 clinical outcomes are improved in both groups, but the
9 additional surgery appeared to be more frequent in the
10 iliac crest group.
11 This is an indication I just want to shed some
12 attention to, which is PLIF and TLIF, so this is interbody
13 fusion performed through a posterior approach which
14 currently is perhaps more controversial than
15 posterolateral because there are no RCTs. It's commonly
16 used with minimally invasive techniques but there have
17 been a number of complications reported, such as
18 radiculopathy, increased radiculopathy rates with BMP use,
19 as well as bone formation, heterotopic bone formation in
20 the spinal canal around the nerves.
21 For posterior cervical there is not a lot of
22 data there. Perhaps we can extrapolate the data from
23 posterior lumbar, but with this paucity of data we really
24 don't have anything definitive to say.
25 This is perhaps the most judicious use of BMP,

00113

1 it's a higher risk patient, a patient who is a redo, redo,
2 redo, as my mentor Steve Berken would say, in which we're

3 trying to get something to heal which didn't heal the
4 first, second or third time around. But this is difficult
5 to study and we're never going to have a big RCT or even a
6 high number of case series with this particular
7 indication.

8 In summary, based on the data, we stand by the
9 position that anterior lumbar interbody fusion has
10 reasonable evidence for its use and that BMP-2 appears to
11 be equivalent to autograft. In the posterolateral lumbar
12 spine it might work as well, it seems to work as well,
13 maybe slightly different, clinical outcomes appear to be
14 equivalent, and the evidence is with BMP-2.

15 As far as anterior cervical, there is already a
16 very high fusion rate with autograft or even allograft for
17 a one or two-level procedure, so the question of what the
18 use would be clinically for BMP-2 outside of the efficacy
19 or the complication rate, that is still in issue.

20 As far as posterior cervical, we really have
21 limited or no data.

22 And for TLIF and PLIF, which is this halfway in
23 between an anterior and posterior procedure, we have no
24 data, we need data if this is going to be a frequent use
25 of the device, and complications have been reported thus

00114

1 far.

2 Thank you very much.

3 DR. GOODMAN: Thank you very much, Dr. Bono, and
4 we do take note and appreciate that I think you're one of
5 the few presenters who mentioned it, but there was a half
6 dozen professional medical societies who have put their
7 heads together with regard to these issues and looked at
8 the evidence, and thank you very much for taking part in
9 that.

10 In addition to our five scheduled speakers, CMS
11 provides for nonscheduled speakers to sign in at the
12 beginning of the day, and I do see that one person has
13 signed up and there will be time for him to approach, I
14 believe the floor mike here, and I believe we only allow
15 one minute typically for these, or is there two this time?
16 They're very generous this time, sir, and you have two
17 minutes, 120 seconds, so how about that?

18 This is Jeffrey Ziegler, who's a consultant with
19 MCRA, and will you please let everyone know about MCRA,
20 what that is?

21 MR. ZIEGLER: Thank you. As the chairman
22 mentioned, my name is Jeffrey Ziegler. I serve as
23 reimbursement manager for Musculoskeletal Clinical
24 Regulatory Advisers. MCRA is a Washington, D.C.-based
25 consultancy providing counsel generally to the medical

00115

1 technology and investor communities.

2 Following the Agency's announcement of this
3 meeting, MCRA undertook a small literature review of the
4 published evidence on the on-label and the off-label use

5 of rhBMP-7 or OP-1 specifically. We do not represent the
6 manufacturer, Stryker Biotech, but we did this review as
7 an interested third party in the subject matter.
8 Qualities of BMP-2 have been well covered during
9 this meeting. We would urge the panel to consider the
10 following regarding BMP-7.
11 First, BMP-7 has a very strong safety profile as
12 reported by the animal as well as human clinical data. As
13 we heard earlier, for long bone nonunion indications and
14 in a putty or the revision spine PLF indications, they are
15 each supported by a number of scientific articles offering
16 good support for its continued use and coverage by the
17 Medicare program. MCRA identified 35 published sources in
18 peer reviewed journals from case series randomized control
19 clinical trials to meta-analyses on this topic. We heard
20 earlier that there were a total of 42 for BMP-7, and we
21 believe that the full body of evidence includes these 30
22 or 35 more complete sources specifically for long bone
23 nonunion indications. As we heard before, studies found
24 that OP-1 increased or improved upon healing rate of those
25 long bone fractures in recalcitrant patient populations on

00116

1 label.

2 Autograft was mentioned a lot today and it is my
3 pleasure to provide the panel a little bit more incident
4 rates of autograft complications. A study by White,
5 excuse me, by Oakley in 2007 found minor complications,
6 including the pain that we have been discussing, as well
7 as other neuropalsy issues has an incidence of 10 to 39
8 percent, and the more severe complications, including
9 severe nerve damage, occurs up to ten percent of the time
10 when iliac crest is harvested.
11 Friedlander in 2001 found OP-1 to be equivalent
12 to autograft in clinical success criteria, with none of
13 the autograft-related adverse events associated with those
14 autograft patients. And I think also in 2007, White and
15 colleagues noted that the OP-1 group in the Friedlander
16 study was actually composed mostly of smokers, and were
17 highly at risk for some arthrosis, which as you can tell,
18 they did very well compared to the autograft group.
19 For spine fusion indications, we found those
20 articles were off label, in fact all were off label.
21 However, these are typical RCTs to enroll patients into,
22 and therefore, the best approach were the studies that
23 attempt to find those patients who are also high at risk
24 patients for pseudarthrosis. Fairlane in 2007 found those
25 two groups of patients with connective tissue disorders

00117

1 and other issues that would affect healing. Despite the
2 setbacks, these patients still saw an 80 percent success
3 rate.

4 DR. GOODMAN: You might want to wrap up, Mr.
5 Ziegler.

6 MR. ZIEGLER: Absolutely. So thanks very much

7 for your time. I would encourage MedCAC and CMS to
8 support the future use of this technology through its
9 coverage pathway now, and in the event that it finds
10 inadequate evidence for OP-1, we would encourage them to
11 utilize the coverage through evidence development
12 mechanisms. Thank you very much.

13 DR. GOODMAN: Thank you, Mr. Ziegler.

14 All right then. Panel, we've heard from, in
15 addition to our presenters earlier this morning before the
16 break, including the TA, we've heard from five scheduled
17 speakers and one nonscheduled speaker this morning. And
18 what we're supposed to do at this point is to have our
19 discussion, our initial discussion as a panel, and we
20 typically do that by starting with any questions that you
21 may have for the presenters. And so the presenters would
22 include, starting with Dr. Glowacki this morning, and the
23 TA from Dr. Ratko, the five presenters and the one
24 unscheduled. If we have specific questions for them, I
25 hope that all of those speakers will, A, stay in the

00118

1 vicinity, and B, not speak until you come to the
2 microphone. Otherwise, your various information will be
3 lost.

4 When we do this, panel, I'd also suggest that
5 when we ask these questions, let's try to keep in mind
6 that we're after, most of all, information that will help
7 us answer our questions. So, there are so many issues
8 that have come up today that we could address that would
9 be of great interest to many, but we've got to come down
10 and answer those seven questions by the end of the day,
11 and let's use our time as best as possible.

12 Dr. Satya-Murti, did you want to start, or have a comment?

13 DR. SATYA-MURTI: Yes. This is for Dr. Glowacki
14 and any of the others.

15 We've heard of this compromised patient, let's
16 say from smoking --

17 DR. GOODMAN: When you hear your name, please
18 come up to the front of the room and I think that -- sorry
19 for interrupting, Dr. Satya-Murti, I apologize. I think
20 it would help if the people who have spoken today came up
21 to these seats in the front of the room, so it might be a
22 lot better. So Dr. Ratko, and the five scheduled
23 speakers, that way we can find you faster, and I thank our
24 court reporter for reminding us of the reason why those
25 seats were reserved. I apologize, Dr. Satya-Murti, back

00119

1 to you.

2 DR. SATYA-MURTI: No problem. Getting back into
3 the question, so smoking and osteoporosis are deterrents
4 for good healing with an autograft, so do we know if BMPs
5 specifically are superior, how do they combat the
6 deterrents to healing, and has it been shown or are they
7 assuming?

8 DR. GLOWACKI: That's one of my pet peeves, is

9 that all of the animal experimentation is done in young
10 healthy growing animals, so there are no research
11 preclinical studies that directly relate to your question.
12 DR. SATYA-MURTI: So these BMP cases, although
13 they show union at first, may run into the same problems
14 that are brought on by aging and smoking sooner or later?
15 DR. GLOWACKI: Well, many of those complications
16 are shown very very early in the clinical course of
17 fracture healing or graft incorporation, so I would say
18 that if you have early good success in a particular
19 patient, that may be encouraging, that's not an automatic
20 failure.

21 DR. SATYA-MURTI: Okay.

22 DR. GOODMAN: Yes, Dr. Kwon, sir.

23 DR. KWON: I would point out there is actually a
24 rabbit nicotine study that showed improved fusion rates
25 with the BMP-7 done by (inaudible), so that was a model

00120

1 where they created pseudarthrosis, they actually
2 administered nicotine to the rabbits, and then once the
3 pseudarthrosis was established, treated them with
4 autograft or BMP-7, and showed that the fusion rate was in
5 fact higher in the BMP treated group, so there is some
6 preclinical evidence.

7 DR. GLOWACKI: But they didn't continue the
8 nicotine during the healing.

9 DR. KWON: That is correct.

10 DR. GOODMAN: Thank you, Dr. Kwon, and I don't
11 think health care reform has expanded Medicare benefits
12 yet to rabbits, we will look for that in the next
13 Congress, but sometimes we do look at preclinical efforts.
14 Thank you very much.

15 Dr. Bozic is next, followed by Dr. Baker.

16 DR. BOZIC: For either Dr. Ratko or any of the
17 clinicians who spoke this morning, so, we have a specific
18 question we need to address about the generalizability or
19 external validity to the population of interest here, and
20 we've heard about there are Medicare patients who are
21 under and over age 65. I would argue that age is probably
22 not the most important covariate in determining clinical
23 outcomes here, but I think what I'd like to hear from you
24 is the populations that have been studied in these 41
25 articles that we looked at, how relevant is that to the

00121

1 population that we're considering and the factors that we
2 know are important in the medical comorbidities that
3 influence fusion success, but also the other covariates
4 that influence pain and clinical outcomes, which include
5 things like depression, socioeconomic status, et cetera,
6 how do the populations under study here compare to the
7 Medicare population specifically with respect to those
8 factors?

9 DR. GOODMAN: Do we start with you, Dr. Ratko?

10 Dr. Ratko, why don't you take that first, if you don't

11 mind.
12 DR. RATKO: We looked at Dr. Glassman's study,
13 and we did not systematically look at any of these factors
14 you're talking about.
15 DR. BOZIC: Because I would argue that age is
16 not the magic covariate here in terms of generalizing it
17 to the Medicare population. There are many many other
18 comorbidities and covariates that influence outcomes that
19 have nothing to do with age and they may be self-reported.
20 DR. RATKO: And we didn't systematically look at
21 that.
22 DR. GOODMAN: That's very useful. Thank you for
23 asking that question, Dr. Bozic, that will apply to
24 question 6.A for us. Did any of the other speakers have a
25 comment specifically on the applicability to Medicare

00122

1 patient population and taking note that Dr. Bozic's
2 mention about whether age might be or might not be so
3 relevant as opposed to comorbidities. Dr. De Long, sir.
4 DR. DE LONG: I would like to say that the data
5 from Govender was an experiment on mostly young males,
6 because that's the patient that suffers from the trauma
7 disease the most, as you know, and in that setting the
8 autograft worked as good as BMP. So if you translate that
9 to the Medicare population, we know that you could think
10 about things other than age, but the age specifically
11 affects the number of stem cells that they have available
12 in their autograft if in fact that's the element that
13 heals when you use autograft in fusion.
14 Well, if the BMP works as well as autograft in
15 the young population, that autograft is much better than
16 autograft in the older population just because of the
17 nature of the stem cells and the other growth factors that
18 are available there. So we'd say that it would certainly
19 provide an improved potential for healing in an elderly
20 population whose natural substance wouldn't be as
21 effective.
22 DR. GOODMAN: Thank you, Dr. De Long. Just to
23 remind us, the Medicare beneficiary population does
24 include some people that aren't 65 or over.
25 Dr. Baker is next.

00123

1 DR. BAKER: I have a question for Dr. Kwon, and
2 that is that both you and Dr. Kuntz mentioned the Kornblum
3 study as showing a relationship between the pseudarthrosis
4 and pain in outcomes. But yet the Resnick study in the
5 Journal of Neurosurgery in 2005 would show that that
6 outcome doesn't exist, and we've heard other presenters
7 say that there is very little relationship between the
8 pseudarthrosis and clinical outcomes, so how do you
9 connect those two?
10 DR. GOODMAN: This is Dr. Kwon.
11 DR. KWON: I think that that question is to some
12 extent hard to reply to, and it's complicated. I think

13 that the association between fusion and long-term outcome,
14 the mere fact that the Kornblum study is sort of something
15 we really hang our hats on is a reflection of the fact
16 that there aren't a lot of studies that generate that or
17 establish that direct link between spontaneous solid
18 fusion and having a good clinical outcome.
19 I think that one of the issues is related to the
20 heterogeneity of the population being studied. I think as
21 a spine surgeon, the people that are getting lumbar
22 fusions are not all the same, and that heterogeneity I
23 think creates noise that actually does make it hard to
24 establish that direct link between fusion outcome and
25 clinical outcome. But certainly empirically from

00124

1 experience, seeing the patients that do need further
2 operations because they haven't achieved a solid fusion,
3 that, you know, when we see patients that do have pain
4 that have a pseudarthrosis, if we really didn't believe
5 that there was any reason for them to achieve a solid
6 fusion, then that would actually be I think very contrary
7 to how many of us practice spine surgery in general.

8 DR. GOODMAN: Dr. Baker, back to you. Did you
9 have a follow-up to this specific question? This is Dr.
10 Bono.

11 DR. BONO: Yes. I think the clarification very
12 simply is the Resnick data didn't have, when Resnick made
13 that statement in the guidelines, he was including most of
14 the data with short-term outcomes, two to four years, but
15 Kornblum did a longer-term follow-up, so the original
16 patients that were in the Kornblum study which are in the
17 Fishman study and the original Hergowitz OCCUR study, that
18 do not demonstrate a correlation between fusion rate and
19 outcome, those are the patients that were followed in the
20 Kornblum study up to seven years, so if you follow them
21 long enough, the pseudarthrosis may be more symptomatic.

22 DR. GOODMAN: And Dr. Baker, that means what
23 with regard to your question?

24 DR. BAKER: Well, to me, although we're not
25 looking at radiographic fusion as one of our clinically

00125

1 meaningful outcomes, we are dealing with pain and
2 function, and when that graft was placed up there it
3 showed a difference in patients with pseudarthrosis and a
4 solid fusion in outcomes, in clinically meaningful
5 outcomes, then that would stand in contrast with our
6 health technology assessment, which did not really
7 correlate a pseudarthrosis with clinically meaningful
8 outcomes.

9 DR. GOODMAN: But again, the radiographic
10 evidence in and of itself does not address our outcomes
11 question. To the extent that you see an explicit
12 correlation, that may be another issue.

13 DR. BAKER: Right.

14 DR. GOODMAN: And we are trying to think of pain

15 in our outcomes in other ways, okay. Dr. Kuntz.
16 DR. KUNTZ: I'd just like to address that issue
17 from a clinical trials perspective. Addressing the issue
18 of surrogacy is a complicated statistical process and it
19 might be that the statistical power of looking at the
20 radiographic fusion versus nonfusion is more powerful than
21 that in the more quality of life endpoints of pain, and it
22 might be an issue of power as it is in other areas. So
23 I'm not quite sure that the lack of association means that
24 there is no association, it may be a power issue with two
25 different statistical sensitivities of those endpoints in
00126

1 the comparison. And because of these relatively small
2 sample sizes overall, it would be my guess that if we had
3 a larger sample size, we might see better correlation.

4 DR. GOODMAN: Thank you, although the lack of
5 evidence does not mean that there is a finding in
6 statistics. Yes, Dr. Baker.

7 DR. BAKER: The one thing I would add for the
8 panel with regard to that, though, a number of studies do
9 have significant reservations with tobacco usage, and even
10 the Burkus study, I believe, had 25 percent of the study
11 that had use of tobacco. So I think if we look at the
12 settings a little bit more, we should be able to tease out
13 the extent to which tobacco usage is applicable, or the
14 use of BMP or something else.

15 DR. GOODMAN: Dr. McDonough.

16 DR. MCDONOUGH: This is a question for Dr. Ratko
17 on something we talked about earlier on slide 38 when we
18 were looking at the use of the OP-1 in the lumbar fusion,
19 and this sort of illustrates the difference between the
20 USPSTF and GRADE, a good quality GRADE study, or a good
21 quality U.S. Preventative Services Task Force study but
22 insufficient evidence from GRADE, and I didn't quite
23 understand the explanation for that.

24 DR. RATKO: That was Vaccaro?

25 DR. MCDONOUGH: Yes, Vaccaro.

00127

1 DR. RATKO: So, in our consideration for GRADE
2 a priori, we said we're going to need at least two trials,
3 and so this was the one we had. We know it's a good
4 study.

5 DR. MCDONOUGH: Okay.

6 DR. GOODMAN: That was the point we made earlier
7 in the day, which is USPSTF is at least for today's
8 purposes about single studies, GRADE is about groups of
9 studies. Dr. Lewis is next.

10 DR. LEWIS: My question is for Dr. Bono if I
11 could, please. You spoke about deliberations amongst six
12 different professional societies with interest in this
13 topic. My question is whether the areas of agreement you
14 outlined in your slides constitutes a formal process and
15 if so, what was the process behind that, number one.
16 And number two, your last slide spoke to

17 indications or strength of evidence for five different
18 spine applications; do those also represent consensus
19 statements.

20 DR. BONO: To answer your first part, there was
21 I would say very much an informal process by which we
22 developed this agreement, this was a conference call with
23 follow-up e-mails in order to develop this agreement
24 slide, or these agreement slides that we had, and bullet
25 points kept falling off the agreement slide as we went

00128

1 through the process. So this was the bare minimum of what
2 we could agree with.

3 As far as the second point, that is very much
4 not agreed upon, those are entirely from NASS.

5 DR. LEWIS: Thank you.

6 DR. SCHWARTZ: So are you going to the Middle
7 East next?

8 DR. GOODMAN: Thank you, Dr. Lewis. This is an
9 apolitical day, Dr. Schwartz. Other questions from the
10 MedCAC panel to any of our presenters thus far? Yes,
11 Dr. Kirkpatrick.

12 DR. KIRKPATRICK: Dr. Ratko, could you please
13 help us understand what proportion of the data you saw
14 extended beyond two years? That would help us to
15 understand whether this fusion, nonfusion and likely
16 follow-up is relevant to the clinical performance.

17 DR. RATKO: Well, we had Burkus '09, which
18 actually came in after we submitted the draft. We had
19 Vaccaro I think at 36-plus months. And I'm not really
20 aware of others that go out much past 24.

21 DR. KIRKPATRICK: So fundamentally two articles
22 that went beyond two years?

23 DR. RATKO: That's correct, if my memory serves
24 me.

25 DR. KIRKPATRICK: That confirms my recollection,

00129

1 so I appreciate that. I was just looking for, was just a
2 clarification.

3 DR. GOODMAN: Thank you, Dr. Ratko. Further
4 questions?

5 DR. KIRKPATRICK: Yeah, a second question for
6 Dr. Ratko. Dr. Boden's article does an excellent job of
7 describing the exclusion criteria for their population,
8 which if I read it, and bear with me, those using
9 antiinflammatory drugs, steroid or nonsteroidal
10 methotrexate, osteopenia, circulatory problems,
11 symptomatic cardiac disease, history of cancer within five
12 years, infection or fever, obvious, obesity, metal
13 allergy, pharmaceutical allergy, tobacco use, anybody that
14 has had a bone growth stimulator, and endocrine disorders.
15 That is a very clear delineation of what their population
16 exclusion is. I don't recall seeing exclusion criteria in
17 several of the other articles that would be that clear so
18 we would know what the population study was. Is that, can

19 you confirm or deny that?

20 DR. RATKO: To the best of my recollection a lot
21 of those same criteria were applied, not necessarily that
22 exhaustive list.

23 DR. KIRKPATRICK: But there were some that also
24 include nicotine use where they excluded it, and that sort
25 of thing.

00130

1 DR. RATKO: Correct.

2 DR. KIRKPATRICK: And so it's still a mixed bag,
3 and we don't have a clear understanding of who's in the
4 population and who's not.

5 DR. RATKO: I would say that's a fair
6 conclusion.

7 DR. GOODMAN: A suggestion, Dr. Ratko. If you
8 don't have it, you may want to have a hard copy of your
9 own slides because we keep forcing you to refer to your
10 memory for a various number of slides. I think we may
11 have an extra copy for you with your name on it.

12 Dr. Kirkpatrick, in the interest of full
13 transparency, those are very interesting questions, and I
14 would ask you to share your opinion about what you've
15 heard about follow-up for starters, what were you
16 thinking?

17 DR. KIRKPATRICK: Well, it was an issue brought
18 up, whether an arthrosis matters, because at two years it
19 seems that they're similar. As a spine surgeon, I see
20 patients at two years that have a pseudarthrosis and
21 they're clinically doing great. They come back in three
22 and four years and they've developed some more back pain
23 and now maybe they've got a little bit of motion and so
24 they've had a screw loosening, and now they need a
25 reoperation to try and get that to fuse.

00131

1 DR. GOODMAN: So that means what for our
2 deliberations today?

3 DR. KIRKPATRICK: For our deliberations we need
4 to understand that the clinical outcome at two years may
5 not match their outcome for their life for a Medicare
6 recipient.

7 DR. GOODMAN: Thank you. And your second
8 question had to do with all the various sort of exclusion
9 criteria from the studies, and what might we draw from
10 that observation?

11 DR. KIRKPATRICK: My Medicare population would
12 have been excluded by Dr. Boden's study. My clinical
13 population would not have been included in Dr. Boden's
14 study, the Medicare patients, because all of them have at
15 least one of those things that he excluded. And so if
16 that's a universal criteria across the board, then I don't
17 have good data to be able to extrapolate into the Medicare
18 population.

19 DR. GOODMAN: Thank you for making those points,
20 I hope you don't mind I questioned you on those. Those

21 are relevant, and I think aside from our interest in
22 question 6.A, and B actually, we are operating in a world
23 where effectiveness, comparative effectiveness has greater
24 emphasis, and the kinds of questions you asked really do
25 go into how we've been excluding people in real life from
00132

1 our clinical trials, so a point well taken that might rise
2 when we talk about evidence gaps in the future.

3 I think Dr. Satya-Murti was next.

4 DR. SATYA-MURTI: All right, Dr. Goodman, let me
5 see if I can convince you about the relevance of this one.

6 Dr. Kuntz, as far as the study with some adverse effects,
7 you said cervical swelling, but I wonder if we can draw on
8 such data that you have in your own file that you could
9 share. What other adverse events were noted and did these
10 patients with cervical swelling, how did they do on
11 follow-up?

12 DR. KUNTZ: Well, I think I would ask that one
13 of my spine experts in the clinical area --

14 DR. GOODMAN: Dr. Kuntz, use the microphone,
15 please.

16 DR. KUNTZ: I'd like to ask one of my colleagues
17 in the spine area who may have access to that data.

18 DR. GOODMAN: And could you present yourself,
19 ma'am?

20 DR. BARCROFT: Sure. I'm Julie Barcroft, from
21 Medtronic. To your question about other adverse events, I
22 think some of this came up in some of the other
23 discussions. We have seen some other adverse events
24 outside the cervical spine and they're all identified on
25 the label as possible risks.

00133

1 And the second part of your question was?

2 DR. SATYA-MURTI: Adverse events, paucity of
3 data was a prominent discussion point earlier, so do you
4 have anything to share that you could that covers other
5 adverse events that have not been published, and in
6 particular those patients who you withdrew from those
7 studies, how did they do?

8 DR. BARCROFT: Okay. Adverse events are
9 typically followed in our trials per FDA guidelines in a
10 very systematic formal way, right? In our cervical trial,
11 which we've only done a pilot at this stage of the game,
12 we have a very small pilot of 33 patients, and we didn't
13 observe that same level of swelling that was observed
14 post-market. We suspect that that may be somewhat related
15 to the way the product was actually used post-market
16 relative to our clinical trial. And as Dr. Kuntz
17 explained, we're actually pursuing a pivotal trial now to
18 study a more systematic approach to using the product in
19 the cervical indication.

20 DR. GOODMAN: Yes, Dr. Steinbrook is next and
21 then Dr. Schwartz.

22 DR. STEINBROOK: So, I think I'm following up on

23 the same general issue. With regard to the serious
24 complications in cervical spine the answer may be we don't
25 have data or it can't be shared yet, but I think it would

00134

1 be very relevant to know whether any predictors of the
2 complications have been identified and if there are clear
3 means to prevent them, because I know I would think
4 differently about this in terms of the question that has
5 to do with the cervical spine if these are totally
6 idiosyncratic, or if there might be some learning which
7 indicates that there are ways that they need not happen.

8 DR. GOODMAN: Any of our speakers care to
9 respond to Dr. Steinbrook's question? Yes? This is
10 Dr. Jacob.

11 DR. JACOB: The adverse event of cervical
12 swelling has been reported. A number of surgeons continue
13 to use it at a decreased dose, both in terms of
14 concentration and absolute dose per level, although at the
15 case report level the complication can be avoided by the
16 administration of perioperative steroids, typically
17 something like Epidron, which many surgeons do routinely
18 in spine and spinal cord operations to mitigate nerve
19 swelling, probably acting as some type of
20 immunosuppressant, that's just guesswork, but it
21 clinically eliminates the cervical swelling associated
22 with that. So those two things are being done currently.

23 DR. GOODMAN: Thank you, Dr. Jacob. Did that
24 answer your question, Dr. Steinbrook?

25 DR. STEINBROOK: Yes, that was very helpful.

00135

1 DR. GOODMAN: Thank you. Dr. Schwartz, and then
2 Dr. Jarvik.

3 DR. SCHWARTZ: I just want to get back to Dr.
4 Kirkpatrick's comment about the length of follow-up and
5 see whether Rick or Tom or anybody had information on
6 longer-term follow-up, more than two years, because that
7 is a very important issue to consider, and most of the
8 studies that have been published, the ones I read, the
9 ones that were sent us are one or two-year follow-up.

10 DR. GOODMAN: Dr. Ratko, I'll look at you first
11 in case you have anything to add to what you said before
12 about follow-up, because you looked at all the study
13 evidence. Is there anything to add, sir?

14 DR. RATKO: No. I believe the Burkus study that
15 was published in 2009 was six-plus years, that's the
16 longest experience.

17 DR. SCHWARTZ: None of the other studies?

18 DR. RATKO: I haven't seen any.

19 DR. GOODMAN: Thank you, Dr. Ratko. Yes. This
20 is --

21 DR. BARCROFT: Julie Barcroft, Dr. Julie
22 Barcroft.

23 In our AMPLIFY trial for posterolateral use we
24 did an analysis at five years whose data has yet to be

25 published, and it was on one of the slides but it got
00136

1 glossed over rather quickly. And what we did was we
2 compared patients that did achieve fusion versus those
3 that did not achieve fusion, and we saw what was reported
4 by Kornblum, and then we saw an improvement in outcomes
5 and reduction in pain, but that's not yet published.

6 DR. GOODMAN: Thank you for that.

7 DR. SCHWARTZ: But there's not much about how,
8 how good predictors at two years predict success at four
9 or five years.

10 DR. GOODMAN: I heard nothing, Dr. Schwartz,
11 about that. Mr. Ziegler, did you have a comment?

12 MR. ZIEGLER: Yes. I would like to add that in
13 2008 Ken McCarrus et al. studied a registry database of 68
14 patients at three years and found 90 percent had
15 consistent fusion results.

16 DR. GOODMAN: Was that a published study?

17 MR. ZIEGLER: Certainly.

18 DR. GOODMAN: Okay, thank you. Further on that?

19 Okay. Dr. Jarvik is next.

20 DR. JARVIK: I had a question referring to
21 question number six, the generalizability relating to the
22 efficacy versus effectiveness question, and we talked a
23 little bit about patient factors, but what about surgeon
24 factors or hospital system factors, and how much of the
25 data was obtained in the review in nonacademic settings,

00137

1 if any, and how might that influence outcomes that were
2 looked at?

3 DR. RATKO: As far as the academic versus
4 nonacademic, we didn't really look into that. I think
5 there was some independent groups that have done a lot of
6 this work, but a lot of it was done within a multicenter
7 setting, but we didn't systematically look at it. I can
8 defer to the surgeons.

9 DR. JARVIK: It's not likely to make a big
10 difference in outcomes, would you say?

11 DR. GOODMAN: Dr. Jacob, if you have something
12 to say, do you want to come to the mike?

13 DR. JACOB: I do. I think Burkus, the primary
14 author of the answer study, is in private practice in
15 Georgia, so he's not in an academic center. Some of those
16 patients might have been recruited in an academic center,
17 but he is not.

18 DR. GOODMAN: Thank you. Dr. Juhn is next.

19 DR. JUHN: This question really refers to the
20 study design, especially the sample size, so the question
21 really has to do with, I'm assuming that from looking at
22 the studies and looking at the technology assessment, that
23 these were really geared to the non-inferiority studies,
24 that the gold standard was really the autograft bone
25 approach, and that this BMP was really meant to show

00138

1 equivalency to that as a standard. I just want to make
2 sure that I'm clear on that subject.

3 DR. GOODMAN: Any speaker? Yes, Dr. Ratko. I
4 told you, Dr. Ratko, you're going to get a lot of
5 exercise.

6 DR. RATKO: I think that there's a mix of that.
7 There are some of the studies that, if I recall, it might
8 have been Dr. Dawson's study that specified a
9 non-inferiority, but not all of them.

10 DR. JUHN: Just by looking at the sample sizes,
11 I can't imagine you could do a superiority study.

12 DR. RATKO: No, you couldn't.

13 DR. GOODMAN: Thank you. A point well made, Dr.
14 Juhn. When we're looking at this comparative stuff, for
15 the most part you're right, it's non-inferiority. Thank
16 you for making that point explicit.

17 Dr. Kirkpatrick is next, sir.

18 DR. KIRKPATRICK: I hope you think this is a
19 fair question, but we heard individual disclosures from
20 the panel and the presenters, but we've had several that
21 represented organizations. I wonder if there is any way
22 that they might know or have other people to support them,
23 and tell us how much those support organizations may have
24 received from either the BMP manufacturer or Medicare.

25 DR. GOODMAN: How much support?

00139

1 DR. KIRKPATRICK: Yeah. Because most of the
2 organizations get significant support from industry for
3 CME, for grants, and for exhibit space. And as a
4 business, these organizations are a business that are
5 trying to operate, and I think that represents a potential
6 conflict of interest that may be of interest to the panel,
7 or it may not. I'll leave that to your discretion.

8 DR. GOODMAN: I appreciate your point,
9 Dr. Kirkpatrick. Let's put it this way. For anyone who
10 has spoken today, anyone who has spoken today should have
11 and is responsible for having read the conflict of
12 interest points in the disclosure form. If there's any
13 speaker, anyone who has spoken today as an invited speaker
14 or scheduled speaker, or our one unscheduled speaker, who
15 wants to add anything to what they have already stated
16 about any potential conflicts of interest, they're welcome
17 to do so, but I'm not going to single out anybody.

18 DR. SCHWARTZ: Cliff, just a quick thing for
19 going forward. From what I'm reading, a good suggestion
20 is maybe going forward in the future is that when people
21 represent societies that there be a report of how much the
22 societies got, and we can't do it today, but I think going
23 forward it's something to consider.

24 DR. GOODMAN: Right, and we're not going to do
25 that today. I think there's a set of procedures that CMS

00140

1 has developed over the years, but at this time if anybody
2 would like to add anything to what has already been stated

3 in their form or what they said when they spoke,
4 Dr. De Long, would you like to approach the microphone?
5 DR. DE LONG: Well, I would just like to say
6 that I have no disclosures personally, and there was no
7 influence on me by the American Academy of Orthopedic
8 Surgeons; they asked me to develop some evidence and that
9 was it.

10 DR. GOODMAN: Thank you very much. Okay.
11 Dr. Baker.

12 DR. BAKER: I guess as an answer to two points.
13 One is, I thought in the hallway whether I should disclose
14 that I'm president of the North American Spine Society.
15 That may be or may not be relevant, but I recused myself
16 from any discussions within the society about that. I do
17 know the answer to your question, and that is that the
18 North American Spine Society abides by the Rothman PMA
19 guidelines that came out in JAMA, a less than 25 percent
20 interest.

21 DR. GOODMAN: Okay. Any questions now for our
22 presenters this morning, thus far? No further questions
23 at this point for our presenters about -- yes, Dr. Rao.

24 DR. RAO: Just a quick question for Dr.
25 Glowacki. From a biological standpoint, Dr. Glowacki,
00141

1 we've heard how autograft has, or it becomes lower quality
2 as we age, and that's primarily related to a lower number
3 of stem cells, quality of stem cells in autograft bone as
4 we age. What is the presumed biologic mechanism when we
5 use BMP? BMP is supposed to work by stimulating
6 osteoblastic differentiation from the sentinel stem cells.
7 What is the number of stem cells we know is less as we get
8 older, so what is the presumed mechanism of action in BMP
9 in an older patient who presumably has a lesser number of
10 stem cells? That's one question.

11 The second question --

12 DR. GLOWACKI: Can I answer that one before I
13 forget it?

14 DR. RAO: Sure.

15 DR. GLOWACKI: Because I think there's multiple
16 parts already.

17 DR. GOODMAN: Please proceed.

18 DR. GLOWACKI: I think that the mechanism is
19 supposed to be able to, even if there are smaller numbers,
20 to make sure that they maximize the potential, that's the
21 theory behind it.

22 DR. RAO: How would that be different from just
23 the autograft potential?

24 DR. GLOWACKI: It --

25 DR. RAO: Is BMP an extra --

00142

1 DR. GLOWACKI: It wouldn't be --

2 DR. RAO: -- in an elderly patient with fewer
3 stem cells?

4 DR. GOODMAN: Dr. Rao, just, I'm looking out for

5 my favorite court reporter in the whole world, who is
6 having trouble with the back and forth conversation. So
7 let's lay out your question and get an answer, and then we
8 can come back to you for a follow-up. Dr. Glowacki.
9 DR. GLOWACKI: I've published rather extensively
10 on the age-related decline in the osteoblast potential in
11 marrow from humans, and I've also looked very very hard to
12 find the evidence of decreased fracture healing, graft
13 incorporation as a function of age, and there's very very
14 little evidence to support that if you look at age alone.
15 If you look at diabetes, smoking, other factors, other
16 drugs, other symptoms, you cannot isolate the age effect.
17 That is why I think it's reasonable to assume that it's a
18 question of maximizing what the potential is there in
19 those numbers themselves.

20 DR. GOODMAN: Thank you, Dr. Glowacki. Dr. Rao,
21 did you have a follow-up question?

22 DR. RAO: Not a follow-up, but a slightly
23 different line of thought again dealing with biology, and
24 since you're the biology expert. We know that BMPs
25 stimulate osteoblast function. With osteoblast function

00143

1 we get radiodense bone. They don't do much with
2 osteoclasts. Osteoclasts are important for the remodeling
3 and the proper formation of good bone quality. We know
4 that there's another disease that's physiologically
5 somewhat similar called brittle bone disease or
6 osteoporosis, where the primary physiologic deficiency is
7 one of osteoplast function. How would you say brittle
8 bone disease where fractures are more likely, where the
9 quality of bones is suboptimal, compares with the bone or
10 radiodense tissue that's created by the use of bone
11 morphogenetic proteins?

12 DR. GLOWACKI: I think all of the animal and
13 radiographic or clinical evidence indicates that there's a
14 normal turnover of bone that is induced by any material
15 such as AAA bone or BMPs, that it's not sclerotic bone,
16 it's bone that undergoes remodeling at a normal rate, so
17 there's no pathology to the osteoplasts that are recruited
18 to it.

19 DR. GOODMAN: Thank you, Dr. Glowacki.
20 Let's take one more question before we break.

21 Dr. Satya-Murti.

22 DR. SATYA-MURTI: My question is for any spine
23 surgeon. The patients who go on to receive BMP, some
24 would have had a hardware placement already and some would
25 have gone to BMP without a prior hardware placement or

00144

1 failure of hardware, is that not correct? So this would
2 be a mix of two different kinds of population, and if that
3 is in fact a fact, can we separate the data as to who does
4 better, those in whom the hardware has failed versus those
5 in whom the BMP was put in de novo without the hardware?

6 DR. GOODMAN: This is Dr. Bono.

7 DR. BONO: If I understand your question
8 correctly, what is the difference in the outcomes of
9 performance of BMP in a redo operation or a pseudarthrosis
10 operation, nonunion, versus a primary fusion, and I don't
11 think that that comparison has been made. The only
12 evidence that we have of BMP use in pseudarthroses are
13 that we do scenario, or very limited case series, case
14 reports, all of the primary data we have is on first-time
15 lumbar fusions. So no previous surgery, and that's
16 usually one of the disqualifications under the exclusion
17 criteria for these studies.

18 DR. GOODMAN: Thank you, Dr. Bono.
19 Dr. Kirkpatrick, on that point?

20 DR. KIRKPATRICK: Yes, just further to help you
21 understand. The posterolateral fusions that were done in
22 most of these studies were accompanied with hardware. The
23 anterior on-label use is with hardware, but it's a cage
24 that is interposed within the disc space. So none of them
25 are just put in the place to get a fusion without some way
00145

1 of augmenting the stability of the spine.

2 DR. SATYA-MURTI: By hardware you mean the
3 pedicle screws and such, not the cage?

4 DR. KIRKPATRICK: Posteriorly would be pedicle
5 screws typically used with BMP. Anteriorly, frequently
6 it's a cage alone with the BMP. Sometimes people are now
7 doing, as you saw, two other categories of fusion,
8 posterior lumbar interbody fusion and transforaminal
9 interbody fusion, and those are also done with hardware
10 typically.

11 DR. GOODMAN: Thank you for that clarification,
12 Dr. Kirkpatrick.
13 It's 12:01 and I think we've pretty much
14 exhausted the questions for the presenters at this point.
15 So when we come back from lunch, we're going to start with
16 our questions. And what we'll do, starting with question
17 one, is have a brief and focused discussion about matters
18 pertaining directly to the question, and it does have
19 multiple parts, and we will proceed to take a vote on it,
20 and then we'll do the same for question two and so forth.
21 So do come back prepared to address in a concise fashion
22 questions, and we will take the voting that way.
23 I would like to thank our speakers thus far this
24 morning for their excellent presentations and timeliness.
25 Speaking of timeliness, I've got 12:02 now, but we'll

00146

1 start directly at one p.m. after lunch, not 1:01, one p.m.

2 The cafeteria, for those of you who don't know, is down
3 the hall and downstairs, and we'll see you at one p.m.

4 Thank you all very much.

5 (Recess.)

6 DR. GOODMAN: Panel, we're going to proceed now
7 to discussing our questions, of which there are seven, six
8 voting questions and one discussion question, and just a

9 few reminders here about what we're going to do.
10 First of all, pretty soon we will find out about
11 our new voting system, so do you want to do that now,
12 Maria, or wait until -- we're going to have some
13 discussion and then move to question one, so do you just
14 want to do it then?
15 MS. ELLIS: It's up to you, whichever.
16 DR. GOODMAN: How long is it?
17 MS. ELLIS: A minute.
18 DR. GOODMAN: Why don't you hand those out to
19 folks, and let me go on while you're doing that, and then
20 when it comes time to vote, we can deal with that.
21 On these voting questions, remember a few
22 things. We're going to have some discussion, so we're not
23 voting in the next 20 seconds. Some reminders here now.
24 Do have ready your voting question pages, which will help
25 direct you to what we are doing, and here are some

00147

1 reminders.
2 Remember that for all of those voting questions
3 we're looking at the three general types of meaningful
4 health outcomes that CMS has laid out; they are pain,
5 patient function and adverse events. We've talked a
6 little bit today about what those mean, and you know with
7 respect to adverse events we had a discussion before
8 lunch, our intent on that and so forth. So pain and
9 patient function, we're not talking here about
10 intermediate lab markers, unless you have a real, real
11 strong understanding that those are strongly related or
12 correlated to one of our outcomes.
13 We're going to be talking about on-label uses as
14 well as off-label, keep that in mind, so that's an
15 important distinction.
16 We're going to be talking about premarket
17 approval, which we will call PMA, as well as humanitarian
18 device exemption, HDE, and we've got some supplemental
19 material in the appendix that describes what those are.
20 Just keep in mind in general, as was noted before, HDEs do
21 not contain a requirement for demonstrating efficacy, so
22 there's a different threshold, if you will, even for
23 regulatory action, with regard to HDEs, as opposed to
24 PMAs. You will notice that there are three bulleted
25 examples of off-label use of lumbar, and we are going to

00148

1 get to those.
2 Now, what we've asked CMS staff to do is repost
3 on our screen, on the screen you will see the synopsis of
4 the indications, I should say the descriptions of the use
5 of the PMA and HDE respectively, so those are the
6 respective descriptions that go with the PMAs and HDEs,
7 and the indications of lumbar, open tibial fracture and so
8 forth. So basically there are a bunch of points of
9 reference here while we're doing this.
10 You may also recall, panel, that in the

11 materials sent to you ahead of time was a more detailed,
12 should you need it, a more detailed summary of some of the
13 FDA actions on BMPs for PMA and HDE, and so forth. So
14 what you see on the screen is a synopsis of this more
15 detailed information that you were to have looked at
16 earlier.

17 All right then. Any questions at this point
18 about how we're going to get started? Let's do this then.
19 In general we're going to talk about each question and
20 then we'll vote on it and proceed. And we want to make
21 sure that, there are paper ballots on the table before
22 you vote, but let's make sure that people are pretty much
23 ready to vote on something and no big chunks are missing,
24 and we will try to proceed that way. Do remember that we
25 will have some discussion period at the end to pick up

00149

1 where the evidence gaps may be and what evidence may be
2 required to get us past them.

3 Okay. Question one, then, asks about the
4 adequacy of the evidence. This is one where you don't say
5 what the evidence tells you, it's is there enough evidence
6 to go on, and sometimes we don't need to go any further.
7 So question one asks, how confident are you that there is
8 adequate evidence to determine one way or the other, to
9 determine whether or not use of BMPs in each of the
10 following indications improves at least one of the
11 clinically meaningful health outcomes?
12 So for example, 1.A(1) is lumbar spine, so we're
13 asking here for lumbar spine, is there adequate evidence
14 to determine whether use of BMPs improves any one of those
15 three outcomes. And by the way, lumbar spine, the
16 on-label of that is described on the screen and in your
17 paper up there. As a matter of fact, I'll just use a
18 laser pointer here to show you on the screen, you see
19 where it says PMA there at the top, and you'll see spinal
20 fusion for degenerative disc disease up to Grade 1, so
21 forth, L4 to S1. By the way, we had anterior or anterior
22 laparoscopic approach. So that's the PMA description on
23 label there and the second and third entries there are
24 supplements, you see an S there, an S there, so those are
25 supplements to the original PMA, and I just wanted to make

00150

1 that clear. So when you're asking about what indication
2 you're talking about here, it's the one shown at the top
3 here, on-label lumbar as described there.
4 Below the PMA, there's the HDE here. So the
5 first three entries are the PMAs for lumbar, and the next
6 two are for HDEs, also lumbar. Dr. Kirkpatrick.
7 DR. KIRKPATRICK: If we could please clarify the
8 question from the CMS standpoint. It says improves one of
9 the three outcomes. Are we talking about improves
10 relative to the natural history of the disorder, or
11 improved relative to the control that was studied in the
12 data analysis.

13 DR. GOODMAN: The question is asked of CAC
14 staff, so we'll ask Dr. Louis Jacques to approach the
15 microphone.

16 DR. JACQUES: Hi, I'm Louis Jacques, I'm the
17 director of the coverage group. In general when we say
18 improves, it's improved compared to whatever would have
19 happened to the patient if they hadn't had that particular
20 intervention as a part of the strategy. So we're not
21 necessarily restricting you to this versus something else
22 in some sort of head to head manner. It's is the patient
23 better off if they do this than if they didn't do this or
24 use this, so you have a little bit of flexibility there.

25 DR. GOODMAN: Thank you. Dr. Steinbrook was

00151

1 next.

2 DR. STEINBROOK: If one is concerned about
3 adverse events and when looking at the cervical spine, the
4 word improves is giving me problems in terms of what we're
5 building on. If you think that there's substantial
6 evidence about adverse events, I'm just saying it this way
7 to make my point, but it doesn't improve, I mean, you're
8 just basically -- I think what we're being asked is
9 whether there is enough evidence to have an opinion on
10 what the evidence says, and I'm getting confused by this
11 improves as it relates to adverse events, because I don't
12 think adverse events are an improvement.

13 DR. GOODMAN: Well, a couple points. One,
14 you're right, and question one is about the adequacy of
15 the evidence, are there, what have you, RCTs or other
16 strong studies that measure the kind of thing that you're
17 interested in. And then if there is adequate evidence,
18 subsequently we will figure out what you think the
19 evidence says.
20 With regard to adverse events improving, we're
21 looking for fewer adverse events, the patient is better
22 off this way vis-a-vis adverse events than he or she would
23 have been otherwise, as Dr. Jacques indicated. So
24 improvement in adverse events is not more adverse events,
25 of course.

00152

1 DR. STEINBROOK: Well, I'm still confused, and I
2 think I can perhaps defer this to the later question if we
3 get to voting on it about the cervical spine injuries.
4 But if one is concerned about adverse events as an issue,
5 I'm having difficulty as to how one discusses that in
6 terms of the numbers. We can defer that to later.

7 DR. GOODMAN: Okay, good. You can exercise your
8 judgment as an expert panelist. Dr. Rao.

9 DR. RAO: Even to determine whether there is
10 adequate evidence, we're talking about two grossly
11 divergent groups. One is the ALIF PMA group and the
12 second is the HDE group which is used for difficult
13 posteriors. Would you like us to kind of compile and add
14 the sum total of evidence, would you like us to give you

15 two separate opinions?
16 DR. GOODMAN: Well, we are supposed to go with
17 the on-label FDA indications as described on the screen.
18 Does that not suffice for your purpose?

19 DR. RAO: Not really. They are two completely
20 different groups. One is the anterior lumbar fusion,
21 which is a PMA group, and the other is the revision
22 posterior lumbar group which is the HDE group. Both of
23 them are on label.

24 DR. GOODMAN: Okay. Now I'm sure we can handle
25 this. Even though you might think you want to split

00153

1 those, we're looking for anything that might register as
2 adequate evidence in question one, it could be either of
3 those, and then when we get to the subsequent question, we
4 can hit on the one for which you thought there was
5 adequate evidence, if you would like to do it that way.

6 If not, we might consider splitting it.

7 Dr. Baker, did you have a comment?

8 DR. BAKER: I'm going to echo the comments by
9 Dr. Rao. I think that in this case the two groups are
10 very different, so although you can just lump them
11 together for the first question, you can end up having to
12 tackle it in part two, and if you get to part two if you
13 split them. So I would be in favor of splitting those
14 into the PMA versus the HDE.

15 The other is, I was completely on board with you
16 on everything including the lower evidence required for an
17 HDE, I understand an HDE and the lower evidence required,
18 but for today's discussion are we separating out the fact
19 that an HDE has a device decision, with lower threshold
20 evidence perhaps?

21 DR. GOODMAN: Here's the thing. CMS is fully
22 aware of what FDA's requirements are, but CMS is not bound
23 by those. When CMS here today is referring to a PMA or
24 HDE, it's referring to those for the purposes of helping
25 us understand which indication is on the table for

00154

1 discussion, which type of application, and those are
2 described as well as can be in this statement here. So
3 this is not a discussion about whether CMS's thresholds
4 are higher or lower than FDA's. It was mentioned earlier
5 about what an HDE means so that we know, at least as I
6 understand it, to satisfy the requirements of an HDE, you
7 need not demonstrate efficacy, that's the difference.

8 DR. BAKER: Okay.

9 DR. GOODMAN: Okay. Dr. Baker just suggested
10 that we split 1.A(1), lumbar spine, into the PMA versus
11 HDE indication, would the panel like to consider that? I
12 see a lot of heads nodding yes. Dr. Kirkpatrick, that's
13 an affirmative?

14 DR. KIRKPATRICK: Yes.

15 DR. GOODMAN: Thank you. We'll do it, PMA
16 versus HDE, everyone okay with that? So we'll split that

17 one and discuss it coming up now. So if that's the case
18 then, remember from the screen or your handy-dandy handout
19 what those mean, right? And this is Dr. Bozic.
20 DR. BOZIC: For clarification, the HDE we're
21 talking about is both the BMP-2 and BMP-7, and for the PMA
22 is only BMP-2.
23 DR. GOODMAN: We're talking about the way
24 they're described on the screen, yes, sir.
25 DR. BOZIC: And so given that there are two

00155

1 lumped into the HDE category, if the evidence is
2 sufficient for either, we would answer that we're
3 confident.
4 DR. GOODMAN: Right, we'll take either one.
5 It's your understanding of what the evidence adequacy is,
6 and then we will proceed.
7 (Discussion off microphone.)
8 DR. GOODMAN: So as far as I understand, we have
9 to consider what's up there and what's happened with the
10 FDA. Thank you. Any other considerations? Dr. Rao, on
11 this point?
12 DR. RAO: Just to clarify, the HDE only refers
13 to OP-1 and not to BMP-2.
14 DR. GOODMAN: Also helpful, thank you for noting
15 that.
16 All right. Does anybody want to start off with
17 discussion, or we can always just jump to the vote if
18 nobody has anything to say about it. When we're looking
19 at lumbar, starting with PMA, lumbar PMA, adequacy of the
20 evidence for any one of the three types of health
21 outcomes. We're thinking now about not what the evidence
22 says, but how strong is the body of evidence there?
23 Remember the USPSTF scores on the individual studies, the
24 different GRADE scores on the studies and so forth. Any
25 discussion about that? Dr. Kirkpatrick.

00156

1 DR. KIRKPATRICK: I'm going to ask you to
2 suspend your recommendation for me to hold until after
3 when we talk about future studies, and I think it's
4 important as we think about the data to make sure
5 everybody understands that issue about pain in patients.
6 DR. GOODMAN: If you believe it's relevant now,
7 we'll be glad to hear it.
8 DR. KIRKPATRICK: I believe it's relevant to
9 understanding the data as presented.
10 DR. GOODMAN: Great.
11 DR. KIRKPATRICK: The data as presented did not
12 differentiate between specific indications for fusion.
13 The reason that's important is if we looked at a global
14 analysis of fusion for low back pain, the clinical results
15 are somewhere between 50 and 60 percent favorable. If we
16 look at a global analysis of patients that have
17 spondylolisthesis, and those patients are actually closer
18 to our Medicare age group, the results are more in the 80

19 to 85 percent success rate. So what they've done with
20 most of the presentations we've heard is putting all those
21 together. And so you know, for future direction and for
22 understanding this data, we can't determine exactly which
23 ones are going to have high clinical benefit and which
24 ones are not.

25 DR. GOODMAN: That's a point well taken, and I'm
00157

1 sure CMS will address that in the future. If any of the
2 panelists think that that has a bearing on answering
3 questions, please let it bear on the question. Thank you,
4 that's a good point, Dr. Kirkpatrick.
5 Any discussion or observation about, again,
6 lumbar spine on the PMA description, the PMA use of lumbar
7 spine, telling you something about pain, patient function
8 or adverse events? I don't see anybody making a comment.
9 Dr. Lewis, did you want to make a comment? No, okay.
10 Let's, I think what I'll do is keep going here
11 and we'll discuss all the 1.As. Anything having to do
12 with the HDE side, HDE for the lumbar, adequacy of the
13 evidence. You're going to say to yourself, what
14 confidence do you have that the evidence is adequate, one
15 would be you don't want to go forward with this, five
16 would be high confident, the evidence is sufficiently
17 adequate, you do want to proceed on it.
18 Would the panel like to vote on this now or do
19 you want to take it by group? I'm seeing now. Dr. Sloan,
20 did you have a comment? No? Okay. I don't see any
21 further hands, Maria, so you need to tell us about how to
22 vote 1.A(1) into the PMA and HDE parts, so that's going to
23 complicate your electronic recording, is it not?

24 MS. ELLIS: I should point out that the
25 gentleman that will set it up is on his way over. If you
00158

1 want to lump them together, that way we can --

2 DR. GOODMAN: Is he on the way over like today
3 or tomorrow? It's a big building. Yes, Dr. Satya-Murti?

4 DR. SATYA-MURTI: I was wondering if we could go
5 ahead with 1.A(2).

6 DR. GOODMAN: That's what we're going to do.
7 All right. Keep in mind the score, you may want to write
8 it down, what you had in mind for 1.A(1) lumbar fusion and
9 1.A(1) for HDE.

10 Let's open the discussion for the open tibial
11 fracture, the open tibial fracture, and the adequacy of
12 the evidence there. You will see on the screen or if not,
13 on your handout -- oh, there it is. Thank you for being
14 so adroit, Mr. Man Behind the Screen. You'll see at the
15 bottom the description, the PMA description of open
16 tibial, treating acute open tibial shaft, stabilized with
17 IM nail fixation after appropriate wound management, and
18 it must be applied within 14 days after initial fracture.
19 Any comment or question about open tibial
20 fractures with regard to adequacy of evidence? Keep in

21 mind, you think there's no confidence or low confidence in
22 the adequacy, or high confidence in the adequacy. Dr.
23 Schwartz.

24 DR. SCHWARTZ: Cliff, you know, there just
25 wasn't much discussion of that in any of the presentations

00159

1 we were talking about here, and so that's really the
2 larger question there. It seems that the discussion that
3 was there was generally accepting, if not favorable, but
4 there wasn't much discussion, and I don't think this one's
5 going to go very far, just given the nature of the
6 literature.

7 DR. GOODMAN: Yeah, I think I concur that
8 there's not overwhelming evidence there. I do recall in
9 the summary of the HTA made some reference to what looked
10 like pretty meager evidence, as I recall, about that. So
11 you're right, Dr. Schwartz, you didn't hear a lot more
12 about it, but it was addressed.

13 DR. SCHWARTZ: It was addressed, and you don't
14 say that very often.

15 DR. GOODMAN: Did I? Okay. So, any comments,
16 then, about anything else on open tibial here? I don't
17 see any.

18 Let's move to recalcitrance of long bone
19 nonunion, and that you will see is also shown on the
20 screen toward the bottom, you see it next to the HDE there
21 as an alternative to autograft in recalcitrant long bone
22 nonunions when use of autograft is unfeasible and
23 alternative treatments have failed. Any comments about
24 that one, recalcitrant long bone nonunions?

25 Oh, by the way, I should have spoken earlier

00160

1 when I was looking at the types of evidence.
2 Dr. Schwartz, with regard to open tibial, I recall that
3 the summary had stronger evidence, and the weak evidence
4 was with regard to recalcitrance as I recall, and I see
5 heads nodding. Thank you. Dr. Bozic.

6 DR. BOZIC: There were two RCTs for the open
7 tibial that were included in our materials for the acute
8 open fractures.

9 DR. GOODMAN: Yes, and I corrected myself on
10 that. That was in the health technology assessment done
11 by the EPC, and it was recalcitrance where there was less
12 evidence. Yes, Dr. Davis.

13 DR. DAVIS: I think the comment was also made
14 about the open tibial studies about the number of
15 patients, and actually in the one randomized control trial
16 there were 150 patients in each group, so it was actually
17 quite a large study, not a smaller study.

18 DR. GOODMAN: Thank you, Dr. Davis, good point.
19 Dr. Rao.

20 DR. RAO: If it's on label, I think the only
21 on-label use of BMP for tibial fractures is open
22 fractures. The second study wasn't for acute open

23 fractures, so I think it was primarily one study, if I'm
24 not mistaken.

25 DR. GOODMAN: For the open tibial?

00161

1 DR. RAO: Yes. The other one was for a defect
2 at the fracture site but not for an acute open fracture.
3 The FDA on-label issue is acute open fractures of the
4 tibia, so it's just basically one study, which is the
5 Govender study.

6 DR. GOODMAN: Dr. Bozic.

7 DR. BOZIC: According to my summary notes, and
8 I'd have to go back and look at the literature, the second
9 study was for Type III open fractures.

10 DR. RAO: That's a subgroup of the first study,
11 you're right.

12 DR. GOODMAN: There were two RCTs, the patient
13 population of the second was a subgroup of the first,
14 correct, Dr. Baker?

15 DR. BAKER: That's true.

16 DR. GOODMAN: Thank you, Dr. Baker. Dr. Rao.

17 DR. RAO: The second study was an extraction of
18 data, not a separate RCT, it was an extraction of data
19 from the first study.

20 DR. GOODMAN: Also true, Doctors?

21 DR. BOZIC: Yes.

22 DR. GOODMAN: Different primary indication but
23 not different patients, a subgroup of the same group of
24 patients, correct? Thank you, Dr. Rao. Dr. Davis.

25 DR. DAVIS: No, I don't agree. While there was

00162

1 a subgroup there was also an additional group of patients
2 that are from, I believe from an unpublished study, so I
3 think it combines some patients from the first study and
4 some additional patients.

5 DR. GOODMAN: This would be a good time to ask
6 Dr. Ratko if he would like to weigh in on this.

7 DR. RATKO: Yes, we have the BESTT study, which
8 was the pivotal trial, that's the 151 plus 150, and we
9 have the subgroup analysis which gave us the underlying
10 refractory fractures from the BESTT study plus 60
11 additional patients, and then one that has not been
12 published, called the US study, handled at the ten level
13 one trauma centers, identical selection criteria.

14 DR. GOODMAN: Thank you, Dr. Ratko. I think we
15 got that one finally. Okay. At this point, other
16 comments about recalcitrant long bone nonunions? I don't
17 see any. Dr. Lewis.

18 DR. LEWIS: I just want to go back to the
19 hardware part of our discussion earlier about how to set
20 these, and it is virtually impossible in a clinical
21 setting at this point to adequately break these down. And
22 I make the point only in that when faced with one of these
23 very difficult problems, there aren't a lot of
24 alternatives. And so my question, I guess, is sort of a

25 question of clarification to CMS, and that is what happens
00163

1 if there is equivocal evidence of efficacy, in this case
2 it's an HDE, but from a coverage determination standpoint,
3 what are the implications of a decision on this?

4 DR. GOODMAN: That's a very interesting
5 question, though I don't think germane to our question
6 today. There's not an NCD on the table, and our job is to
7 try to deal with this imperfect world which you described
8 so well. Doctors and patients have to make decisions, and
9 the payers have to make decisions every day. Yes, Tamara.

10 MS. JENSEN: Just a reminder, there is no
11 national coverage decision on this particular item, and so
12 I think you have more flexibility in how you want to
13 interpret all of these questions. And you know, what you
14 decide today, what you discuss today, we are all taking
15 that in to make a decision on what our next step will be,
16 so we may never open a coverage determination, so there is
17 more flexibility than if we had one open, and this is one
18 reason for letting you decide how you want to interpret
19 this.

20 DR. GOODMAN: Right. Do recall what Dr. Jacques
21 said, though, and he talked about looking at BMPs in
22 comparison to what would happen otherwise, so it's not
23 what will happen two, five or ten years from now, it's
24 what would have happened otherwise in the context of the
25 current evidence. Dr. Kim.

00164

1 DR. KIM: Yes, thank you. Just to follow on
2 that, I think that where we are, we (inaudible, off
3 microphone) flexibility in determining the alternative to
4 BMPs, which would be no treatment whatsoever or the
5 comparators within the RCTs. Now, how we evaluate --
6 that's sort of at the level of is there enough evidence,
7 so we're sort of evaluating the quality of the evidence to
8 inform improvement of health outcomes, and we're examining
9 to have to get aligned on what the alternative is.

10 DR. GOODMAN: We're looking at the adequacy of
11 the evidence that was presented to us and summarized and
12 so forth. We're not taking a lot of guesses here, we're
13 trying to understand based on the available body of
14 evidence, what can you say about these questions. And the
15 available body of evidence may not include some
16 considerations that one might include in the future. It's
17 imperfect, we're asking for your judgment here. This will
18 not satisfy a lot of statisticians, but we do have a lot
19 of choices here. Dr. Baker.

20 DR. BAKER: When you talk about strategy and
21 risk that CMS asked us to do, we're potentially left with
22 what is the improvement that occurs as a result of the
23 strategy, and (inaudible, off microphone) and to me that
24 is not versus natural history or interpretive management
25 in light of the trial that has been done, that we have

00165

1 evidence cohorts, (inaudible, off microphone) the use of
2 the BMP.

3 DR. GOODMAN: Okay. I'm turning to my left now
4 to see how we are on our fancy new electronic system.
5 (Discussion off the record.)

6 MS. ELLIS: With the control pads, with the
7 voting pads that you have in your hand, you are voting one
8 through five, the same as usual when you're holding up the
9 number, so you just hit that when it's time to vote, and
10 also, if you could, say your name and say your vote for
11 the record, and we'll keep it going as smooth as possible.

12 DR. GOODMAN: To satisfy the needs of the staff,
13 we're going to go down here, and what I'm going to ask you
14 to do, I'll just point to you, if you don't mind my
15 impolite action, and ask you to state your name as you
16 push your button, or say your name and what, Ms. Ellis?

17 MS. ELLIS: And vote.

18 DR. GOODMAN: All right. Let's try it with my
19 trusty cochairman here, the gentleman to my right,
20 Dr. Satya-Murti.

21 DR. SATYA-MURTI: On 1.A(1), lumbar spine PMA, I
22 vote four.

23 DR. GOODMAN: Dr. Baker.

24 DR. BAKER: Ray Baker. I vote four.

25 DR. GOODMAN: Dr. Bozic.

00166

1 DR. BOZIC: Kevin Bozic, four.

2 MS. DARLING: Helen Darling, four.

3 DR. DAVIS: Charles Davis, three.

4 DR. JARVIK: Jeffrey Jarvik, three.

5 MS. KENDIG: Susan Kendig, three.

6 DR. KIM: Edward Kim, three.

7 DR. LEWIS: Courtland Lewis, four.

8 DR. MCDONOUGH: Tom McDonough, three.

9 DR. SCHWARTZ: Sandy Schwartz, four.

10 DR. SLOAN: Andrew Sloan, four.

11 DR. STEINBROOK: Robert Steinbrook, four.

12 DR. JUHN: Peter Juhn, four.

13 DR. KIRKPATRICK: John Kirkpatrick, three.

14 DR. RAO: Raj Rao, four.

15 DR. GOODMAN: And this is just a reminder. The
16 chair does not vote, and we're going to keep separate
17 tallies for the voting members and the MedCAC as a whole,
18 correct?

19 MS. ELLIS: Correct. Two people didn't hit the
20 key pads.

21 (Discussion off the record.)

22 DR. SCHWARTZ: While we're waiting, I did have
23 one other disclosure I wanted to make. I did have some
24 research on adherence in an unrelated area funded by
25 Pfizer the last two years, and I understand that they have

00167

1 a company marketing agreement with Medtronic, and I wasn't
2 aware of that.

3 DR. GOODMAN: Thank you. Ms. Ellis, are we
4 fixed?
5 MS. ELLIS: We're good. Let me mention, do not
6 forget to record your votes also on the sheet of paper
7 that was in your folder.
8 DR. GOODMAN: All right. Thank you for your
9 patience, all, this has been the first run of this
10 technology, do we call this beta testing? Now, Dr. Davis.
11 DR. DAVIS: Ms. Ellis, since one is broken out,
12 on the sheet of paper, how do you want us to indicate
13 that?
14 MS. ELLIS: You can just do an indication of PMA
15 and HDE.
16 DR. DAVIS: Thank you.
17 DR. GOODMAN: Thank you all for your patience
18 here. I'm wondering if I liked the old version better.
19 We'll find out. Let's now move to -- yes,
20 Dr. Satya-Murti.
21 (Discussion off the record.)
22 DR. GOODMAN: Okay. We're still on lumbar and
23 now we're looking at HDE, correct?
24 MS. ELLIS: Correct.
25 DR. GOODMAN: So it is adequacy of lumbar, HDE.

00168

1 Adequacy of evidence, lumbar, HDE.
2 DR. SATYA-MURTI: Satya-Murti, two.
3 DR. BAKER: Ray Baker, two.
4 DR. BOZIC: Kevin Bozic, two.
5 MS. DARLING: Helen Darling, two.
6 DR. DAVIS: Charles Davis, two.
7 DR. JARVIK: Jeffrey Jarvik, one.
8 MS. KENDIG: Susan Kendig, one.
9 DR. KIM: Edward Kim, one.
10 DR. LEWIS: Courtland Lewis, two.
11 DR. MCDONOUGH: Tom McDonough, one.
12 DR. SCHWARTZ: Sandy Schwartz, one.
13 DR. SLOAN: Andrew Sloan, two.
14 DR. STEINBROOK: Robert Steinbrook, two.
15 DR. JUHN: Peter Juhn, one.
16 DR. KIRKPATRICK: John Kirkpatrick, two.
17 DR. RAO: Raj Rao, two.
18 DR. GOODMAN: Thank you all. Ms. Ellis, are we
19 all on?
20 MS. ELLIS: We're missing two.
21 (Discussion off the record.)
22 DR. GOODMAN: Okay, open tibial fracture.
23 DR. SATYA-MURTI: Satya-Murti, three.
24 DR. BAKER: Ray Baker, three.
25 DR. BOZIC: Kevin Bozic, three.

00169

1 MS. DARLING: Helen Darling, three.
2 DR. DAVIS: Charles Davis, four.
3 DR. JARVIK: Jeffrey Jarvik, four.
4 MS. KENDIG: Susan Kendig, three.

5 DR. KIM: Edward Kim, three.
6 DR. LEWIS: Courtland Lewis, four.
7 DR. MCDONOUGH: John McDonough, three.
8 DR. SCHWARTZ: Sandy Schwartz, four.
9 DR. SLOAN: Andrew Sloan, four.
10 DR. STEINBROOK: Robert Steinbrook, three.
11 DR. JUHN: Peter Juhn, four.
12 DR. KIRKPATRICK: John Kirkpatrick, three.
13 DR. RAO: Raj Rao, two.
14 DR. GOODMAN: Now we will move to recalcitrant
15 long bone nonunions.
16 DR. SATYA-MURTI: Satya-Murti, two.
17 DR. BAKER: Ray Baker, two.
18 DR. BOZIC: Kevin Bozic, two.
19 MS. DARLING: Helen Darling, two.
20 DR. DAVIS: Charles Davis, two.
21 DR. JARVIK: Jeffrey Jarvik, one.
22 MS. KENDIG: Susan Kendig, two.
23 DR. KIM: Edward Kim, two.
24 DR. LEWIS: Courtland Lewis, two.
25 DR. MCDONOUGH: John McDonough, two.

00170

1 DR. SCHWARTZ: Sandy Schwartz, two.
2 DR. SLOAN: Andrew Sloan, two.
3 DR. STEINBROOK: Robert Steinbrook, two.
4 DR. JUHN: Peter Juhn, two.
5 DR. KIRKPATRICK: John Kirkpatrick, two.
6 DR. RAO: Raj Rao, two.
7 MS. ELLIS: We're missing three. Thank you.
8 DR. GOODMAN: We'll keep pushing through, and
9 this is still adequacy of the evidence, not what the
10 evidence says. So we've done 1.A(1), (2) and (3),
11 including lumbar for both PMA and HDE, so we'll proceed
12 now to 1.B, and we're on off-label use now. Off-label use
13 we're going to consider cervical, lumbar, and a category
14 called all other.
15 Before we vote, because we didn't have a chance
16 to discuss these yet, any points of discussion with regard
17 to the adequacy of the evidence now? It says the adequacy
18 of evidence on cervical spine. First, any comments on
19 that? Dr. Satya-Murti, and then Dr. Sloan.
20 DR. SATYA-MURTI: I made an assumption with
21 regard to swelling as a complication, it's not the
22 cervical cord that is swollen, it is the cervical soft
23 tissue. Isn't that correct, anyone, because cervical cord
24 swelling would be even more serious.
25 DR. GOODMAN: Dr. Sloan.

00171

1 DR. SLOAN: So when we're talking about the
2 evidence, we're talking about the evidence that it
3 improves the clinical endpoints, not just evidence in
4 general?
5 DR. GOODMAN: The evidence for improvement along
6 any of the three dimensions of pain, patient function or

7 adverse events. That's what it is for cervical spine.
8 For all of these questions it's pain, patient function or
9 adverse events. Dr. Bozic, yes.
10 DR. BOZIC: I think what Dr. Baker was talking
11 about was is there adequate evidence to determine, so if
12 the evidence is that it does not improve outcomes but
13 there's adequate evidence, then I would give it a higher
14 score, because there's adequate evidence to determine the
15 answer to that question.

16 DR. GOODMAN: Yes.

17 DR. BOZIC: So it does not have to improve
18 outcomes, it could demonstrably not improve outcomes, but
19 there's adequate evidence to show it.

20 DR. GOODMAN: For the purpose of the current
21 question, we're not looking for whether it did or did not
22 improve outcomes, we're looking for you to tell us if the
23 body of available evidence might enable you to draw some
24 conclusion. I see heads nodding, I think people got it,
25 okay? Anything else on cervical spine?

00172

1 Now, would the panel like to vote now on
2 cervical spine? I see heads nodding. Okay. For cervical
3 spine, again, this is off-label use of cervical spine,
4 adequacy of evidence, not the answer but adequacy of
5 evidence about an impact, a favorable impact on any of
6 those that we've talked about. So if you think there's
7 adequate evidence to make a judgment about the impact on
8 any, pain, patient function or adverse events, you should
9 vote a certain way. One, no confidence, five is high
10 confidence. Are people ready to vote and press their
11 buttons? Dr. Schwartz.

12 DR. SCHWARTZ: Just one comment here, and that
13 is, I might vote that there's adequate evidence but that
14 doesn't mean that there's sufficient evidence. There
15 might be adequate evidence to make a determination that
16 it's beneficial, but it may, we may not know enough for
17 example of follow-up period, so we have short-term
18 information, so maybe at the very end we could comment on
19 what CMS should try to focus the information on despite
20 our vote.

21 DR. GOODMAN: That is an excellent point,
22 because the questions do not specify after one year, after
23 two years, after five years or so forth, but you as a
24 clinician and researcher might have an opinion about the
25 impact that you would judge a favorable impact ultimately

00173

1 on the scale here.

2 DR. SCHWARTZ: And more importantly, me as a
3 patient.

4 DR. GOODMAN: And for all current and future
5 Medicare patients, of course.

6 Okay. Cervical spine, is the panel ready to
7 vote on the adequacy of the evidence, starting with Dr.
8 Satya-Murti?

9 DR. SATYA-MURTI: Satya-Murtti, four.
10 DR. BAKER: Ray Baker, three.
11 DR. BOZIC: Kevin Bozic, four.
12 MS. DARLING: Helen Darling, three.
13 DR. DAVIS: Charles Davis, three.
14 DR. JARVIK: Jeffrey Jarvik, three.
15 MS. KENDIG: Susan Kendig, two.
16 DR. KIM: Edward Kim, three.
17 DR. LEWIS: Courtland Lewis, three.
18 DR. MCDONOUGH: Bob McDonough, two.
19 DR. SCHWARTZ: Sandy Schwartz, two.
20 DR. SLOAN: Andrew Sloan, two.
21 DR. STEINBROOK: Robert Steinbrook, three.
22 DR. JUHN: Peter Juhn, two.
23 DR. KIRKPATRICK: John Kirkpatrick, one.
24 DR. RAO: Raj Rao, three.
25 DR. GOODMAN: Thank you all very much. Now

00174

1 we're going to move to off-label use in the lumbar spine,
2 and I apologize for the broken record treatment here, but
3 again, this is the adequacy of the evidence, not whether
4 or not it improves outcomes, but if you've got enough to
5 go on to later make a decision or a finding about impact
6 on those outcomes. This is lumbar spine.
7 Any comments or questions at this point about
8 lumbar spine off label, any clarifications about evidence
9 that you might want to raise before we vote? I just
10 remind you that we have, our speakers are still in the
11 room and our technology assessment folks from Blue Cross
12 Blue Shield are here. I don't see any hands, except
13 Dr. Kirkpatrick.
14 DR. KIRKPATRICK: Just one other question for
15 actually any of our presenters. On the posterolateral
16 lumbar fusion, which is the lumbosacral spine issue that
17 we're talking about, can anybody comment on the uniformity
18 of dose per level of BMP that's used either with OP-1 or
19 with BMP-2?
20 DR. GOODMAN: Okay. Dr. Ratko, I would ask you
21 to come back up here, and if you wouldn't mind, I
22 neglected to raise this earlier, but if we could have the
23 presenters back up front in your usual chairs in case we
24 do need to refer to you or ask you questions, so would our
25 main five presenters please come to the front once again.

00175

1 Dr. Ratko, from the EPC.
2 DR. RATKO: We look at Table 23 and the range of
3 doses is quite substantial, anywhere from the labeled dose
4 of 40 milligrams per patient, so it's quite a range.
5 DR. GOODMAN: Are you okay with that,
6 Dr. Kirkpatrick?
7 DR. KIRKPATRICK: As long as we understand that,
8 and there's no disputing that with the other presenters,
9 correct?
10 DR. GOODMAN: I see none approaching the

11 microphone, thank you, and that is understood, it's a good
12 point that you made. Thank you, Dr. Kirkpatrick.
13 Other points here on, this is lumbar? On
14 lumbar. Okay. Let's get ready to vote here. Lumbar
15 spine, off label, adequacy of evidence, any of the three
16 clinical areas.
17 DR. SATYA-MURTI: Off-label lumbar spine, one,
18 Satya-Murti.
19 DR. BAKER: Ray Baker, three.
20 DR. BOZIC: Kevin Bozic, four.
21 MS. DARLING: Helen Darling, three.
22 DR. DAVIS: Charles Davis, two.
23 DR. JARVIK: Jeffrey Jarvik, three.
24 MS. KENDIG: Susan Kendig, three.
25 DR. KIM: Edward Kim, three.

00176

1 DR. LEWIS: Courtland Lewis, three.
2 DR. MCDONOUGH: Bob McDonough, two.
3 DR. SCHWARTZ: Sandy Schwartz, two.
4 DR. SLOAN: Andrew Sloan, four.
5 DR. STEINBROOK: Robert Steinbrook, three.
6 DR. JUHN: Peter Juhn, four.
7 DR. KIRKPATRICK: John Kirkpatrick, two, and I
8 need to tell you that I pushed one first by mistake.
9 MS. ELLIS: That's fine. The last response is
10 the one that counts.
11 DR. RAO: Raj Rao, three.
12 DR. GOODMAN: What's our batting average, Ms.
13 Ellis, did we get them all?
14 MS. ELLIS: Yes.
15 DR. GOODMAN: Thank you. Now we move to what is
16 described here as off-label use in all other, and all
17 other can be just about any other, to tell you the truth,
18 so I think you will recall, at least I recall when the
19 technology assessment was presented that there was at
20 least one table with quite an extensive list of -- oh,
21 that was cervical? Okay. Dr. Baker.
22 DR. BAKER: So we're going to be looking at
23 off-label uses that we never really discussed, although
24 the technology assessment mentioned this, so it would be
25 one -- I mean, it's off label but it's on one of our

00177

1 questions, so would this be augmentation?
2 DR. GOODMAN: These are off-label uses. Your
3 point is well made, because that is an example of
4 something that was specifically addressed in the HTA but
5 was not specifically noted here, except for something
6 under off label. Thank you, Dr. Baker.
7 So in this case, to look at voting for this
8 thing that's called all other, which I really think should
9 have said any other, the adequacy of evidence on any of
10 those other, for any of those other off-label uses on any
11 of the three types of outcomes. So you've got to probably
12 be thinking now, did I see any evidence that looked pretty

13 substantial on any other off-label indication and if there
14 was one, you can, one or more you can answer one way, and
15 if there was none, you can answer a different way.

16 Comment or question? Dr. Satya-Murti.

17 DR. SATYA-MURTI: Satya-Murti, one.

18 DR. BAKER: Ray Baker, one.

19 DR. BOZIC: Kevin Bozic, one.

20 MS. DARLING: Helen Darling, one.

21 DR. DAVIS: Charles Davis, one.

22 DR. JARVIK: Jeffrey Jarvik, one.

23 MS. KENDIG: Susan Kendig, one.

24 DR. KIM: Edward Kim, one.

25 DR. LEWIS: Courtland Lewis, one.

00178

1 DR. MCDONOUGH: Bob McDonough, one.

2 DR. SCHWARTZ: Sandy Schwartz, one.

3 DR. SLOAN: Andrew Sloan, one.

4 DR. STEINBROOK: Robert Steinbrook, one.

5 DR. JUHN: Peter Juhn, two.

6 DR. KIRKPATRICK: John Kirkpatrick, one.

7 DR. RAO: Raj Rao, one.

8 DR. GOODMAN: Okay, thank you. Now we're going
9 to move to question two, and in voting on question two as
10 I understand it, we're going to need some feedback from
11 CMS, is that correct, Dr. Satya-Murti, because it says at
12 the bottom of our first page, questions two through six
13 should be addressed only for those indications where the
14 panel's confidence that there is adequate evidence, which
15 is defined here again as a mean vote of 2.5, to consider
16 the question. So for example, question two is referring
17 to lumbar spine for, first of all, the indication for PMA
18 on-label use, and as I recall, the mean average for that
19 was greater than two and a half, but the average for
20 others was probably less than two and a half. So what
21 we're referring to now back in question 1.A(1), which was
22 lumbar, and we subdivided it into PMA and HDE, and now
23 we're asking about PMA for lumbar.

24 (Discussion off the record.)

25 DR. GOODMAN: I think we're going to find that

00179

1 2(a) is on the table, which is lumbar spine, the PMA
2 on-label use, and now we're asking, since we've determined
3 the evidence is adequate, now what we're asking indeed --
4 (Discussion off the record.)

5 DR. GOODMAN: Now what we're asking here is,
6 since we've decided the evidence is adequate to come to
7 some finding, does it indeed show that we can determine
8 that the use of BMPs for lumbar spine improves at least
9 one of those three clinically meaningful health outcomes.
10 So for the FDA's PMA on-label indications for use of BMPs
11 in lumbar spine, do they improve, is the evidence strong
12 enough to show that it improves any or all of pain,
13 patient function or adverse events. That's the question.
14 Ms. Darling.

15 MS. DARLING: I have a question. These seem
16 like fairly sweeping recommendations, and yet we heard
17 from a number of people who were much more specific about
18 when they thought it would be indicated. So this seems to
19 be kind of a universal endorsement if you say anything
20 about the intermediate or above.

21 DR. GOODMAN: Right. I think what I would say
22 to that, this is a pretty broad, this is broad rather than
23 quite specific, and the fact that it says improve at least
24 any one of those clinically meaningful health outcomes, if
25 you register on one of any of these three, you can score

00180

1 accordingly. Dr. Lewis.

2 DR. LEWIS: Doesn't this get back again to the
3 issue of specificity, because the PMAs are only covering
4 anterior interbody fusion, I know that we've said that,
5 but I think we should be very clear that we're talking
6 specifically about the existing PMA.

7 DR. GOODMAN: Yes, and unless CMS staff tells us
8 otherwise, we're going to go with the definition that
9 we've got in front of us, which I know does not fully
10 represent the clinical spectrum, but by our discussion,
11 and by your point just now, we want to make sure that CMS
12 considers, and in any future consideration takes account
13 of that, but right now we're pretty limited to the
14 anterior, correct?

15 MS. JENSEN: Yes.

16 DR. GOODMAN: I got a confirmation. Given that,
17 lumbar spine, PMA, on-label use, if the evidence is
18 adequate to make some sort of finding about whether or not
19 it improves at least one of the outcomes, low confidence
20 one, high confidence five. Dr. Satya-Murti.

21 DR. SATYA-MURTI: Yes. For the narrow
22 indication I will go with four, Satya-Murti.

23 DR. BAKER: Ray Baker, four.

24 DR. BOZIC: Kevin Bozic, three.

25 MS. DARLING: Helen Darling, three.

00181

1 DR. DAVIS: Charles Davis, three.

2 DR. JARVIK: Jeffrey Jarvik, two.

3 MS. KENDIG: Susan Kendig, three.

4 DR. KIM: Edward Kim, four.

5 DR. LEWIS: Courtland Lewis, four.

6 DR. MCDONOUGH: Bob McDonough, three.

7 DR. SCHWARTZ: Sandy Schwartz, four.

8 DR. SLOAN: Andrew Sloan, four.

9 DR. STEINBROOK: Robert Steinbrook, three.

10 DR. JUHN: Peter Juhn, five.

11 DR. KIRKPATRICK: John Kirkpatrick, three.

12 DR. RAO: Raj Rao, four.

13 DR. GOODMAN: Thank you all. The next one would
14 be 2.B. My recollection is that those votes are probably
15 inadequate, less than 2.5, unless staff tells me
16 otherwise. That was the HDE on label for lumbar.

17 MS. JENSEN: That's a no.
18 DR. GOODMAN: So we don't deal now with 2.B,
19 correct. We're going to move to 2.C, which is the lumbar
20 for off-label use. That's a yes, so we are going to
21 address that.

22 MS. JENSEN: Yes.
23 DR. GOODMAN: So now we're talking not about the
24 adequacy evidence, because we have shown that it is
25 adequate. This is lumbar spine off-label use, that is to

00182

1 determine if the use of BMPs in lumbar spine for that
2 off-label use indication improves at least one of the
3 three clinically meaningful outcomes, one to five. Ready
4 to vote? Dr. Satya-Murti.

5 DR. SATYA-MURTI: Satya-Murti, one.

6 DR. BAKER: Ray Baker, two.

7 DR. BOZIC: Kevin Bozic, three.

8 MS. DARLING: Helen Darling, one.

9 DR. DAVIS: Charles Davis, two.

10 DR. JARVIK: Jeffrey Jarvik, one.

11 MS. KENDIG: Susan Kendig, two.

12 DR. KIM: Edward Kim, three.

13 DR. LEWIS: Courtland Lewis, two.

14 DR. MCDONOUGH: Bob McDonough, two.

15 DR. SCHWARTZ: Sandy Schwartz, two.

16 DR. SLOAN: Andrew Sloan, three.

17 DR. STEINBROOK: Robert Steinbrook, two.

18 DR. JUHN: Peter Juhn, four.

19 DR. KIRKPATRICK: John Kirkpatrick, two.

20 DR. RAO: Raj Rao, one.

21 DR. GOODMAN: Thank you very much.

22 MS. ELLIS: I need everyone to hit the key pad;
23 everyone did not vote.

24 DR. GOODMAN: Thank you. We'll move to question
25 three now, and question three concerns recalcitrant long

00183

1 bone nonunions, it concerns the FDA HDE on-label use of
2 the BMPs in recalcitrant long bone nonunions. Are we
3 voting on this question? This one did not meet the
4 threshold, correct?

5 MS. JENSEN: No.

6 DR. GOODMAN: So we're not going to address
7 question three. Thank you very much.

8 We'll proceed to question four now, and question
9 four concerns the acute open tibial fractures. These are
10 the open tibial fractures, FDA PMA. Are we answering
11 question four?

12 MS. JENSEN: We are answering question four.

13 DR. GOODMAN: Any discussion on question four
14 with regard to anything that you need clarified from our
15 presenters this morning or among the panel members? These
16 are acute open tibial fractures. Dr. Baker? No, okay.

17 Ms. Ellis, would you mind if we started at the other end
18 of the table this time, would the electronic system

19 countenance that?
20 MS. ELLIS: It's not a problem.
21 DR. GOODMAN: Good. I have just a nagging
22 feeling that Dr. Satya-Murti, Dr. Baker, Dr. Bozic and Ms.
23 Darling are so influential in the field that they might
24 sway someone else's vote, so why don't we start at the
25 other end of the table to try to get a little bit of

00184

1 different effect here.
2 So this is the open tibial and we're going to
3 start with Dr. Rao. Is everyone ready to vote? Dr. Rao.
4 DR. RAO: Raj Rao, two.
5 DR. KIRKPATRICK: John Kirkpatrick, three.
6 DR. JUHN: Peter Juhn, five.
7 DR. STEINBROOK: Robert Steinbrook, three.
8 DR. SLOAN: Andrew Sloan, four.
9 DR. SCHWARTZ: Sandy Schwartz, four.
10 DR. MCDONOUGH: Bob McDonough, three.
11 DR. LEWIS: Courtland Lewis, four.
12 DR. KIM: Edward Kim, four.
13 MS. KENDIG: Susan Kendig, four.
14 DR. JARVIK: Jeffrey Jarvik, three.
15 DR. DAVIS: Charles Davis, four.
16 MS. DARLING: Helen Darling, four.
17 DR. BOZIC: Kevin Bozic, three.
18 DR. BAKER: Ray Baker, three.
19 DR. SATYA-MURTI: Satya-Murti, three.
20 DR. GOODMAN: Ms. Ellis, are we okay?
21 MS. ELLIS: Yes.
22 DR. GOODMAN: Great, thank you. So we will
23 proceed to question five now, which concerns cervical
24 spine, and this is the off-label use of BMPs in cervical
25 spine, whether the adequacy of the evidence to make a

00185

1 conclusion about whether or not it improves at least one
2 of the clinically meaningful health outcomes. Off-label
3 cervical spine improves any of the three, and we are
4 voting on this question. Any discussion at this point?
5 I know we discussed it before with regard to the
6 adequacy of evidence to make some kind of determination.
7 Any discussion about what that determination might be or
8 how the evidence weighs in and so forth, what it might
9 say? Seeing none, Dr. Rao, could you please start us off
10 again, sir?
11 DR. RAO: Raj Rao, one.
12 DR. KIRKPATRICK: John Kirkpatrick, one.
13 DR. JUHN: Peter Juhn, one.
14 DR. STEINBROOK: Robert Steinbrook, one.
15 DR. SLOAN: Andrew Sloan, one.
16 DR. SCHWARTZ: Sandy Schwartz, one.
17 DR. MCDONOUGH: Bob McDonough, two.
18 DR. LEWIS: Courtland Lewis, one.
19 DR. KIM: Edward Kim, one.
20 MS. KENDIG: Susan Kendig, two.

21 DR. JARVIK: Jeffrey Jarvik, one.
22 DR. DAVIS: Charles Davis, one.
23 MS. DARLING: Helen Darling, one.
24 DR. BOZIC: Kevin Bozic, one.
25 DR. BAKER: Ray Baker, one.

00186

1 DR. SATYA-MURTI: Satya-Murti, one.
2 DR. GOODMAN: That was cervical spine, off
3 label.
4 MS. ELLIS: We're missing one vote. Thank you.
5 DR. GOODMAN: You have it now?
6 MS. ELLIS: Yes.
7 DR. GOODMAN: Okay, thank you very much. Now
8 having looked at adequacy of evidence for various uses of
9 BMPs on label and off label at various anatomic sites,
10 adequacy of evidence and what they actually said in your
11 judgment, now we're going to move to question six, which
12 is that aspect of generalizability, external validity it's
13 sometimes called, and we had various discussions today
14 about this, because we learned and thought carefully about
15 how the particular age may not be so important this time,
16 but we will also remind ourselves that the Medicare
17 patient population is usually but not always age 65 or
18 older. We talked about how this might be done in
19 practice, different practitioners and so forth.
20 And so question 6.A, again using the scale of
21 one to five, where one is low confidence and five is high
22 confidence, now is saying that if you sort of integrate
23 under the curve all the previous questions one through
24 five, how confident are you at this point that these
25 conclusions that you drew heretofore are generalizable to

00187

1 the Medicare patient population, the Medicare patient
2 population. And before we vote on that, we want to make
3 sure that any panelist doesn't have a question or some
4 point you would want to raise that will help inform on
5 your voting. This is generalizability to the Medicare
6 patient population. Yes, Dr. Bozic.
7 DR. BOZIC: I just wanted to clarify that
8 although there is a significant portion of the Medicare
9 patient population that's under 65, I think that they are
10 uniquely different in terms of their comorbidities, they
11 tend to have many more comorbidities and they tend to be
12 in a lower socioeconomic status, both of which influence
13 outcomes in the populations we're talking about. So I
14 would not assume that the studies that included patients
15 under 65 are somehow generalizable to the population of
16 Medicare patients that are under the age of 65.
17 DR. GOODMAN: Thank you, point well made. It
18 also recalls the earlier discussion we had regarding
19 exclusion criteria, does it not, in some of the trials,
20 which was very informative. Dr. Kirkpatrick.
21 DR. KIRKPATRICK: The organizations that
22 presented had pretty much agreement that certain

23 comorbidities were things that should be thought about as
24 indications. I'm wondering if any of them have data that
25 shows that BMPs are better in those indications or equal
00188

1 in those patients, or if it's just an intuitive sense that
2 since these patients are predisposed to nonunion, we think
3 BMP is better.

4 DR. GOODMAN: Presuming that everyone understood
5 the question, I think I understood it, does any presenter
6 want to address that issue raised by Dr. Kirkpatrick?

7 This is Dr. Bono.

8 DR. BONO: I think it's a good point that
9 Dr. Kirkpatrick has, and listed among those comorbidities
10 are things like renal dialysis, we're not inclined to
11 perform spinal fusion procedures on patients like that. I
12 think that the one that's most identifiable is the patient
13 who has had previous crest, who has been overly harvested,
14 doesn't have any autograft left, that's one that we're
15 aware of, but we don't have any data, it's just case
16 reports and case series of individual patients.

17 DR. GOODMAN: Case reports and case series of
18 individual patients, thank you. Any other comments in
19 response to Dr. Kirkpatrick? Dr. Kirkpatrick, I've asked
20 you this before, but what's our takeaway from your
21 question and the response we just heard, what might be
22 added for us.

23 DR. KIRKPATRICK: I'm conflicted as a clinician,
24 because we're being asked about the adequacy of evidence,
25 and what you've just heard from Dr. Bono is fundamentally
00189

1 the grade of evidence is very low to justify that special
2 group that the organizations are advocating for. As a
3 clinician I think it's an important group to consider. As
4 a person being asked to evaluate the evidence, I have to
5 let everybody else decide whether that's adequate to make
6 a decision.

7 DR. GOODMAN: Thank you. And we are just
8 talking about that external validity in this case, but
9 you're right, there's a large swath of the population out
10 there. Thank you. Was there another point on that?

11 Thank you, Dr. Kirkpatrick.

12 All right, then. Question 6.A, we're going to
13 ask you to vote from one to five, and we're going to pick
14 on Dr. Lewis here, we'll start with moving in this
15 direction with regard to the voting. So Dr. Lewis, how
16 confident are you that these conclusions are generalizable
17 to the Medicare patient population, reviewing the whole
18 body of evidence here, but 6.A, Medicare patient
19 population.

20 DR. LEWIS: Courtland Lewis, four.

21 DR. GOODMAN: Dr. Kim is next.

22 DR. KIM: Edward Kim, three.

23 MS. KENDIG: Susan Kendig, three.

24 DR. JARVIK: Jeffrey Jarvik, two.

25 DR. DAVIS: Charles Davis, one.

00190

1 MS. DARLING: Helen Darling, two.

2 DR. BOZIC: Kevin Bozic, one.

3 DR. BAKER: Ray Baker, two.

4 DR. SATYA-MURTI: Satya-Murti, two.

5 DR. RAO: Raj Rao, two.

6 DR. KIRKPATRICK: John Kirkpatrick, two.

7 DR. JUHN: Peter Juhn, three.

8 DR. STEINBROOK: Robert Steinbrook, two.

9 DR. SLOAN: Andrew Sloan, three.

10 DR. SCHWARTZ: Sandy Schwartz, two.

11 DR. MCDONOUGH: Bob McDonough, two.

12 DR. GOODMAN: Thank you all.

13 MS. ELLIS: One more. Got it.

14 DR. GOODMAN: Thank you. We're going to move
15 now to question 6.B, which is another form of external
16 validity, this time in community-based settings. And, you
17 know, when you look at various topics that have come
18 before MedCAC before, sometimes more, sometimes less the
19 evidence is applicable to community settings. I think we
20 heard some mention made of that today and we will ask you
21 to consider about the confidence that the conclusions that
22 you've derived from questions one through five are
23 generalizable to community-based settings. Any comment or
24 question at this point prior to voting? Dr. Kirkpatrick.

25 DR. KIRKPATRICK: Comment. When I reviewed the

00191

1 literature, there was a good mix of both university or
2 non-community-based settings and community hospitals that
3 were participating in the studies. Did you guys even look
4 at that when you looked at your analysis?

5 DR. RATKO: We didn't look at it systematically
6 but our observation would be the same in looking at where
7 the studies came from, but we didn't do a systematic
8 analysis on that.

9 DR. GOODMAN: So you did not do the slice and
10 dice on the source, but thank you, sir. Dr. Schwartz.

11 DR. SCHWARTZ: Just a caveat. I agree with
12 that, but that doesn't preclude that there still could be
13 a difference, because the studies did not stratify by the
14 nature of the practice in doing the analysis.

15 DR. GOODMAN: So we really don't know actually.

16 DR. SCHWARTZ: At least there were a lot of
17 patients involved, so we don't know if they were all done
18 on a referral-based practices, or referral of a referral
19 already.

20 DR. GOODMAN: Understood. Dr. Bozic.

21 DR. BOZIC: Just a point of clarification. I've
22 always made the assumption that RCTs in general are
23 reporting on efficacy and not effectiveness, and therefore
24 they're not necessarily generalizable to the way medicine
25 is practiced in the community regardless of whether it's

00192

1 done in an academic center or a community hospital. I
2 interpret this question to mean can you generalize the
3 results of a randomized control trial where patients are
4 treated in a specific way and followed very closely to
5 practitioners that are practicing out in the real world
6 that are not following their patients as closely and not
7 treating their patients the same way. That's how I
8 interpret this question.

9 DR. GOODMAN: Your point is well taken, if you
10 will allow me to add clarification there. Most randomized
11 control trials, most but not all RCTs involve carefully
12 selected patients with very specific inclusion and
13 exclusion criteria, very specifically defined intermediate
14 and long-term outcomes, often under special conditions of
15 care and so forth. So typically when something is an RCT
16 does not necessarily mean that it's what we sometimes call
17 an efficacy trial. There are pragmatic control trials
18 that are randomized, other simple trials that might
19 involve randomization. So just because something's an RCT
20 does not mean it only addresses efficacy. Usually it does
21 and usually it derives from the fact that a large body of
22 evidence of RCTs comprises studies done for purposes of
23 receiving FDA approval for drugs, and typically those I
24 would suggest, not equating RCT with efficacy, but some
25 RCTs may address effectiveness in a rural setting. It's
00193

1 up to you, Dr. Bozic, to judge whether the evidence you
2 heard today, whether it's RCT or any other study design,
3 about this efficacy versus effectiveness type of evidence.
4 Dr. Jarvik.

5 DR. JARVIK: Just by way of clarification, if
6 there are other study designs that were taken into account
7 such as observational data, we should take that into
8 account in making this decision?

9 DR. GOODMAN: Absolutely, yes. Not all the
10 evidence we heard today was RCTs, and quite often RCT
11 evidence is complemented by evidence from other sources
12 that may shed light on what happens in clinical practice.
13 Observational studies may consist of claims data analyses,
14 registries and other forms of study. Thank you,
15 Dr. Jarvik. Dr. Kim.

16 DR. KIM: This brings up a question for
17 Dr. Ratko. Could you comment on more findings within the
18 observational studies regarding community-based academic
19 studies, the overall strength and directionality? We
20 didn't hear much about it except for that handful of well
21 designed clinical trials.

22 DR. RATKO: I guess I really can't address that
23 because we really didn't look.

24 DR. KIM: So many of the things you mentioned
25 this morning were on the radar, but yet they didn't meet

00194

1 the standards for inclusion in the review?

2 DR. RATKO: Correct. We were looking for

3 directly comparative evidence, peer reviewed studies.
4 DR. GOODMAN: By the way, comparative studies
5 might not be RCTs either. Thank you. Dr. Lewis.
6 DR. LEWIS: Another question, Dr. Ratko, sort of
7 a corollary. Did you look at the disclosures in
8 publications by authors, whether or not they were related
9 to academic institutions?

10 DR. RATKO: We looked at them just as part of
11 studying the papers but we didn't analyze that at all.

12 DR. LEWIS: You didn't specifically analyze
13 whether the authors who were involved with these
14 particular studies, whether they were community-based or
15 academic facility-based as part of the disclosures about
16 the companies that funded the study and that sort of
17 thing?

18 DR. RATKO: Well, I can say disclosures were
19 provided for most of the papers, and probably about 90
20 percent were industry sponsors.

21 DR. GOODMAN: I just want to add, in some
22 evidence appraisal schemes or tools, there may be a
23 question that deals with who funded the study in the
24 disclosure statement, but it doesn't sound like the
25 technology assessment looked at that specifically, or

00195

1 analyzed it, I should say.

2 DR. RATKO: We didn't analyze it but we did note
3 it in the USPSTF, so that is in the report.

4 DR. GOODMAN: So the USPSTF does ask that.

5 DR. RATKO: Yes.

6 DR. GOODMAN: Thank you. Dr. Kirkpatrick.

7 DR. KIRKPATRICK: One other question that would
8 lead to generalizability is whether centers that have a
9 lot of experience doing a procedure would be different
10 than those that just had a few in the studies. I think we
11 had a presenter from Medtronic, I don't know if they were
12 involved in the IDE studies on either the tibia fractures
13 or the original anterior lumbar fusions, but if they could
14 comment as to whether they saw a difference in the centers
15 that had multiple patients versus the ones that had few.

16 DR. GOODMAN: Would that be Dr. Kuntz or someone
17 else from that organization? And would the gentleman
18 approaching the podium identify yourself?

19 DR. KENNER: I am Jason Kenner, I work at
20 Medtronic, and perhaps you're referring to Dr. Fon.
21 Dr. Fon had a family emergency and left at lunchtime. I
22 do not believe he was a participant in either of those
23 studies, though.

24 DR. KIRKPATRICK: I don't have a perfect memory,
25 but on the FDA panel the subanalysis when it was presented

00196

1 was that there's no difference between high volume centers
2 versus low volume centers, but I can't remember perfectly
3 from a long time ago.

4 DR. GOODMAN: Well, whether it's high volume or

5 low volume may be of interest to CMS in the future, but I
6 don't think we heard much about it today. Thank you.

7 Dr. McDonough.

8 DR. MCDONOUGH: In that FDA, was there any
9 analysis of whether the surgeons themselves had had a
10 financial relationship in the outcomes?

11 DR. KIRKPATRICK: Again, it's a vague
12 recollection because it was many years ago on the anterior
13 lumbar fusion, but I seem to recall that when they looked
14 at those that did have involvement such as consultants and
15 that sort of thing versus those that did not, there was
16 not a difference in the success rates.

17 DR. GOODMAN: Thank you. I am hearing, just for
18 the record, quite a bit of interest among our panel
19 members about funding sources and potential conflicts of
20 interest. Dr. Bozic.

21 DR. BOZIC: Again, I just want to get back to
22 the point of what evidence was considered in this review
23 and what was presented. I completely agree that large
24 observational cohort studies and registry data are useful
25 tools in gathering information on effectiveness, and I

00197

1 also agree that they were not excluded in the analysis
2 that was presented today, but the analysis presented today
3 included RCTs, 50 percent RCTs and the other 50 percent
4 were relatively small case series or case reports. They
5 were not large observational cohort studies or registry
6 studies included in the data which we considered today.
7 So I just wanted to make that clear indication about the
8 generalizability and making conclusions about
9 effectiveness on the data we considered today.

10 DR. GOODMAN: Dr. Ratko is nodding in the
11 affirmative. Dr. Ratko, so of the comparative studies,
12 comparative studies, for most or all of those RCTs, were
13 there comparative studies?

14 DR. RATKO: I will give you an answer.

15 DR. GOODMAN: Dr. Ratko is perusing his report
16 for an answer. I believe there were, were there not, 41
17 comparative studies?

18 DR. RATKO: 41 studies total.

19 DR. GOODMAN: 41 comparative studies total,
20 right?

21 DR. RATKO: Right. And of those, six were BMP-2
22 RCTs, two were BMP-7 RCTs, and that's the on label, and in
23 the off label, nine for BMP-2 were RCTs, and seven for
24 BMP-7 were RCTs.

25 DR. GOODMAN: Okay. My quick math tells me 24

00198

1 of the 41 were RCTs for one reason or another, correct?

2 DR. RATKO: Correct, 24.

3 DR. BOZIC: Of the total studies, slide 26 says
4 there are 41, and it seems to me -- are you saying 24 of
5 the 41 are RCTs?

6 DR. RATKO: Correct.

7 DR. BOZIC: And then out of the non-comparative
8 studies, the numbers are, they would be case reports and
9 case studies?

10 DR. RATKO: Yes.

11 DR. GOODMAN: Thank you very much. So of the
12 comparative studies, a little more than half were RCTs,
13 but there were other comparative studies that weren't
14 RCTs, thank you.

15 Okay. 6.B asks about, how confident are you
16 that these conclusions are generalizable to
17 community-based settings, community-based settings? And
18 everybody has their handy dandy little data thing there
19 ready to punch in a number. Let's start with Dr.
20 McDonough this time, and we'll move to his right, which
21 would make Dr. Schwartz the second person. Dr. McDonough.

22 DR. MCDONOUGH: Bob McDonough, three.

23 DR. SCHWARTZ: Sandy Schwartz, four.

24 DR. SLOAN: Andrew Sloan, four.

25 DR. STEINBROOK: Robert Steinbrook, three.

00199

1 DR. JUHN: Peter Juhn, two.

2 DR. KIRKPATRICK: John Kirkpatrick, two.

3 DR. RAO: Raj Rao, two.

4 DR. SATYA-MURTI: Satya Satya-Murti, two.

5 DR. BAKER: Ray Baker, three.

6 DR. BOZIC: Kevin Bozic, two.

7 MS. DARLING: Helen Darling, two.

8 DR. DAVIS: Charles Davis, two.

9 DR. JARVIK: Jeffrey Jarvik, two.

10 MS. KENDIG: Susan Kendig, two.

11 DR. KIM: Edward Kim, two.

12 DR. LEWIS: Courtland Lewis, two.

13 DR. GOODMAN: Thank you all. Batting a
14 thousand, thank you. That covers our voting questions,
15 one through six. Thank you especially for being our
16 guinea pigs for the new voting technology. We'll have
17 some discussion later on about which we prefer.

18 We have one more question, by the way, which is
19 our discussion question, and that's question number seven.
20 This is not a voting question. And here what we're going
21 to ask you to do is go back up to 30,000 feet and sort of
22 look down on all the evidence we considered today, and
23 consider what kinds of research are needed to fill some of
24 the evidence gaps.

25 So the first obvious question here is, do you

00200

1 think you see any obvious evidence gaps, any obvious
2 important evidence gaps, and if you do, what sort of
3 research may be necessary to address those gaps. And I
4 will just ask you, let's try to stay within the general
5 bounds of BMPs and the kind of anatomic sites we talked
6 about today, another limitation is PMA versus HDE, so try
7 to stay in the same ball park, if you will, but what are
8 some of these important evidence gaps and ways you think

9 they ought to be filled. And I might add that this is
10 intended not only to be helpful to CMS, but we hope that
11 this is helpful, and instructive perhaps, to those who are
12 preparing research agendas in the public and private
13 sectors, anybody who's got something in the pipeline that
14 they might want to consider presenting for third-party
15 payment at some point, it's probably smart if you listen
16 to hear about what sort of evidence the independent
17 experts think might be addressed. Dr. Schwartz had his
18 hand up first, I believe. Dr. Schwartz, an evidence gap
19 that might need filling.

20 DR. SCHWARTZ: A couple quick ones. One is I
21 think long-term follow-up. The groups, two is in the
22 patients who are at high risk, the numbers for this spoke
23 about them with very little information about knowing,
24 whether steroid use or osteoporosis, things like that,
25 whether this helps us know what -- we know they're at

00201

1 higher risk for having poor results. Third is, I think we
2 need some better measures of surgical approach where
3 relevant, for example in the neck whether we're doing
4 anterior or posterior.

5 For some of these, for example, I mean just
6 Louis from Medicare, I think these might be things that
7 are required before making coverage decisions if we ever
8 get to that, other things like whether we have meaningful
9 outcomes might be a good thing to specifically put in,
10 because what's out there isn't very good, and, you know,
11 we will need that information.

12 DR. GOODMAN: Thank you, Dr. Schwartz.

13 Dr. Sloan.

14 DR. SLOAN: Thank you. I second everything that
15 Dr. Schwartz said, but I also, I'm really struck by really
16 how little we seem to understand biologically. My
17 understanding of the basic science on this particular
18 issue is limited largely to what was presented, but, you
19 know, it seems like there are a lot of BMPs, we only
20 looked at two of them. The best animal data showed that
21 the fusion was much better when you combined them, and yet
22 none of the studies here ever attempted that. Now
23 obviously you've got to have single-agent use before you
24 can go to two-agent use, but I think we really don't
25 understand very well the basic biology here.

00202

1 There's not even been much mention of even what
2 the receptors are, so are the proteins, you know, we know
3 that stem cells in the older patients are diminished in
4 number and seem to have decreased capacity, but what about
5 the proteins, what happens to the receptors, how do the
6 steroids affect those. I think that one could possibly
7 make the case for doing some basic studies using human
8 tissues in some of these patients, at least when they go
9 (inaudible, off microphone) they're going to be there
10 anyway. People at the NIH and NCI did something called

11 the Cancer Genome Atlas project looking at thousands of
12 genes. (Inaudible, off microphone) important problem, and
13 one could look at a small number of proteins perhaps.

14 DR. GOODMAN: Thank you, Dr. Sloan. So there's
15 some interest here, if I could summarize that into
16 mechanism of action. Thank you, sir. Dr. Bozic.

17 DR. BOZIC: I think one of the gaps in evidence
18 is the lack of generalizability of the data that we heard
19 today to real world practice and/or to the Medicare
20 population, and I think the way to address that evidence
21 gap is to include large observational cohort studies and
22 analysis of administrative claim data in future analyses
23 to determine the true effectiveness of these procedures.
24 That's one gap.

25 The other gap I think is, we really have a poor

00203

1 understanding of what are the clinical, demographic and
2 procedural covariates that most influence the outcomes of
3 interest in this particular population, and we would need
4 to do multivariant progressions to look specifically to
5 see for this population, what are the covariates of
6 interest.

7 DR. GOODMAN: Excellent point, and that was a
8 very specific and helpful way to address the gaps about
9 generalizability. Dr. Baker.

10 DR. BAKER: You know, we have a number of
11 different indications that might be useful for BMP, but
12 again, Dr. Schwartz, to echo your point, we have scoliosis
13 patients, we have (inaudible) patients, we have patients
14 who have osteoporosis, on dialysis, patients with tobacco
15 usage, steroids, and we don't really have a clear
16 understanding among those cohorts of which ones are going
17 to have improved outcomes, and so I think that's going to
18 echo the point.

19 Although we don't have a really good idea of the
20 dose-response curve, with posterolaterals we use up to 40
21 milligrams and in the anterior you're using, you know, 48,
22 and when it comes to the risks that are involved, the
23 (inaudible, off microphone) tumor risk, we really need to
24 do that, which brings me to the harms point.

25 And that is, as pointed out in the health

00204

1 technology assessment, there was a poor standardization of
2 how harms are collected or stratified within the data, so
3 if you could in a more systematic way as you're doing
4 these trials, which are very expensive, make sure that we
5 take care of harms and look at that.

6 The last thing I'll say is that, although I
7 could not use this at all to date to look at my
8 decision-making, I found it very interesting, the AHRQ HTA
9 looking at the costs, looking at the cost to quality and
10 looked to the value of ICER. We're moving into a time
11 where we're going to have to ask ourselves, what is the
12 incremental benefit of this technology in patients, so I

13 found that very compelling, not today, I didn't include
14 that, but I think certainly this is a precursor of things
15 to come that we need to be taking into consideration.
16 DR. GOODMAN: Great comments, Dr. Baker. By the
17 way, you made reference to the ICER. That's the
18 incremental cost effectiveness ratio which is typically
19 defined, though not always, as cost to quality of best
20 care, correct, Dr. Baker?

21 DR. BAKER: And you take a particular delta cost
22 over (inaudible).

23 DR. GOODMAN: Very good, sir, thank you.

24 Dr. Kim and then Dr. McDonough.

25 DR. KIM: I just want to follow on with what Dr.

00205

1 Baker said regarding the dose range, and secondarily to
2 address some of the issues around generalizability to the
3 Medicare population looking at the dose range, what is a
4 minimally effective dose and an optimal dose across
5 different age ranges with the different covariates that
6 have been mentioned before that could mediate the
7 benefit-risk of BMPs, but also when compared to the
8 effectiveness of BMPs versus the alternative, which might
9 be an autograft.

10 DR. GOODMAN: Excellent point, thank you, very
11 helpful in terms of guidance for future evidence. Dr.
12 McDonough, and then Dr. Davis.

13 DR. MCDONOUGH: I think one of the things that
14 our discussion, some of the questions from CMS have
15 brought up, are maybe better evidence about what are the
16 effects of BMPs on outcomes that matter to patients,
17 because so much of the evidence is focused primarily on
18 radiographic evidence of improved fusion.

19 I think we also have found that we need better
20 evidence on adverse effects, and that's going to require
21 follow-up in large clinical databases, perhaps registries
22 or some other source.

23 The issue about the harms of autogenous tissue
24 harvesting and to be able to quantify that and put
25 parameters on that, how much of that is a problem, how

00206

1 important is that as a problem considering the potential
2 benefits.

3 And then the question of industry funding, you
4 know, can we get more studies where there might not be a
5 relationship with industry, and can we get to some studies
6 involving clinicians that might not have links to
7 industry, and see if there's any outcome differences
8 there.

9 DR. GOODMAN: Thank you, Dr. McDonough.

10 Dr. Davis is next.

11 DR. DAVIS: I think it would be beneficial to
12 see some studies that looked at comparison of
13 demineralized bone matrix, and again in comparison to
14 BMPs. If not moved to the BMPs, it may be that

15 demineralized bone matrix would contain local BMPs and
16 perhaps other factors that would facilitate bone growth
17 and fusion may be more effective than isolated BMP, and
18 certainly a large supply available of them.

19 DR. GOODMAN: Thank you, Dr. Davis. Dr. Juhn is
20 next, and then Dr. Satya-Murti.

21 DR. JUHN: So as the industry representative, my
22 comments really are directed at my colleagues in the
23 industry, and I think really two suggestions. The first
24 is from a methods perspective, from a study design
25 perspective, to really think about the difference between

00207

1 a non-superiority design versus a superiority design. And
2 you're already spending millions of dollars recruiting
3 patients into these trials, and if you really
4 incrementally just added a few more patients to specific
5 groups, you could actually make a superiority claim, and I
6 think that is something that I would just seriously ask
7 that the industry companies consider.

8 The second suggestion I have is to review this
9 technology assessment to see where you have fallen short.
10 So for instance, the various indices that are used, like
11 the USPSTF, you should really ask yourself why you did not
12 get the highest grade, and really ask what's the delta
13 between the way that the studies that were described in
14 the TEC assessment were rated that way, and what does it
15 take for you to actually get those high ratings. Because
16 I think as you know, that we'll just have a repeat of
17 these types of situations that come before the panel and
18 you have lots of data, but the data is not really adequate
19 given the criteria that we're using.

20 DR. GOODMAN: Thank you, Dr. Juhn.
21 Dr. Satya-Murti.

22 DR. SATYA-MURTI: This is just a point about,
23 three times earlier this was brought up. All of our
24 fusion patients come in needing some type of fusion,
25 that's the starting point. But if you go backwards in

00208

1 time, the types of indications for which fusion was needed
2 is in fact multiple and diverse, and in going forward for
3 future studies I would like to see comparison trials or
4 RCTs that further classify patients into those that were
5 due to scoliosis, to trauma, and other types of
6 indications, rather than lump them all together. That
7 just seems like too broad a category to assess them.
8 And the other question I have is this bone graft
9 pain that we heard much about today, that's in the
10 immediate postop period as mentioned, but that pain that
11 occurs post-grafting is not so tangible, so what is the
12 natural history, are we looking at it in such a short
13 interval and then comparing it to BMP results, or is it
14 sustained at the iliac crest site? And then we heard
15 about nerve damage, and I don't know what kind of motor
16 nerve is in that vicinity. So we just don't know enough

17 about what the natural history of left-alone graft pain
18 would be.

19 DR. GOODMAN: Thanks, Dr. Satya-Murti.

20 Dr. Steinbrook is next.

21 DR. STEINBROOK: Just a couple of points, I'll
22 try not to duplicate some of the comments that have
23 already been made. I think that the whole area of study
24 design is ripe for consideration. I think to the extent
25 that these studies are being done, it seemed like there

00209

1 was an issue of, not directly in the field, of course, but
2 an understanding of how to correlate radiologic findings
3 with clinical information, and that that probably is
4 something that could be addressed in a consensus sort of
5 way, ideally I would think in a consensus sort of way
6 independent of those who have interests in particular
7 products, and to sort of raise the bar on study design to
8 get more effective information out of studies.

9 And the other point I will make, which is
10 somewhat different, but if we look at this from 30,000
11 feet and we're looking at this in terms of Medicare
12 beneficiaries, and I'm not a surgeon, but at some point
13 you begin a process where you have to decide okay, does
14 this situation lead to a surgical intervention or some
15 other course for a period of time, because that will start
16 happening once you start to use these things. And I think
17 that part of this in terms of the best treatment is when
18 do we want to start down these paths, and you need to
19 think about that as well.

20 DR. GOODMAN: Excellent point, we need to think
21 about how to manage patient care over time, excellent.
22 Ms. Kendig, I think.

23 MS. KENDIG: Yes. Just to build on some of the
24 comments that have already been made, in terms of, someone
25 made the comment about more longitudinal studies, but I

00210

1 think also clinically looking at what those long-term
2 effects are. We heard a little bit about that this
3 morning, but probably more study is needed in terms of
4 long-term effects past the two years.

5 And also in terms of generalizability for the
6 Medicare population, looking not only the medical
7 conditions, the medical variants or the effects of such
8 things as smoking, but also some of those social
9 determinants of health such as socioeconomic status,
10 et cetera, in terms of outcome, support to adhere to the
11 prescribed clinical arrangements postoperatively, that
12 sort of thing, and other social determinatives of health.

13 DR. GOODMAN: Thank you, Ms. Kendig. Dr. Lewis
14 is next.

15 DR. LEWIS: I think those comments are well said
16 and we do need to put more focus on patient-centered
17 outcomes. I know the Oswestry score includes some of
18 those elements. Certainly arthroplasty surgery, and I'm

19 not clear whether it's used in other spine surgery as
20 well, but we use the Short Form 36 and its multiple
21 variants to see if there's sensitivity to change the
22 outcome, and that might be helpful to us in this
23 particular kind of situation, so I raise that as a
24 possibility.

25 DR. GOODMAN: Great point, thank you. Dr.

00211

1 Jarvik.

2 DR. JARVIK: Another potential outcome to focus
3 on would be reoperation rates, and we heard a little bit
4 about that today, but I think that would be a ripe area to
5 focus on.

6 DR. GOODMAN: Thank you, sir. Dr. Kirkpatrick,
7 a short comment?

8 DR. KIRKPATRICK: I would just say that all the
9 panel comments I would also back up, they're all good
10 suggestions. With regard to basic science mechanisms, I
11 think looking at the specific input from synergy. We're
12 looking at two molecules out of 20-something, and
13 naturally occurring 20-something, you can't replicate with
14 just one. So there may be synergy among the different
15 molecules and, you know, heaven forbid if two companies
16 get together and see if the two work better together, but
17 who knows. But that's one thing I think in basic science
18 should be looked at.

19 And then the other thing that was brought up
20 under comorbidities are the ones that we think are
21 actually worse, which were brought up by some of the
22 association speakers, was things like renal failure, other
23 sources of osteopenia and osteoporosis. Are those
24 specific things better with graft or BMP, versus not as
25 well.

00212

1 DR. GOODMAN: Thank you. And I believe Ms.

2 Darling, you have the final comment. Ms. Darling.

3 MS. DARLING: This is actually about the
4 assessments, and I think to me since one of our questions
5 will always be what will be the impact on Medicare
6 beneficiaries, that actually Medicare is one of the few
7 places in the world that has detailed data on its
8 population at all times, including medical outcome
9 studies. So I would suggest that as background material
10 that we would have a detailed report. More recently,
11 there's data that suggests that disability rolls are
12 growing, so we're going to probably have a slightly
13 different population to look at as well because of the
14 recession and the impact that had. So the kind of
15 distribution of age, morbidity, disabilities, you know, a
16 lot of detailed information would allow us to do a much
17 more rigorous job ourselves of looking at what's the
18 population that we're trying to serve.

19 DR. GOODMAN: Great point, especially what Ms.
20 Darling said about how this population is evolving now,

21 thank you. Dr. Schwartz, this will be the final words.
22 DR. SCHWARTZ: I just wanted clarification about
23 one thing. I think if we're going to do long-term
24 follow-up it will be important to look at functional
25 status in particular, because fusion may not be fusion.

00213

1 In other words, these things may affect the functional
2 outcomes that one gets from different, whether using
3 allograftic or non-allograftic ways of approaching this.
4 And then the other is just on the harms that
5 were mentioned, and I would just like to clarify. It
6 always surprises me how we view particle compounds and
7 assume that their only impact is going to be at the target
8 organ that we're concerned with. And if we're going to be
9 using these in large numbers of people, which we will, I
10 think it is incumbent upon us to sit down with biologists
11 and the people who understand how these things work, and
12 make sure that we have an understanding that we're not
13 causing other problems or, if so, that they're problems
14 that we're willing to deal with.

15 DR. GOODMAN: Okay, thank you, Dr. Schwartz.

16 In closing then, this panel today took a very
17 careful look at the current terrain of evidence, and we
18 covered the four corners of this terrain of evidence for
19 various indications, for various regulatory requirements,
20 and I think there was some pretty clear voting on our
21 voting questions. We appreciate the panel's effort on
22 clarifying what those questions ought to be and what we're
23 considering.

24 This is today a very strong example of how this
25 MEDCAC process as implemented by CMS is a public hearing

00214

1 of the available evidence in an explicit transparent
2 fashion, and judging by the comments I just heard over the
3 last 15 or 20 minutes with regard to evidence gaps and
4 ways to address them, I hope no one leaves the room
5 thinking that there is some evidence that we don't need to
6 take a closer look at. There are a lot of evidence gaps
7 that need to be filled. We need to consider carefully
8 what sort of study designs among the many types we
9 discussed today need to be used to address those.

10 So this meeting gives some pretty clear and I
11 hope very helpful signals to researchers, practitioners,
12 patients, innovative companies and others about the kind
13 of evidence that we hope you will be thinking about
14 putting into the pipeline so that as this particular
15 clinical problem doesn't get any smaller, including a lot
16 of us here on the panel, that this problem doesn't get any
17 smaller, we will have better ways to address this very
18 important clinical problem. It ought to be
19 evidence-based, it can inform patient and doctor
20 decisions, it can inform third-party payer decisions, it
21 can inform further research.

22 Thank you all very much, it's been a superb day,

23 we very much appreciate your attention to the evidence.
24 Thank you very much to our presenters who did such a
25 superb job under time constraints. And thank you finally

00215

1 to the superb staff here at CAG at the Centers for
2 Medicare and Medicaid Services. And our trusty court
3 reporter, ever vigilant, thank you, sir. Thank you all
4 very much.

5 (Whereupon, the meeting adjourned at 2:49 p.m.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25