

Transcript of February 22, 2001 Meeting

Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.

00001

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

HEALTH CARE FINANCING ADMINISTRATION
Medicare Coverage Advisory Committee
Executive Committee Meeting

February 22, 2001

Baltimore Convention Center
One West Pratt Street
Baltimore, Maryland

00002

1 Panelists
2
3 Chairperson
4 Harold C. Sox, M.D.
5
6 Member at Large
7 Robert H. Brook, M.D., Sc.D.
8

9 Voting Members

10 Leslie P. Francis, J.D., Ph.D.

11 John H. Ferguson, M.D.

12 Robert L. Murray, Ph.D.

13 Alan M. Garber, M.D., Ph.D.

14 Michael D. Maves, M.D., M.B.A.

15 Frank J. Papatheofanis, M.D., Ph.D.

16 Thomas V. Holohan, M.D., F.A.C.P.

17 Daisy Alford-Smith, Ph.D.

18 Joe W. Johnson, D.C.

19 Barbara J. McNeil, M.D., Ph.D.

20

21 HCFA Liaison

22 Sean R. Tunis, M.D., M.Sc.

23

24 Consumer Representative

25 Linda A. Bergthold, Ph.D.

00003

1 Panelists (Continued)

2

3 Industry Representative

4 Randel E. Richner, M.P.H.

5

6 Executive Secretary

7 Constance Conrad, R.N.

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

00004

TABLE OF CONTENTS		Page
1		
2		
3	Opening Remarks/Conflict of Interest	
4	Constance Conrad	6
5	Sean Tunis, M.D., M.Sc.	8
6		
7	Charge to the Committee	
8	Harold Sox, M.D.	8
9		
10	Summary of Medical and Surgical	
11	Procedures Panel Findings --	
12	Electrostimulation for the treatment	
13	of wounds and Sacral Nerve Stimulation	
14	for the treatment of urinary	
15	incontinence.	
16	Alan M. Garber, M.D., Chairman	12
17	Panel Discussions	14, 30
18	Panel Votes	30, 44
19		
20	Discussion of Interim Guidelines that provide	
21	guidance to MCAC specialty panels.	
22	History of Interim Guidelines	45
23	Panel Discussions	46
24	Outline of Proposed Changes	
25	Randel Richner	52

00005

CONTENTS (Continued)		
1		
2	Proposed Change and Straw Vote	
3	Alan M. Garber, M.D.	86
4	Continued Panel Discussions	95
5	Proposed Change and Straw Vote	
6	Alan M. Garber, M.D.	129
7	Open Public Comments	
8	Greg Robb	133
9	Martha Christian	138
10	Continued Panel Discussions	143
11	Motion and Voting	158
12	Introductory Remarks on Role of	
13	Executive Committee Post-BIPA	

15
16
17
18
19
20
21
22
23
24
25

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at 8:35
3 a.m., Thursday, February 22, 2001.)

4 MS. CONRAD: Good morning, and welcome
5 committee chairperson, members and guests. I am
6 Constance Conrad, executive secretary of the
7 Executive Committee of the Medicare Coverage Advisory
8 Committee. The committee is here today to act upon
9 the recommendations from the Medical and Surgical
10 Procedures Panel meeting of October 17th and 18th
11 dealing with electrostimulation for the treatment of
12 wounds and sacral nerve stimulation for the treatment
13 of urinary incontinence. The committee will also
14 discuss comments received on the March 1st, 2000
15 interim guidelines designed to provide guidance to
16 the MCAC specialty panels for evaluating
17 effectiveness, and to discuss the future role of the
18 Executive Committee.

19 The following announcement addresses
20 conflict of interest issues associated with this
21 meeting and is made part of the record to preclude
22 even the appearance of impropriety. To determine if
23 any conflict exists, the Agency reviewed the
24 submitted agenda and all financial interests reported
25 by panel participants. The conflict of interest

00007

1 statutes prohibit special government employees from
2 participating in matters that could affect their or

3 their employers' financial interests. The Agency has
4 determined that all members may participate in the
5 matters before the committee today.

6 With respect to all other participants, we
7 ask in the interest of fairness that all persons
8 making statements or presentations disclose and
9 current or previous financial involvement with any
10 firm whose products or services they may wish to
11 comment on. This includes direct financial
12 investments, consulting fees, and significant
13 institutional support.

14 In view of the nature of this meeting
15 today, particularly that there may be three
16 opportunities for voting, I am going to stray from
17 standard operating procedures and read the required
18 voting statement at this time. Hopefully this will
19 save time and disruption. Here we go.

20 For today's committee meeting, voting
21 members present are Robert Brook, Thomas Holohan,
22 Leslie Francis, John Ferguson, Robert Murray, Alan
23 Garber, Michael Maves, Frank Papatheofanis, Barbara
24 McNeil, Joe Johnson, and Daisy Alford-Smith. A
25 quorum is present.

00008

1 With the exception of John Ferguson for
2 the sacral nerve stimulation vote, no one has been
3 recused because of conflicts of interest.

4 At this time I would like to turn the
5 meeting over to Sean Tunis, who may have a few words
6 for you.

7 DR. TUNIS: Well, let's see. The only
8 words I will have is welcome, and thanks everybody
9 for attending. I think we have an important agenda
10 today and we also have some impending weather
11 situation, so we're going to be trying to move as
12 efficiently as we can through the agenda, and we will
13 see how much before our estimated end time of
14 four o'clock we can manage, but we have spoken, Hal
15 and I have spoken, and we are going to try to
16 facilitate things as quickly as we can. So with that
17 in mind, let's move on.

18 DR. SOX: I would like to welcome

19 everybody to today's meeting of the Executive
20 Committee. I would like to ask you, Sean, can we
21 move ahead faster than the agenda without prejudice
22 to our obligation to the public to have opportunities
23 to comment?

24 DR. TUNIS: I think we can do that, and I
25 believe the, if there are scheduled speakers other

00009

1 than Greg Robb, I'm not aware of them, so as long as
2 Greg is already here, I think we won't be giving any
3 scheduled public speaker any slot.

4 DR. SOX: Well, we will do our best to end
5 early so that people can get on their way quickly.

6 We have basically three things to do
7 today. The first is to review and approve two topics
8 from the Medical Surgical Procedures Panel, and Alan
9 Garber, who chairs that panel, will lead that
10 discussion. Then we're going to go over the
11 modifications to the interim guidelines, and I will
12 lead that discussion. And then finally, if there is
13 time, we may spend some time talking about the future
14 role of the Executive Committee in light of
15 legislation that deprived us of the role of actually
16 approving panel reports.

17 I would like to announce some changes in
18 the leadership of the Diagnostic Imaging Panel. As
19 all of you know, David Eddy resigned from the panel
20 because of the pressures of work. Frank
21 Papatheofanis has taken his place as the chair of
22 that panel, and welcome, and congratulations, and we
23 have been fortunate to recruit Barbara McNeil to take
24 over Frank's position as the vice chair of that
25 panel.

00010

1 Barbara is Ridley Watts Professor of
2 Health Care Policy and chair of that department at
3 Harvard Medical School and is a pioneer in the study
4 of diagnostic tests, so we're really delighted,
5 Barbara, that you volunteered to take on this
6 assignment and we're going to work you hard, I can
7 guarantee you. Bob?

8 DR. BROOK: I just have a procedural
9 question. In relationship to the fact that both the
10 procedures had unanimous votes on them in favor, is
11 it possible that we could just approve the minutes
12 and dispense with discussion on the subject, unless
13 there is something controversial that needs to be
14 brought up that is not contained in the brief minutes
15 we got of both of these procedures?

16 DR. SOX: Well --

17 DR. BROOK: Because there was no
18 dissenting vote as I see, on either one of the
19 minutes.

20 DR. SOX: I think it's a good suggestion,
21 but I think we can achieve the desired compression of
22 our activities today simply by asking Dr. Garber to
23 get to the point, and everybody to try to keep their
24 comments down to a minimum. So thank you for the
25 suggestion, but I think we will follow procedure and

00011

1 just ask everybody to pull together. So, anything
2 else before we start? The usual loquacious
3 Dr. Garber. In that case -- yes. Sean has suggested
4 that I give you a brief report on yesterday's meeting
5 of the Medical Devices and Prosthetics Panel.

6 It was a very successful meeting. We were
7 fortunate to have two experts here just by chance who
8 actually co-edit the Journal of Ambulatory Blood
9 Pressure Monitoring, which was the subject, and they
10 were really very helpful to us. We tried with
11 intermittent success to try to keep the discussion of
12 the evidence separate from a discussion of sort of
13 the broader clinical issues that fall into the
14 category of governed by guidelines and by clinical
15 judgment and clinical common sense, we tried to keep
16 those discussions separate as much as possible, and I
17 think succeeded pretty well.

18 We had good presentations from the folks
19 who came here courtesy of Spacelabs, which is the
20 company who had made the request for a coverage
21 determination. In the event we endorsed a motion
22 submitted by Ron to -- Ron Davis to, that the, to
23 support the use of ambulatory blood pressure

24 monitoring in patients with suspected white coat
25 hypertension, this despite some significant holes in

00012

1 the evidence, but a sense on the part of the group
2 that there was something there that we needed to
3 endorse.

4 We considered relatively briefly two other
5 items. One was the use of ambulatory blood pressure
6 monitoring for patients with resistant hypertension
7 and we decided the evidence was insufficient to draw
8 any conclusions, and the same, we drew the same
9 conclusion about the use of ambulatory blood pressure
10 monitoring for evaluating patients who have symptoms
11 of postural hypotension on medication. Any
12 questions?

13 In that case, let's begin, and I will turn
14 the floor over to Alan Garber to lead the discussion
15 of the med-surg procedures panel.

16 DR. GARBER: Thank you, Hal. Can you hear
17 me? On October 17th, we considered electrical
18 stimulation as adjunctive therapy for chronic
19 nonhealing wounds. I can summarize by saying we
20 read, we came, we discussed, we approved. The only
21 real discussion in this area, there was a lengthy
22 ECRI report, which you have all received, I believe.
23 The only real discussion was about lumping versus
24 splitting the various indications and the different
25 devices, and the panel concluded that HCFA should

00013

1 really make, that the decision about whether the
2 studies applied to all the devices and for all the
3 indications was one that should be a technical
4 decision made by HCFA, and the panel opted to just
5 consider them together as a class, that is devices,
6 as well as the indications.

7 For some of the indications there was a
8 real paucity of data, but they thought it was not
9 worth splitting them up. So that will be left to be
10 a technical decision to HCFA, and the panel concluded
11 the evidence was effective for this group of
12 treatments as a class, and for the group of diagnoses

13 as a class.

14 They felt that the treatment was more
15 effective than alternative treatments. They rejected
16 some suggestions that it be considered to be in one
17 of the categories that was more emphatically
18 positive, and there was just very little disagreement
19 among the panel on any aspect of the discussion. Any
20 questions?

21 DR. SOX: So you -- I'm looking at the
22 minutes here, Alan, and you have to help me. There's
23 a final panel recommendation about the effectiveness
24 of sacral nerve stimulation, I see, for two
25 indications?

00014

1 DR. GARBNER: No, no, that's the -- sacral
2 nerve is the second one. We're talking about
3 electrical stimulation for wound healing now. I'm
4 sorry, did I misspeak?

5 DR. BROOK: No, you did fine.

6 DR. SOX: Oh, I see. We have two sets of
7 minutes.

8 DR. BROOK: I move that we approve the
9 first set, if we can do that.

10 DR. SOX: Wait a minute, Bob. We first
11 have to see if there is any public comment, then
12 we'll have a discussion, and then we will welcome
13 your motion, Bob, thank you. Does anybody wish to
14 comment on the first item, sacral nerve stimulation?

15 DR. GARBNER: No, this is electrical
16 stimulation for wound healing.

17 DR. SOX: For wound healing, is there
18 anybody here who wishes to comment? Well, there is
19 nobody to comment. Would anybody like to raise a
20 discussion item?

21 DR. BERGTHOLD: Just very briefly. Did it
22 come up at the panel in what way this is being
23 covered in the private sector by commercial carriers
24 at this time? Did that come up at all?

25 DR. GARBNER: I don't recall that. It's

00015

1 possible one of the public speakers might have

2 mentioned it. I just don't -- Connie, do you
3 remember, Sean?

4 MS. CONRAD: I'm sorry, I don't.

5 DR. BERGTHOLD: My memory is that this is
6 covered in a very inconsistent way in the private
7 sector, and that the coverage guidelines in various
8 health plans are quite specific on when they will or
9 will not cover, just to be sure.

10 DR. TUNIS: Alan, just to clarify a little
11 bit more, is it possible -- it did seem to me that
12 one of the main issues of controversy if any that
13 came up related to the different sorts of wounds,
14 different categories of wounds. And you know, my
15 understanding or recollection taking away was that
16 the panels, the panel's feeling was that most of the
17 evidence upon which they were basing their
18 conclusions was for one type of wound, I believe it
19 was the pressure ulcer, but that the feeling was that
20 one could extrapolate based on that to other types of
21 wounds, but that we didn't get into a dialogue about
22 the issue of do all wounds heal the same or don't all
23 wounds heal the same, and can one sensibly
24 extrapolate from one to another. And I think as you
25 have said, that was sort of then left to be sorted

00016

1 out within the HCFA coverage process. But, is there
2 any more detail you can add on that discussion, or
3 just confirm that impression?

4 DR. GARBER: Sean, I think you stated it
5 very accurately. There were some categories like, I
6 think it was the arterial ulcers, where there was
7 exceedingly little evidence from the literature. And
8 so it came down to, do you believe that different
9 types of wounds heal in different manners, can one
10 extrapolate from one type to another, and the
11 testimony and the literature seem to be completely
12 inconclusive on that point so it was just a judgment
13 call, should you split these apart or should you lump
14 them together. And I believe the judgment of the
15 panel was that in this particular situation, they
16 felt it was okay to lump them together, but they
17 wanted to leave quite a bit of discretion as I

18 understood the discussion, at the hands of HCFA in
19 interpreting this for specific indications. And it's
20 as Sean said, that the strongest evidence, and I
21 think this reflects the high prevalence, that the
22 strongest evidence was for pressure ulcers.

23 DR. BROOK: I would just like to put in
24 the record if we're going to have a discussion, that
25 the whole conclusion of this panel is summarized in

00017

1 that the evidence is adequate. It doesn't say what
2 kind of evidence, it doesn't say anything -- the
3 ulcers are all included as sort of in parentheses.
4 There is no description of the size of the ulcers,
5 the patients that it refers to, there is no
6 information about how often this procedure should be
7 done, and all those things that will affect billing
8 and reimbursement of course, that are vital to
9 coverage.

10 I think that at some point down the road
11 we need to discuss this. I have been trying to do
12 this at all the last meetings, about what is a
13 specific recommendation that we come out with here,
14 but I think that in our role of not micromanaging the
15 other committees, I mean, this whole committee
16 meeting is summarized literally in two sentences
17 which will allow you to, or urges coverage of this
18 procedure as many times as anybody wants to do it for
19 any size of an ulcer and for as long as you want to
20 it as long as it is, quote, chronic nonhealing.

21 And I would also understand that the word
22 chronic is not defined and nonhealing is not defined,
23 let alone wound, but it is the conclusion of the
24 panel.

25 DR. GARBER: Maybe -- could I just make a

00018

1 brief comment on that? I think Bob is largely
2 correct in his characterization, but this was a
3 deliberate judgment of the panel that it would not be
4 appropriate to make it more specific and in all those
5 issues including size, type -- duration actually was
6 not discussed, but the definition of chronic was, and

7 how old it had to be. All those things were
8 discussed. And the problem is, the literature really
9 uses from study to study widely varying criteria for
10 each of these things, and so the panel felt that
11 there wasn't evidence to make a specific size cutoff
12 for the ulcer or to say X number of weeks or months.

13 So they were deliberately vague, and I
14 think it is fair to discuss whether they should have
15 been more precise in trying to -- and I would have to
16 say, if the panel had made a more precise
17 recommendation, they would have found exceedingly
18 little literature to address an issue that it should
19 be X millimeters versus Y millimeters for the size of
20 the wound, or three months versus four months, so the
21 judgment of the panel was that it should be broad
22 guidance.

23 And reimbursement purposes, they thought
24 there were enough technical decisions to be made that
25 HCFA should have latitude in making this more

00019

1 precise, it shouldn't be the role of the panel to do
2 so.

3 DR. SOX: So your point is that in making
4 a recommendation, the panel should take into account
5 the needs of the customer, which in this case is
6 HCFA.

7 DR. BROOK: I would like to just argue
8 that it's a -- I mean, again, this is a general
9 discussion. These are like criteria that you can't
10 have back surgery unless you have six weeks of back
11 pain in the absence of something going on. This is
12 the form of this kind of a statement. If you're a
13 doctor and you're trying to get coverage for this
14 kind of procedure for an elderly person, you know,
15 and the question is, are we letting it up to HCFA to
16 define what chronic is and nonhealing is, and did
17 somebody try anything else, and all of these other
18 kinds of things.

19 In criteria, we have to decide generally
20 what we're planning on doing. If you compare this to
21 what we did with PET scanning last time, you could
22 argue that this is analogous to saying that PET

23 scanning is approved for anything, because this is
24 much vaguer than some of the recommendations that
25 came out last time about PET scanning. So the level

00020

1 of vagueness of the recommendation, or the level of,
2 the number of words or the generalization of these
3 criteria are very different depending on the panel.
4 At some point in the process we are going to need to
5 sort some of that out, Hal.

6 DR. SOX: I agree.

7 DR. BROOK: But I don't think it's time to
8 micromanage the committees that work so hard on
9 coming up with these recommendations.

10 DR. GARBER: Could I just suggest, I think
11 it's entirely appropriate for the Executive Committee
12 to make suggestions to the panel about how specific
13 these recommendations should be, and if we as a body
14 feel these are not sufficiently precise, we should
15 certainly make a public statement to that effect.

16 I have to say though, that the panel I
17 believe would have been inclined to a great deal more
18 precision, and their judgment reflected the state of
19 the literature, that is, they thought that there
20 wasn't the evidence to support a more precise
21 statement but there was evidence to support the
22 general statement that you see here. And I think
23 that even with different guidelines, our panel was
24 quite adamant that they wanted to lump things
25 together rather than split them, and greater

00021

1 precision would not have received support from our
2 panel, but it's entirely appropriate for us to give
3 guidance to suggest greater precision if we think
4 this is not useful.

5 DR. SOX: Well, it strikes me, Bob's
6 example is a nice example. We were very specific
7 about PET scanning and very general here. And that
8 really, the differences reflect at least to some
9 degree negotiations between HCFA and the panel chair
10 and vice chair about HCFA's needs, which they have
11 some idea about, the specificity that is possible,

12 given their preliminary look at the evidence. So, it
13 strikes me that in the -- there is no general rule
14 because it will vary as a function of HCFA's needs
15 and the state of the evidence, but that perhaps the
16 panel could be making some suggestions to make sure
17 that those discussions take place at an early stage
18 in the development of the plan for dealing with the
19 problem.

20 DR. GARBER: Yes, Hal, I think that's
21 right. I would just mention one thing about the
22 history of this. HCFA did pose more specific
23 questions to the panel and the panel, not HCFA or the
24 chair or vice chair, voted to lump together. I'm a
25 little hazy on this, but Connie and Sean can correct

00022

1 me if I'm wrong, the panel moved and approved a
2 motion to lump these categories together. It was
3 quite clear this was the sense of the panel. I don't
4 know whether it's what HCFA wanted, but it's
5 certainly not what HCFA and I had agreed to going
6 into the process.

7 DR. SOX: We might want to keep this issue
8 sort of alive when we get to the discussion of
9 interim guidelines and perhaps make some modest
10 amendments that would reflect this discussion. Yes,
11 Leslie?

12 DR. FRANCIS: Could I just ask you a
13 question about the procedures? When I looked at the
14 material that was sent to us that I guess was the
15 material the panel got, it was remarkably
16 unorganized.

17 DR. GARBER: Are you referring to the ECRI
18 report?

19 DR. FRANCIS: No, I'm referring to the --

20 DR. GARBER: The background readings?

21 DR. FRANCIS: The background reading, and
22 I guess my question for you is, did you think that
23 the materials that you received in preparation for
24 the meeting were adequately organized, summarized and
25 presented to the panel in such a way that they could

00023

1 do the kind of analysis and discussion that you
2 wanted to be able to do? I'm asking that just for,
3 so we can learn from procedures whether you thought
4 that the processes before the meeting worked or
5 didn't.

6 DR. GARBBER: Leslie, that's a tough one to
7 answer. This is a fairly large heterogenous and not
8 generally high quality literature. The ECRI report
9 and discussion of the ECRI report took a good deal of
10 our time, and that generated a lot of discussion.
11 And I think that basically the panel's conclusions
12 were not the same as those of the authors of the ECRI
13 report based on more or less the same information
14 going in. So in some sense, you could say that the
15 panel did not feel that the evidence as summarized in
16 the ECRI report, which was the main evidence report
17 used for this panel, that they didn't entirely agree
18 with the interpretation that the authors had in mind.

19 I think that the discussion and the
20 opportunity to ask questions of the principal author
21 of the ECRI report was extremely useful and made up
22 for any deficiencies in the written materials that
23 were distributed.

24 DR. MAVES: If I could just sort of add to
25 that, I think the materials that we received, and I

00024

1 have spoken with Connie and Sean about this,
2 represented kind of a best compromise. I think on a
3 couple of occasions before, I think that we felt that
4 we didn't have AHCPH reports, et cetera, available to
5 us, we didn't have the public comments available
6 ahead of time, and so I think some of the noise if
7 you will in the materials that we received, simply
8 are a reflection of HCFA staff trying to present a
9 more complete package of information to the
10 panelists, and as a result of that you're going to
11 have what seems to be a relatively more disorganized
12 group of materials to review.

13 But I would agree with our chair, Alan,
14 that I think the quality of the discussion, the
15 deliberations, certainly made its way through all
16 these papers that you see, and I agree with his

17 conclusions and the conclusions of the panel.

18 DR. SOX: Sean, do you want to comment on
19 this discussion?

20 DR. TUNIS: Yeah. It's sort of an
21 interesting issue in terms of the organization and
22 the level of synthesis of the material that gets sent
23 to the panel. You know, we have some constraints on
24 the side of HCFA as, you know, being sensitive about
25 any issue being raised about the extent to which we

00025

1 prebias the panel or the Executive Committee in terms
2 of what information we provide, or how we organize it
3 or how we synthesize it for that matter. And you
4 know, my own particular feeling is that, you know,
5 the Executive Committee and the panel are grown-ups
6 and they can figure out if we're trying to, you know,
7 put something over on them, and so our direction is
8 to try to, going to be to try to do, you know,
9 objective summaries, identify the higher priority
10 literature, and still provide everything so that
11 people have the opportunity to go through it. And
12 you know, we may be subject to some criticism of you
13 know, leading the panels on if we go too far in that
14 direction. But I think there is definitely a balance
15 between what you all can possibly digest in a weekend
16 or a week or an airplane flight for that matter, and
17 you know, and what is the full spectrum of
18 information on any particular topic.

19 DR. GARBER: Could I just add one brief
20 comment? In terms of lessons from the collection of
21 literature for general EC or MCAC operations, this
22 sort of confirmed the beliefs that I've always had
23 that HCFA's effort has to go into making sure that
24 the evidence reports are good, and then being fairly
25 complete in the distribution of literature,

00026

1 supporting literature, and that almost never can be
2 well organized outside the context of the evidence
3 report.

4 And I have to say that although the panel
5 didn't agree with all the conclusions of the authors

6 of the evidence report, they found the evidence
7 report, I dare say, extremely useful, and so that
8 aspect should not be taken as a criticism of the
9 evidence report. It's better to have one whose
10 conclusions you may disagree with but which is very
11 clear in laying out the evidence, than one that you
12 would agree with but is spotty in that regard. So, I
13 thought the process actually worked quite well.

14 DR. SOX: The U.S. Preventive Services
15 Task Force provides sort of a reader's guide to the
16 briefing book that helps people to focus on the key
17 articles, the key sections, and to make their best
18 use of limited time, and I think we ought to be
19 striving to point out, what are the key articles that
20 really need to be reviewed, the primary articles upon
21 which recommendations are likely to turn. Tom?

22 DR. HOLOHAN: I don't know if this will
23 help clarify or not. The VA has the largest system
24 of care for spinal cord injury in the world, and
25 actually initiated some of the original training

00027

1 programs in spinal cord injury. Decubitus ulcers are
2 the single most common and most expensive
3 complication. All of the 23 spinal cord centers in
4 the VA are unenthusiastic about the ultimate efficacy
5 of electrical stimulation for healing decubiti but
6 all of them use it, restricting it generally to cases
7 when other more conventional treatment has failed.
8 The specific examples given to me when I called them
9 were patients who have had plastic surgery and the
10 plastic surgeon is unwilling to do a second flank
11 rotation, they will use electrical therapy, and are
12 mildly to moderately pleased with the benefits.

13 I should also add with respect to Linda's
14 comment, there is no financial incentive in the VA
15 one way or the other to use it or not use it.

16 DR. SOX: Alan?

17 DR. GARBBER: All right. Tom, I think that
18 is very helpful to know. In interpreting the panel's
19 recommendations was adjunctive therapy to chronic
20 nonhealing, and if you -- we were not given the
21 transcript of the discussion, but chronic nonhealing

22 meant that it was unresponsive to other conventional
23 therapies, so in fact everything that you said about
24 the VA I think corresponds to the panel's judgment,
25 including the lack of a lot of enthusiasm. The panel

00028

1 concluded it was effective, but nobody was
2 overwhelmed with this being a real breakthrough in
3 any sense in the treatment of such wounds.

4 DR. SOX: Well, I'd like to move this in
5 the direction of a vote. Bob?

6 DR. BROOK: I would at least suggest that
7 next time, that given this discussion, that the
8 recommendations be amplified, because every time we
9 ask a question, the push back from the chair is that
10 this is what we mean, so I would urge that the
11 recommendation, this kind of a recommendation, it
12 sounds like that three sentences to produce clarity
13 in what we voted for should really be something like
14 three pages in terms of defining the topics, what is
15 meant by it, the intent of the panel, the rationale,
16 almost like a legislature intent when a law is
17 passed. So I would wonder whether our problem is
18 that all of this was discussed and dealt with
19 carefully but that the minutes are just way too brief
20 regarding the summary, so I would urge that at least
21 next time we consider a more detailed set of minutes
22 that includes definitions and these kinds of things
23 in that, around those recommendations.

24 DR. SOX: Well of course, our interim
25 guidelines, one of our key principles is that the

00029

1 panel has to be accountable for the process and the
2 reasoning that it uses in drawing its conclusions,
3 and so far we have not held anybody's hands to the
4 fire. I can feel the ambulatory blood pressure
5 monitoring may be the first example where there will
6 be a report of the reasoning we went through, as well
7 as the motion that we finally passed.

8 DR. BROOK: And the definitions of what it
9 means. I'm not so much interested in -- the
10 reasoning is not, it doesn't have to be the

11 reasoning, it just has to be expanding what these
12 terms mean, you know, so that you know, it could be
13 some of the reasoning but it really is -- I'd be even
14 happy if the terms were defined in more detail than
15 what's in the minutes.

16 DR. GARBER: Well, I'll say mea culpa. I
17 think those are very fair criticisms, and it was the
18 original intent of the Executive Committee to provide
19 for a detailed summary, and I agree in view of the
20 discussion that this is too short.

21 DR. SOX: Well, I hereby pledge to make
22 the ambulatory blood pressure monitoring report an
23 example.

24 DR. GARBER: Yeah, do it today while it's
25 fresh, Hal.

00030

1 DR. SOX: Right. You know, I just threw
2 away a lot of my notes. I thought I'd seen the last
3 of the problem. Well, in any case, is there any more
4 discussion before we move to a vote? There being no
5 more discussion, I'll ask Connie if she will instruct
6 us in doing the vote so that it sticks.

7 MS. CONRAD: Thank you. Could we have a
8 motion to ratify or not the Medical Surgical
9 Procedures Panel minutes dealing with electrical
10 stimulation for the treatment of wounds?

11 DR. HOLOHAN: I move to ratify.

12 MS. CONRAD: Second please?

13 DR. MURRAY: Second.

14 DR. SOX: All in favor? Any opposed?
15 Anybody abstaining? The motion passes unanimously.

16 We will now go on to a discussion of the
17 second topic.

18 DR. GARBER: Okay. The second topic was
19 sacral nerve stimulation for the treatment of urinary
20 incontinence. And basically, there were a number of
21 good studies, including one really good randomized
22 controlled clinical trial that in the view of the
23 panel clearly demonstrated effectiveness for both the
24 indications that were on our plate, refractory
25 urinary urge incontinence and refractory urge

00031

1 frequency syndrome. And there wasn't very much
2 discussion on this, and the evidence seems to be
3 clear-cut, so the panel said that the evidence was
4 sufficient and they concluded it was more effective
5 than alternative treatments for these two conditions.

6 DR. SOX: Does anybody in the audience
7 wish to comment, make a presentation? Anybody in the
8 panel have any questions for Alan or any comments
9 about this topic? Bob?

10 DR. BROOK: Again, it's really interesting
11 to try to read this. The panel noted that neurologic
12 patients had been excluded, but agreed that's an
13 appropriate exclusion. Does that mean that in the
14 recommendation, they ought to also be excluded in
15 terms of defining refractory urinary urge
16 incontinence and refractory urge frequency. And then
17 they say, the panel indicated that refractory
18 incontinence was that precise definition be left to
19 HCFA, yet they voted to support it. It's hard to
20 know what it was that they -- how could they vote to
21 support something if they didn't know what it is, if
22 they can't define it? I mean, that's the way these
23 things read. Now I am sure, again, that this is not
24 the case.

25 And then Alan just ran through and said

00032

1 there was a good study here and the sense of his
2 conversation was that it was better than previously,
3 yet the next sentence says, has not been adequately
4 evaluated, but invited HCFA to take a look at a
5 forthcoming study. So I'm all for -- again, I don't
6 think we need to repeat all of this, I'm all for
7 approving based on the fact that the panel did its
8 job, but I believe these minutes are terribly
9 inadequate and they will lead to, or they could lead
10 to decisions regarding coverage when the nuances of
11 the discussion are long forgotten, and this is the
12 only document that's relied upon that are totally
13 inadequate for HCFA, so --

14 DR. SOX: Randel?

15 MS. RICHNER: I think when Hal was saying

16 earlier that the panels are supposed to provide a
17 written report, that should alleviate your concern.
18 I think right now what you're discussing are the
19 content of the minutes rather than what actually the
20 panel deliberated in whole, and in that written
21 report that should take care of those concerns.

22 DR. TUNIS: And in the meantime, we don't
23 really you know, use these minutes as the sole
24 product of the meetings. We have the entire full
25 transcript of the meetings and in developing our

00033

1 decision memo or coverage memo on this, you know, we
2 go through those minutes in great detail and that's
3 really where we get our direction from, not the --

4 DR. SOX: You mean the transcript?

5 DR. TUNIS: The transcript, sorry. So we
6 have access to the entire written transcript and
7 that's really what we rely on, not these minutes.

8 DR. BROOK: This is a very confusing
9 process then, because we get something that's
10 inadequate that we go through very rapidly, and then
11 you interpret it in some way, in a different way than
12 we may have meant given what's written on paper, that
13 leads to some danger, and I would urge that the
14 process be -- if something could happen -- I know
15 there's time pressures in all this, but if something
16 could happen to make this document good enough that
17 we know what we're voting on, really know what we're
18 voting on, and you think that this contains enough of
19 the message that you could defend what you do based
20 upon this document, I think that's what's crucial,
21 that you should be able to defend what you do based
22 upon this document, because that's why you're
23 convening us, but you can't based on this document,
24 you just said you can't, and that, you know, we know
25 what we're voting on. And the answer to both of

00034

1 those questions right now is no.

2 DR. SOX: Linda?

3 DR. BERGTHOLD: May I point out that this
4 is -- not only do I not vote, but this is the last

5 vote that we're going to take, other than the one
6 that was done yesterday. As an executive committee,
7 we're not going to be voting on this anymore.

8 DR. TUNIS: Actually, no, at least not
9 until October, which is when the new law goes into
10 effect about removing the ratification function,
11 unless something happens before then, which I don't
12 think so, but it's not entirely a moot point, it may
13 be.

14 And actually, just on that point, and a
15 lot of people have stuff to say, I still think, and
16 we will talk about this later when we talk about the
17 future role of the Executive Committee, that a more
18 content full summary that comes out of the panels
19 that would be looked at by the Executive Committee is
20 still going to be important even when the Executive
21 Committee doesn't formally ratify it.

22 DR. SOX: So, I don't know who came first,
23 so I'm just going to start closer to me and go
24 farther. Alan?

25 DR. GARBBER: Well, this is sort of a

00035

1 question for Sean. I think Bob's comments are valid
2 that there needs to be more detail, and I already
3 mentioned that with regard to the last one that we
4 discussed, but it does occur to me that for some of
5 these question, even a three-page document won't
6 answer all of them, even if these issues were
7 discussed in great detail at the panel meeting. So
8 the question for Sean is whether it would be feasible
9 to distribute the transcripts, maybe electronically
10 so people who didn't want them wouldn't have to print
11 them all out, so that this would be part of the
12 documentation that the Executive Committee has
13 available. It seems to me that the transcript in
14 some sense is at least as valuable as the primary
15 literature that the Executive Committee has received,
16 and many of these questions are clearly covered in
17 the discussion and therefore would be in the
18 transcript. Is that something that we could do in
19 the future?

20 DR. TUNIS: Connie tells me that actually

21 the transcripts are posted on the web, so they are
22 available.

23 DR. GARBBER: I think all of these
24 questions then are readily answered by even a quick
25 review of the transcripts, and so it may be good to

00036

1 just remind the Executive Committee that they should
2 feel free in preparing for the Executive Committee
3 meeting to read through the transcripts at the time
4 they get the minutes and the report, in case those do
5 not answer the questions that they have.

6 DR. TUNIS: Just as somewhat of a
7 technical issue, but part of the reason actually that
8 the minutes, there is not as much attention probably
9 as has needed to go into the content, but part of the
10 pressure on those is that our clock for making our
11 coverage decision is linked to when the minutes get
12 signed by the chair of the panel or the chair of
13 MCAC, and so we then have a 60-day time clock from
14 that point of view. So, the longer we take to
15 actually get the minutes done and approved, you know,
16 the longer it takes us to make our ultimate coverage,
17 or potentially, and so part of the pressure has been
18 on that.

19 But I think what I'm, you know, hearing
20 and we're obviously going to discuss further is you
21 know, that's a piece of the process that we need to
22 think about more carefully, that Bob has raised.

23 DR. SOX: We will have an opportunity to
24 revisit this several times during this meeting, so I
25 would like to sort of press toward a vote on this.

00037

1 Mike? Anybody before we get to Mike? Mike?

2 DR. MAVES: My only comment is, I think
3 Bob does bring up some important points, and my
4 concern might be, Sean, is from HCFA's standpoint, is
5 that some precision in the language is actually going
6 to be beneficial to you in the long term and
7 certainly as Bob says, when you know, a year or two
8 has gone by and all of us are not in these positions
9 again, and the sort of memory of this has gone by,

10 certainly could see both on the pro and the con side
11 of this, an enterprising group of people petitioning
12 HCFA, using this language if you will against you,
13 saying listen, this is all you said, you didn't say
14 any more, I have refractory urge urinary incontinence
15 and therefore this treatment ought to be approved.
16 So I think in point of fact, it may actually be
17 helpful for HCFA in sort of limiting those kinds of
18 extraneous exchanges, you know, based upon sort of
19 the record that we have right now. So I would agree
20 with Bob and I think the point he brought up, it
21 might actually be very helpful for the Agency to have
22 more precision in the language of the recommendation
23 that comes out.

24 DR. SOX: Alan?

25 DR. GARBNER: Just, I want to get back to

00038

1 two substantive issues that Bob raised about what the
2 recommendations meant.

3 The first was the neurological patients
4 and my understanding, we had a neurologist on the
5 panel who was very helpful in this regard, but my
6 understanding is that the neurologic conditions we
7 were discussing are not commonly understood to be
8 part of either urinary urge or urge frequency
9 syndrome, it's a different type of incontinence as
10 usually classified, so that would clearly be excluded
11 from this recommendation, as I understood it.

12 But the other issue was what do we mean by
13 refractory and there was a discussion about that, and
14 it's very similar to what we were talking about with
15 the wound stimulation. Refractory, the literature
16 used different definitions of refractory and
17 sometimes it wasn't well defined, and so we went
18 round and round on this question of what precise
19 definition can we give to refractory, and this is
20 something maybe we should think about as an executive
21 committee. If the literature is unclear about what
22 refractory is, either it's poorly defined within a
23 study or it varies a great deal across studies, how
24 precise should we attempt to be, because almost
25 certainly if we got very precise, like talking about

00039

1 duration and which prior therapies in any detail, we
2 would be going beyond the literature, that was the
3 judgment of the panel in this case, and decided
4 because it was going to be a somewhat arbitrary
5 decision, how you define refractory, that that was a
6 judgment that HCFA should make, rather than a
7 judgment the panel should make.

8 And here I think the idea is that the
9 panel should be entirely evidence based, and that
10 meant not making a recommendation more precise than
11 they felt they could be based on the literature, but
12 the Executive Committee could easily say no, the
13 panel should make their best judgment, recognizing
14 that the literature is inadequate. But this is just
15 the way the panel came down.

16 DR. SOX: Something we discussed yesterday
17 in ambulatory blood pressure monitoring was the
18 notion of really two votes; one is a vote strictly on
19 the evidence and then another vote that takes in --
20 or the scientific evidence, and then another vote
21 that took into account the guidelines, what we heard
22 from experts, our own sort of common sense reading of
23 things, and that's an approach that we may want to
24 think about trying out in other problems. Yes, Bob?

25 DR. BROOK: I just couldn't follow you,

00040

1 Alan, and I'm really sorry about this, but let me
2 just indicate my problems, and again, just put it on
3 the record. Now, when it says that neurological
4 patients were excluded, I didn't read that as the
5 cause of these two problems, but I didn't know
6 whether somebody who had a history of a stroke had
7 been excluded from the primary study, I mean, and
8 what that actually means, in other words, and whether
9 this recommendation would apply. I didn't know
10 whether when you said to the Medicare population,
11 does this apply to both the disabled Medicare
12 population or are we using that as a code word for
13 people over 65 that are on Medicare because they are
14 disabled, and does this deal with people who have

15 serious, you know, potential disabilities. That's
16 why I'm raising -- this is not a trivial issue -- why
17 I'm raising this.

18 When you talk about evidence, I really do
19 not know -- I mean, last time we had tour de force of
20 Hal describing that evidence collected in one
21 population may not be generalizable to another
22 population. You just flipped that around and said
23 that if we -- we're going to vote at the highest
24 level, so if there's any difference when we get down
25 to division, the division being the men versus women,

00041

1 or other things, and so this flips the analysis
2 around which we did at the last panel, which Hal ran
3 at the panel meeting. It just leads us open to some
4 inconsistencies that we need to solve. Because I
5 don't know what it means that the evidence allows you
6 to draw effectiveness in the Medicare population. To
7 me that means that the evidence both meets internal
8 and external validity criteria, as Hal went through
9 at the last panel meeting. And what you're telling
10 me in your discussion is that's not true, it may meet
11 some broad kind of evidence but it doesn't meet
12 evidence for a specific group of patients with a
13 specific set of comorbidities or conditions. At
14 least that's what I heard you say; I may be wrong
15 about that.

16 I would like to make one other comment.
17 Please don't -- I mean, it's impossible for us to go
18 through hundreds of pages, whatever it is, of verbal
19 transmissions and get a sense of how that was
20 distilled. I think there is one thing keeping a
21 record for the public on exactly what everybody said
22 to everybody about every issue, but I think it's more
23 important to have a document that's concise, well
24 written, that supports the recommendations at the
25 time that the Executive Committee looks at that

00042

1 document, and I don't think this meets that criteria,
2 and that's -- so I would like us not to have to go
3 back through the transcripts but we really do need,

4 at least in my opinion, a document to do that.

5 DR. SOX: On that last point, Bob, unless
6 we reverse ourselves during the discussion of the
7 revised interim guidelines, you know, it's in there,
8 and we have to have a process whereby if the chair is
9 too busy, somebody reminds the chair that tough luck,
10 you've got to do it and you've got to do it in time
11 for people to read it before the meeting, we just --
12 you know, we had a good idea and we haven't followed
13 through on it, and we need to get a system in place
14 so that we do.

15 DR. GARBER: You know, could I just
16 discuss this thing? I have to admit, I'm not quite
17 sure what Bob was saying about the internal and
18 external validity, but let me just say, the studies
19 were, the vast majority of the patients were
20 conducted in either elderly or disabled people who
21 clearly fit within the Medicare beneficiary
22 population, so that was not really a discussion
23 topic. Usually we're faced with a situation where
24 the studies only in part were conducted in a Medicare
25 population or perhaps not at all. This was a

00043

1 nonissue here.

2 Let me also make one suggestion regarding
3 the transcripts. Notwithstanding the fact that there
4 is a lot of material in the transcripts for both of
5 these issues, the panel deliberations were not that
6 lengthy, and it would not be an undue burden for
7 people to look at the transcripts, and I would
8 strongly suggest that if you have these concerns,
9 look at the transcripts. I'm not saying there is no
10 need for a more detailed report than the minutes, but
11 it would take you a lot less time to look through the
12 panel deliberations and the minutes than almost any
13 of the other materials, and you can see where these
14 issues are discussed. And again, I have to say that
15 even with a three-page or any reasonable length
16 report, it would not contain all the questions that
17 might arise, it would not answer all the questions
18 that might arise, so it's worthwhile and I do not
19 believe unduly burdensome to look through the

20 transcripts, at least for the part of panel
21 deliberations.

22 And there is also interesting material in
23 the public commentary but if you want to understand
24 the panel's reasoning and restrict your attention to
25 panel deliberations, you will find that it won't take

00044

1 that long.

2 DR. SOX: Thank you. Is there any more
3 discussion on the topic of nerve stimulation for
4 incontinence before we move to a vote? If there is
5 none, then I will ask for a motion to ratify the med
6 surge panels recommendation.

7 DR. MURRAY: So move.

8 DR. FRANCIS: Second.

9 DR. SOX: Any further discussion before we
10 take a vote? There being none, please raise your
11 hand if you vote to ratify. Anybody opposed?
12 Anybody abstaining?

13 MS. CONRAD: John Ferguson, I believe.

14 DR. FERGUSON: I recused myself from
15 voting for the record, because I was a consultant to
16 Medtronic on this issue.

17 DR. SOX: Thank you. Let the record show
18 that Dr. Ferguson recused himself because of a
19 relationship with one of the manufacturers. Thank
20 you, John. Well, I think that completes the first of
21 our tasks.

22 THE REPORTER: I don't believe you put the
23 results of the vote on record.

24 DR. SOX: Oh, thank you. The vote with
25 the exception of the abstention, the vote was

00045

1 unanimous. Thank you. Sorry.

2 Well, the next agenda item is the interim
3 guidelines and just a brief bit of history, we
4 originally formulated guidelines for the function of
5 the panels, and these were published by HCFA I
6 believe in February of last year.

7 After the first -- we then got comments on
8 those from a number of sources and found ourselves

9 after using those procedures to have some suggestions
10 about how to improve them, and so the methods working
11 group which I chaired made a listing of all of the
12 comments that we heard and then basically went
13 through them one by one deciding whether we agreed
14 with a change or whether we disagreed with a change.
15 And based on that sort of consensus process, I edited
16 the original interim guidelines to reflect a
17 discussion of the working group. And the changes are
18 all in bold face.

19 In addition, we incorporated with some
20 relatively small modifications the written guidelines
21 for evaluation of diagnostic tests, which this panel
22 used in its consideration of the various applications
23 of PET scanning, so that -- and that's of course
24 something you have seen before and you have used
25 before. So that's the background, and what I would

00046

1 like to suggest is that we just plow through this
2 thing page by page, and if there are changes to me
3 made to discuss them, and in corporate them one by
4 one, and then vote on the entire document, unless we
5 run into a really controversial item, in which case
6 we might take at least a straw vote on the spot.

7 I remind you that the work group has
8 really spend a fair amount of time on this, and I
9 believe that what we've got here reflects the wishes
10 of the work group, although I did not hear from
11 everybody after sending out the revision that I made.
12 So, some people got back to me with comments and some
13 people didn't, and so it does not necessarily reflect
14 unanimous views of the work group. But the point is,
15 it has taken a lot of work to get to this point and I
16 urge you to take that into account as you make
17 suggestions.

18 With that as a start, I think we'll just
19 plow through it. Everybody's got a copy? Randel?

20 MS. RICHNER: Well, I've spent -- I wasn't
21 able to get back with you after your last revision
22 because of work commitments last week. However, in
23 the last two days I have spent a considerable amount
24 of time diagramming the entire guideline from start

25 to finish, so I have done all that and I have it up

00047

1 on a Powerpoint slide, and I think it may facilitate
2 some of the dialog because it actually lays out all
3 of the steps along the way, and I've done it in a
4 broad way and then also in a more detailed way.

5 So, if you would allow me to have a few
6 minutes to just show you and see if that's useful, I
7 would like to put that up.

8 DR. SOX: Terrific, do it. So Randel, are
9 you proposing to sort of go through this in its
10 entirety and then we can kind of circle back and
11 grind through it?

12 MS. RICHNER: Right, exactly (inaudible).

13 DR. BROOK: Can I ask a procedure
14 question? I mean, everyone has had this document in
15 front of them. Before we spend time looking at this,
16 is it worthwhile to get a sense of whether anyone on
17 the panel has problems with this document?

18 MS. RICHNER: I do.

19 DR. BROOK: Besides you. I mean, this has
20 been vetted by -- besides you at this moment, and
21 we'll listen, but the question is, is there anyone
22 else that has problems with any piece of this
23 document?

24 DR. SOX: I think it's very reasonable to
25 get an over, sort of a sense of where the group is

00048

1 with respect to the document before we head into it,
2 if only to allow us to budget time. So maybe what
3 I'll do while Randel is getting set up is just start
4 over with Leslie and work our way forward, and people
5 can just kind of in three or four sentences where you
6 are and if there's some specific area you might want
7 to note it so we can -- but we won't try to solve
8 problems now, we're just getting an overview.
9 Leslie?

10 DR. FRANCIS: Well, I mean in general I
11 like the structure and content. There are some
12 little questions all the way along the way. Probably
13 the biggest one is the last paragraph before external

14 validity on page 4, which I just didn't, I thought
15 was internally inconsistent.

16 DR. SOX: Okay. Bob, what's the big
17 picture here, a three-sentence response? Bob Murray,
18 do you have a comment about just sort of a general
19 take on it?

20 DR. MURRAY: I'm sorry, too many Bobs on
21 the committee. I found it useful. I did not feel
22 that every word had to be followed slavishly, and
23 found as an overall document, giving guidance to the
24 process, I found it very useful and have no
25 objection.

00049

1 DR. SOX: John, your comments.

2 DR. FERGUSON: No, I think it's a good
3 working document and I think the fact that you added
4 a C on page 5, when the evidence is insufficient, as
5 a kind of a way to look at things as you mentioned
6 with your ambulatory blood pressure, that possibly
7 there was two things, you looked specifically at the
8 studies and then second, all the other sorts of
9 things, and I believe that's very good. And I think,
10 I'm glad that this part was added so there was not a
11 black and white yes or no at the very beginning that
12 would sort of stop discussion.

13 DR. SOX: Good. Mike?

14 DR. MAVES: I would agree with those
15 comment, and I would also agree that the section on
16 pages 5 and 6 that goes on is very helpful in terms
17 of capturing some of the information that has been
18 presented by the public or by other independent
19 investigators, and allows us to incorporate that into
20 deliberations. So, I am comfortable with it and am
21 impressed with your efforts.

22 DR. SOX: Good. Randel, do you want to
23 give your big picture as part of your run-through?

24 MS. RICHNER: Yes.

25 DR. SOX: Okay, good. Frank?

00050

1 DR. PAPTATHEOFANIS: I've been a part of
2 the working group and I have seen the progress made

3 along the way and I think you have incorporated the
4 comments and suggestions received from a wide variety
5 of folks very effectively. I have no criticism.

6 DR. SOX: Daisy?

7 DR. ALFORD-SMITH: I have no concern about
8 the document itself. However, I think there needs to
9 be some consideration in some way given to some of
10 the other aspects that are inherent to this overall
11 process. And inasmuch as we are asked to provide
12 advice on scientific and clinical questions regarding
13 coverage, I think that we also need to at least state
14 our recognition in reference to the accountability
15 that we need to insure not only to HCFA but also to
16 the general public.

17 And I state that based upon the issue of
18 timeliness as one, which I think is extremely
19 critical. And then the other, based upon the
20 recognition that we are a public entity, that has a
21 need to not only provide advice in this one
22 particular area regarding coverage, but also in an
23 attempt to be responsive to other needs as well.

24 DR. SOX: Well, I urge you to be thinking
25 about specific language. This is our chance to

00051

1 incorporate change and to vote on it. Bob?

2 DR. BROOK: I pass.

3 DR. McNEIL: I haven't had a chance to
4 read this since I'm new to the Committee, but I
5 thought it was an extraordinary job and I have a few
6 little parenthetical comments to make here and there,
7 but just coming in from the outside on this, I think
8 it's very difficult to write a document like this,
9 because some people don't want a good document and I
10 think this is a very very good document, and as I
11 said, there are a couple of little parenthetical
12 things that I will talk about when we get to the
13 specific pages.

14 DR. GARBER: Alan, do you want to say
15 anything? Tom?

16 DR. HOLOHAN: I think in general it's an
17 excellent document. I thought the earlier versions
18 were good, I think this is better.

19 DR. SOX: Linda?

20 DR. BERGTHOLD: I agree. I do want to say
21 something about making the process and the time lines
22 kind of clearer, which is something that I think
23 Randel may be addressing, I don't know, but I had a
24 hard time sort of determining how long this would
25 take and how we would know, you know, whether

00052

1 something was proceeding in a timely manner, and also
2 was a little bit concerned, unclear about the
3 different levels of expert review. I got confused
4 towards the end about evidence reports versus peer
5 review versus expert review and what the role of the
6 EC was in terms of choosing expert reviewers
7 vis-a-vis the panel, so if we could clear up that
8 part of the process, that would make it much better
9 for me.

10 DR. SOX: Joe?

11 DR. JOHNSON: As far as guidelines and a
12 dynamic document, I have no problems with it. I
13 think that the committee has done an outstanding job
14 on synthesis with the comments and especially with
15 the evidence and the insufficient evidence on page 5,
16 6 and 7, I like the changes.

17 DR. SOX: The floor is yours, Randel.

18 MS. RICHNER: I think I second the opinion
19 of the Committee that the work in progress has been
20 phenomenal and overall I think it's an excellent
21 document, but there are some issues where I think
22 that we could improve it, so that's all I'm
23 suggesting here.

24 So, the positive from my perspective is
25 that this second bullet in particular is very

00053

1 important, to submit non-peer reviewed evidence and
2 policy positions. There are place holders for that
3 in these guidelines, as well as, the public now has a
4 defined place to actually provide input. Okay, it's
5 not moving. There we go.

6 The negatives, I would say that the timing
7 remains unclear. Is there a portable mike? That the

8 timings remain unclear, there are too many review
9 steps with no defined process for product of the
10 reviews. And the terminal loop syndrome which, I
11 couldn't think of another way to describe that, where
12 there is a potential for a decision to be reviewed
13 which may cause months or years of delays depending
14 upon how we define it, when there is inadequate
15 evidence. And so, those were some of my primary
16 concerns.

17 DR. SOX: Randel, I wonder, could we just
18 spend a minute trying to dissect out your comments
19 and make sure we understand them exactly?

20 MS. RICHNER: Yeah. I'm going to go to
21 the diagram in just a second, and I think that will
22 help a lot.

23 DR. SOX: Okay.

24 MS. RICHNER: Okay? So let me just go
25 right to that. All right. So this is the diagram

00054

1 broadly of the guidelines, and essentially what
2 happens is HCFA assigns a panel a topic and arranges
3 for a contractor. That means ECRI or whomever you're
4 going to send out for the evidence report. Then
5 there is a production of an evidence report, which is
6 step two. Then there is an external review of the
7 evidence report, and then there is a panel review of
8 the evidence report. Then there is the panel
9 meeting. Then there's the panel report, which is
10 what we were discussing earlier, Bob. And then HCFA
11 receives the panel report. That's broadly what these
12 guidelines say, I believe.

13 So in dissecting this and going step by
14 step, the first think is, HCFA assigns Panel A, the
15 panel a topic and arranges to contract review.
16 There's no timing. HCFA chooses the contractor to
17 conduct the evidence review of the topic, which
18 happens along the way.

19 The next thing that happens is the panel
20 chair, and this is in the guidelines, assigns two
21 panel members to work with contractor group as
22 contact experts. That's what we've added, the
23 subcommittee has added this step, which means that

24 we, the panel members choose content experts to work
25 with the contractor.

00055

1 Okay, next step. This is the step that I
2 think is the most important for us, and yet it's the
3 least detailed in the interim guidelines. The key
4 questions are drafted by HCFA, the panel chair and
5 the vice chair, and we all know that those questions
6 that are formed are critical to the success of the
7 ultimate process. So the draft questions are posted
8 on the Internet, which I think is great.

9 DR. BROOK: So far, you're just informing
10 us what's there.

11 MS. RICHNER: Right.

12 DR. BROOK: What I'm interested in knowing
13 is -- but you did make a critical comment about 2.A.
14 You want us to be more specific there, is that your
15 concern? Because up to now, there is nothing about
16 the report that bothers you, if I'm understanding
17 you.

18 MS. RICHNER: No, that's right.

19 DR. BROOK: So under 2.A --

20 MS. RICHNER: Everything is fine up
21 until --

22 DR. BROOK: Except you want us to be more
23 specific there in the report?

24 MS. RICHNER: No, I didn't say that.

25 DR. BROOK: So up through 2.A, we're fine.

00056

1 MS. RICHNER: Right.

2 DR. BROOK: So we're still okay.

3 MS. RICHNER: We're still cooking,
4 everything is still fine.

5 DR. BROOK: I just can't under -- we're
6 still cooking.

7 MS. RICHNER: Where I have a problem, or
8 not a problem but where I think we can improve is on
9 2.C and 2.Dm and this is written in the guidelines.
10 The draft questions are posted on the Internet, which
11 is great. That allows the public and allows everyone
12 to sort of comment on what questions are being posed.

13 If you remember correctly when we did PFS, the pelvic
14 floor stimulation, there was a real serious debate
15 about whether the questions were formed correctly for
16 the panel, so this is an important point.

17 So 2.C is the contractor contact
18 information is provided to the public. This is what
19 we've written in the guideline, so that we know if
20 ECRI is going to be doing the evidence report, we
21 know if Blue Cross is going to be doing it, or
22 whoever the contractor is that HCFA chooses.

23 The other point is that we have also
24 allowed in our guidelines to have incorporation of
25 public comment at this point, but we haven't defined

00057

1 what that means yet either. If a manufacturer, for
2 instance, has clinical data, if a society, a medical
3 society or whomever has additional information that
4 should be part of the evidence report, how is that
5 provided? Is it provided through HCFA or is it
6 provided directly to the contractor. These are just
7 small steps that we can improve in terms of how are w
8 going to get information to the people who are
9 preparing the evidence report.

10 DR. SOX: So, could we stop here and talk
11 about this?

12 MS. RICHNER: Sure.

13 DR. SOX: To see if we want to do this.
14 So one of your proposals if I understand it correctly
15 is that when you post the key questions, you would
16 have the name of some contact person at the
17 contractor; is that correct?

18 MS. RICHNER: Right. I think that's what
19 we described in the guidelines.

20 DR. BERGTHOLD: No, actually we discussed
21 this on the phone. I really feel strongly that the
22 comments should come to HCFA and not the contractor.
23 I don't think the contractor should be besieged with
24 industry sort of comments and trying to sift through
25 them. I think that should be organized and funneled

00058

1 through HCFA to the contractor that was -- so I'd

2 like to discuss that.

3 DR. SOX: Okay, good. So we have an item
4 that we can discuss. So we're going to get a barrage
5 of comments posted, coming by what, e-mail, to
6 somebody. And what do you think is going to happen
7 when all these come in? What's your vision of what
8 will happen, what would HCFA do?

9 DR. BERGTHOLD: That's a good question. I
10 guess --

11 DR. BROOK: Well, Linda, I'm just curious.
12 If a contractor has been picked to search through
13 evidence, what's your concern about, as long as the
14 contractor is getting paid to do this?

15 DR. BERGTHOLD: I guess it's more of a
16 public accountability. I guess it would be how would
17 -- let's say take from a consumer point of view, not
18 an industry point of view, the industry is supplying
19 the contractor with lots of new evidence studies but
20 it's not posted anywhere, it's all going directly to
21 the contractor. I belong let's say to some, you
22 know, consumer advocacy organization, I want to
23 advocate either for or against, I don't have any
24 access to this information. As long as it goes
25 through HCFA, at least gets organized, posted, is

00059

1 publicly available, then everyone can see sort of
2 what's going to the contractor.

3 You know, I have an image of sort of an
4 industry rep calling the contractor --

5 DR. BROOK: So it's not where it goes,
6 it's that it's posted at the same time that you're
7 concerned about. If we could work out a mechanism
8 where it went to the contractor to be included in
9 their review process as part of the report and at the
10 same time that that happens it's posted on the web,
11 you would be happy with that?

12 DR. BERGTHOLD: I think so, as long as for
13 example, the contractor's not getting telephone calls
14 from, you know, political representatives or industry
15 people lobbying them to approve or do something one
16 way. If I were ECRI, I would not want to be in a
17 position of getting tons of calls, I would want HCFA

18 to manage that, so that's my point.

19 DR. SOX: But on the other hand, if HCFA
20 manages it, that's also a problem from the standpoint
21 of industry who may fear that HCFA will manage it in
22 a way that's disadvantageous to industry. And that's
23 why Bob's suggestion of having two parallel tracks --

24 DR. HOLOHAN: I don't think Linda really
25 meant to use the word manage.

00060

1 DR. BERGTHOLD: No, I meant organize.

2 DR. BROOK: Collate, collect.

3 DR. BERGTHOLD: Good.

4 DR. SOX: Alan.

5 DR. GARBER: Just a couple of points.

6 First, I think that there is no drawback in having
7 industry directly contact the contractor. The
8 contractor is grown up and they should be able to
9 separate the wheat from the chaff themselves, and I
10 think it could give the wrong appearance if HCFA were
11 indeed managing that process. Although as a
12 contractor, I can see there would be some advantages,
13 I think it's more important to the contractors to
14 have access to all the information.

15 With regard to publishing the data or
16 making it publicly available, I think this
17 illustrates the danger of us trying to be too
18 detailed about what should be done. Let me describe
19 a common situation. Industry has access to a study
20 that is under review or will be published. This
21 information is clearly relevant to the decision
22 making process and any reasonable contractor would
23 want to have it available. At the same time for
24 reasons of journal publication and possibly
25 proprietary issues, they could not make it publicly

00061

1 available at that time.

2 Now to me, the key feature has to be if
3 this data will always be proprietary and in some
4 sense confidential, it should probably not play a
5 role in our process. But this is a case where it
6 will become publicly available at some point. It's a

7 disservice to the contractor to not make it available
8 to them. It's a disservice to the process as well, I
9 might add. And yet, that's what would happen if we
10 required posting it. So I know this is not a
11 situation that Linda is likely to have considered,
12 but having been involved in the Blue Cross/Blue
13 Shield process for many years, I know that this comes
14 up reasonably frequently, and we all want to know
15 what those studies show.

16 MS. RICHNER: We had this discussion in my
17 clinical group about this particular step because of
18 the proprietary information that we provide to the
19 FDA and I said well, perhaps in the coverage process,
20 this will also be an issue, where we want to provide
21 proprietary information. So I think in most
22 circumstances what we would have to do is assume that
23 the information will be publicly available and know
24 that, and would probably be limited not to provide
25 proprietary information to an ECRI or whatever as

00062

1 part of this.

2 DR. GARBER: Right. I think it has to be
3 available to the public at the time that the panel
4 begins its deliberations.

5 MS. RICHNER: Right, exactly. But it
6 doesn't necessarily have to be public. It can be,
7 you know --

8 DR. BROOK: It has to.

9 MS. RICHNER: It does not have to be
10 published information.

11 DR. BROOK: I believe that if we're taking
12 public testimony from people and everything we do is
13 in the public, we can't even have conversations among
14 ourselves that are not in the public forum --

15 MS. RICHNER: No, I didn't say public; I
16 said published.

17 DR. BROOK: Oh, I agree. So, is there a
18 disagreement that -- would everyone agree that we
19 could solve this problem by, the information goes to
20 the contractor but at the same time that the
21 information is sent, gets to the contractor, via a
22 web site or something, that it's posted and the

23 information is immediately sent to the contractor but
24 it's up on a publicly accessible web site of everyone
25 that's --

00063

1 DR. GARBNER: No, that's the situation I
2 was describing where it might be available at the
3 panel meets. The contractor begins substantially
4 earlier, so I would just like to amend the earlier
5 proposal to say that it has to be publicly available
6 by the time the panel meets, but I would not impose
7 publicly available at the time the contractor gets
8 it.

9 MS. RICHNER: Okay, I think that sounds
10 reasonable. And we also discussed that there would
11 be an appendix in the report, the evidence report,
12 that would include all of the information similar to
13 what we received in that massive volume.

14 DR. BROOK: Can I just -- you're trying to
15 protect something, Alan, and I don't understand what
16 you're trying to protect. Are you trying to protect
17 -- I believe that an industry rep would want to get
18 this stuff out immediately.

19 DR. GARBNER: No.

20 DR. BROOK: Well, what's the circumstances
21 they wouldn't?

22 DR. GARBNER: Well, some of the best
23 studies are in press or under review at major
24 journals, and it's the embargo policy that prevents
25 them from making them public.

00064

1 DR. BROOK: Well, studies, if this is the
2 academic issue of academic publication problem,
3 again, raising its hand and its effect on public
4 policy, we could discuss medical editors at some
5 other time, but the bottom line is that consumers --

6 DR. SOX: Oh no, we won't.

7 DR. BROOK: Well, the journal editors as
8 far as I know now have agreed that if you are asked
9 to testify in front of a state legislature where this
10 information is being used for a legitimate public
11 process, that that is not considered prior approval,

12 I mean that does not result in them pulling the
13 article from the journal. So I would come back and
14 use that statement, that this is a public process, it
15 happens to be an executive one, and if this evidence
16 is going to be included in the contractor's report,
17 it needs to go to consumers at that same time.

18 If it's not going to be included and just
19 be presented at the last moment at the meeting, so be
20 it. We have a lot of last moment stuff that's
21 included, but my understanding from direct
22 communication with some of the major journal editors
23 is that if this is a legislative process or an
24 executive process, that testimony and this kind of
25 stuff, that that information can be disclosed, and

00065

1 even if it's picked up by the press, it will not be
2 considered prior publication.

3 DR. GARBBER: Well, Bob, if it happens to
4 be true that you can post this in its entirety
5 without violating the embargo, I don't have a
6 problem. However, the contractor will need the
7 complete manuscript in virtually every case, and my
8 understanding is that in most of these public
9 settings, it is not nearly as complete as the full
10 manuscript.

11 If we could get enough of a clear signal
12 from the journal editors that would satisfy the
13 authors of these papers -- it's not just what's
14 reality, it's what their perception is about whether
15 this would affect publication, there's no problem,
16 but I think, I know that authors believe that this
17 would violate the embargo.

18 DR. BROOK: But that's their right. I
19 believe this is an open public process and our first
20 priority is not to the academic medical journals,
21 it's to the public, and therefore, any evidence that
22 wants to be considered in this process, the public
23 needs to see it at the same time that the contractor
24 is seeing it, and that if people want to do it at a
25 later time, that's their business. But the bottom

00066

1 line is, this is a public process, and we all agreed
2 and signed on to this as a public process, so I'm not
3 willing to say that, you know, for three months or
4 four months or six months that are going to -- you
5 know, that the contractor, if they want to look at it
6 fully and include it in the evidence report, I
7 believe it needs to be available to the consumers.

8 DR. GARBER: Well, Bob, I guess I really
9 disagree. I think this is a public process and it's
10 important to preserve the public process, and I don't
11 think we should have the contractors shoot themselves
12 in the foot in order to make this data publicly
13 available somewhat earlier. If this in any way
14 diminishes the amount of information the contractors
15 have available in order to carry out their
16 responsibilities, we will harm this process, we will
17 not be providing a benefit to the public if nobody
18 gets this information until the articles are actually
19 published in the journals.

20 DR. SOX: Wouldn't it be reasonable to --
21 I mean, I think I'm hearing Bob say that when you
22 send a manuscript to the contractor, effectively you
23 should post it on the web site so it's publicly
24 available in full. And I guess you'd have to ask
25 yourself, what is really the purpose of doing that

00067

1 and certainly one the purposes of doing that is to
2 make sure that people whose interests are at stake
3 don't get surprised at the last minute when you
4 actually get into a forum where we end up with a vote
5 that could be adverse to their interest. And so, if
6 that's a correct statement of the purpose of public
7 availability, then having the evidence report on the
8 web site ten days or so before a panel meeting seems
9 to me to give adequate notice so that people don't
10 come in surprised and get to an unfair advantage.

11 Let's see, we're -- so, I guess I'm
12 proposing that for manuscripts that are unpublished,
13 that they need not be published on the web in advance
14 of the meeting. As Alan said, that may deprive us of
15 important information that could be prejudicial to
16 somebody's interest.

17 MS. RICHNER: There's probably a
18 compromise to this in some respects. If it's a
19 publication of clinical trial results for instance,
20 there may be a way to work with the manufacturer and
21 the authors to provide the data without the
22 interpretative results of a publication perhaps. I
23 don't know. I mean, there may be some other
24 alternatives.

25 DR. SOX: Okay. Now we're going to stay

00068

1 with this issue and we'll just start with Leslie, who
2 has been waiting a long time to comment, and see if
3 we can't get to consensus.

4 DR. FRANCIS: Well, I actually wanted to
5 raise another issue about stuff getting into the
6 picture.

7 DR. SOX: Let's stay with this one first.

8 DR. FRANCIS: We'll finish this one first.

9 DR. SOX: John.

10 DR. FERGUSON: This has been an old issue
11 at the consensus program at the NIH, whether authors
12 giving information on a public forum that hadn't been
13 published yet, and I think it needs to be handled on
14 a case by case basis. There were very few times that
15 an author refused to make something in paper
16 available that they presented to the body because
17 they were afraid of publication, and most of the time
18 charts and basic data could be given to the
19 contractor or however you want to manage that, but I
20 think that most of the time that data can be useful,
21 and obviously it hasn't been interpreted as you say,
22 it hasn't gone through a final revision for a
23 publication paper, so I think that this can be done,
24 and there's an old history of it at the NIH in their
25 consensus program.

00069

1 And the second thing I would like to say
2 is that I would like Randel's diagram, I hope to be
3 available to the panel, because it's a very nice way
4 to discuss all these things.

5 MS. RICHNER: Thank you. It was a lot of

6 work.

7 DR. SOX: Okay. Other comments?

8 DR. McNEIL: Actually, I agree with Alan's
9 position on this. I think if we were to withhold
10 data from the contractor because investigators
11 perceived that their article might not be published,
12 or worse still, if they thought that it could be
13 copied, that in many situations, it's pretty easy to
14 quickly ramp up and do a copycat study, I think that
15 there is a real issue of not getting data to the
16 contractor. So I think your proposal of putting it
17 on the web or some place ten days before, whenever,
18 is fine, but I don't think it needs to be published
19 at the time the contractor gets the information. I
20 think that will do a disfavor.

21 DR. SOX: Okay. So I'm just going to
22 summarize what I think are the key points here and
23 we'll see if we can get to consensus. People who
24 want to have information that's unpublished be
25 incorporated or considered for incorporation into the

00070

1 evidence report will send that information to the
2 contractor and send it to HCFA. HCFA will publish it
3 on the web with the exception of manuscripts that are
4 under review or in press. However, we do expect
5 authors of such manuscripts to make the full
6 manuscript available to the contractor so they have
7 all the information necessary to draw a judgment
8 about the relevance of the research report to the
9 evidence report.

10 DR. BROOK: Can I ask a question, Hal?

11 DR. SOX: Wait a minute, let me finish.

12 DR. BROOK: Let me just understand, how
13 does this work? Let's say I've done the only
14 randomized trial of the stuff that's coming in front
15 of this committee. All the relative literature is
16 ridiculous compared to this one trial, funded by a
17 manufacturer, in peer review, and it's part of the
18 contractor's report, and he says all the other
19 evidence is meaningless. Comes to the panel meeting
20 and it still hasn't got rejected from JAMA, and now
21 what? And we're trying to -- they're trying to make

22 a discussion.

23 MS. RICHNER: I like John's idea of case
24 by case.

25 DR. BROOK: I'm giving a case.

00071

1 DR. SOX: Well, I think he's right, Bob.
2 I think this is an issue that needs to be taken up
3 with the panel chair and with the chair of the
4 Executive Committee, and work through it on a case by
5 case basis and the best that we can we do is lay out
6 some general guidelines. And what I'd like to do,
7 because I really want to --

8 DR. BROOK: I want to know what our
9 general guidelines are going to be. Let's say the
10 panel chair says that's right, we're going to -- we
11 can't share the full manuscript with you, I've seen
12 it, two people have seen it, based on this, we're
13 going to approve this.

14 DR. SOX: Yes, Alan?

15 DR. GARBNER: I agree it needs to be done
16 on a case by case basis, but let me say what approach
17 I believe we should take in the case that Bob raises,
18 and that is, at the time the contractor begins the
19 report, we get a commitment from the authors, because
20 the panel dates are set well in advance, that it can
21 be made public at the time. If the answer is no, or
22 it's got a lot of contingencies that we cannot
23 necessarily guarantee will be met, then it will not
24 be considered, and HCFA might decide well, we will
25 defer consideration of this issue, or they might

00072

1 decide to go ahead. But it's very simple. We get a
2 commitment that it can be released publicly, say ten
3 days ahead of the panel meeting and if they say no,
4 then the contractor may choose not to consider that
5 information.

6 MS. RICHNER: That seems reasonable to me.

7 DR. SOX: May or shouldn't? I mean, if it
8 can't be available for discussion at the public
9 forum, it should not be incorporated into the
10 evidence report, seems to me.

11 DR. GARBER: Yeah, that's probably right.

12 MS. RICHNER: Another issue here. There
13 were very few timing outlines, but this was one of
14 the time lines. It said posted for only one week and
15 I don't think that's actually, I have to say, I don't
16 think that's enough time right there. I think we
17 need a little more time for, you know, once the
18 questions are posted and the contractor information
19 is available, getting information to the contractor
20 should be longer than a one-week time frame.

21 DR. BROOK: Could I just go back to this?
22 Linda, are you okay with your consumers not getting
23 this information until ten days -- that the
24 contractor has this information earlier than the
25 consumers?

00073

1 DR. BERGTHOLD: I'm feeling uncomfortable
2 about it. I don't know. I'm trying to think about
3 what other kinds of things, I mean, it takes consumer
4 organizations a lot longer sometimes to mobilize and
5 react. I would actually like to have some reaction
6 about that. If the industry knows about this and the
7 contractor knows about this, but the so-called public
8 doesn't know about this until ten days, I am
9 uncomfortable about that, but I don't know what to do
10 about it other than it would be, it would go to HCFA
11 and something would be posted as to what was being
12 sent to the contractor so that people could ask for
13 that information.

14 I also would just like to ask the group to
15 react to, are there other kinds of information and
16 lobbying activities, for example, quasi-clinical,
17 quasi-political lobbying that might go on to a
18 contractor during this proses? I don't know, you
19 know, for example -- I guess Wade is gone.

20 DR. SOX: Linda, I'm going to ask you to
21 hold that idea, because I want to get through this
22 business of dealing with unpublished manuscripts and
23 get the yes on that, if possible.

24 DR. BERGTHOLD: Okay. That may be
25 Leslie's other issue too. Okay.

00074

1 DR. SOX: So basically you're saying
2 you're uncomfortable with consumer groups having a
3 relatively limited time to mobilize, but that
4 maybe -- in a way it's the issue of competing public
5 good, is it more important to have access to a
6 potentially important manuscript to shape the
7 evidence report, but that may come at the expense of
8 some mobilization time, for public good, so I think
9 we have to form a judgment about that.

10 DR. BERGTHOLD: It gives a huge advantage
11 to industry, which has an advantage already. They
12 have advantage in terms of money and lobbying
13 organizations and so forth. They will know about
14 these reports presumably, before --

15 MS. RICHNER: (Inaudible) advantage or
16 disadvantage. I mean, what we're talking about
17 essentially is whether or not there's going to be
18 clinical data to support a technology available at
19 the time of a report. Now the possibility would be
20 that we, you know, if we have a consumer group such
21 as a female incontinence society, would be very
22 interested in having that technology supported, that
23 perhaps they would ask for a wait to delay the report
24 until the evidence is available publicly. I mean,
25 there's other alternatives to this.

00075

1 DR. BERGTHOLD: Just clear one thing up
2 for me. If a certain company does a research study
3 and it's proprietary, or it's about to be released,
4 and they share that with the contractor. That means
5 they don't share it with other industry reps, they
6 just share it with the contractor, is that right? So
7 the only people who would have access to that would
8 be the contractor and the people that did the study,
9 right?

10 DR. SOX: Right.

11 DR. BERGTHOLD: We're not talking about --

12 MS. RICHNER: We've already gone through
13 an FDA process too, and we have to know, you know,
14 before we'd be coming to the coverage group, we've
15 already been approved by the FDA.

16 DR. HOLOHAN: Yeah, but that doesn't mean
17 the FDA approval was based on evidence, we all know
18 that. Most devices are 5-10Ks.

19 MS. RICHNER: That's right.

20 DR. HOLOHAN: Okay. So there's no
21 evidence.

22 MS. RICHNER: I wouldn't say a blanket
23 statement such as that.

24 DR. HOLOHAN: Well, I will.

25 DR. SOX: Okay, Leslie, you have been

00076

1 waiting.

2 DR. FRANCIS: Just a couple points about
3 consumer groups. I mean, they may agree that they
4 would like to support the technology but they might
5 not, and so to the extent to which consumer groups
6 are going to want to say a variety of different kinds
7 of things, it could be problematic not to have them
8 have access to it. The other thing that has been
9 worrying me, and it's partly relevant here but it's
10 also relevant to the other kinds of issues that I
11 think Linda is raising, is that consumer groups may
12 have a special concern about particular types of
13 patients and access to a technology.

14 For example, they may have concerns about
15 local carriers turning down any request for coverage
16 when a patient has Alzheimer's disease. I gather
17 that happens on a pretty regular basis with respect
18 to some kinds of requests for coverage. And so, one
19 of the things they might want to be able to do is get
20 questions posed that deal with that kind of question,
21 looking at whether or not it's a good idea to include
22 or exclude certain groups of patients, you know,
23 addressing that in some way, and that may be relevant
24 to looking at clinical studies to see whether they
25 controlled for that condition or whether they

00077

1 addressed that kind of issue. It's also relevant
2 later to how we might want to get public comment in
3 there.

4 DR. SOX: Responses to what Leslie said,

5 anybody want to respond to that?

6 DR. BROOK: Yeah. The only articles we
7 are talking about that fall into this category of
8 response would be that the article has been accepted,
9 revision has been accepted, and a publication date
10 has been set. Any author will not -- so this is not
11 articles under review or have been completed, or are
12 being revised, because under all of those conditions,
13 you can't control the medical editors. So the only
14 article that this affects, now, you will know the
15 publication date when you have to sign this agreement
16 with the contractor if you believe that this is going
17 to be pulled.

18 And all this is is the question of where
19 in that process, is it at the same time, is it ten
20 days, is it a month before, that we agree to do this?
21 And I agree, they may or may not want to support the
22 technology. The question here is, do we want to
23 leave it up to the panel chair and say that it has to
24 be by the date, at least ten days before the meeting,
25 but it still doesn't have the data. Now it really

00078

1 would be useful if the government really -- the
2 industry funding some of this technology stuff is,
3 and it would really be nice if the government took on
4 the medical editors and said, for a public process
5 like this to decide coverage, that it expects all the
6 people that have grants or contracts to comply and
7 submit information, and not wait for a year and a
8 half until it's published. And that's what I'm
9 worried about, or it's under review.

10 The timing between completion of a
11 manuscript and publication could be two years, it
12 could be two years. And we're only talking about 10
13 percent of this, because we're talking about the
14 group of stuff that already has a publication date,
15 Hal. I mean if you really think about this, it has
16 nothing to do with manuscripts under review and it
17 has nothing to do with manuscripts that say please
18 revise, and nothing to do with manuscripts that the
19 publication date is set after the panel meeting,
20 which is very characteristic, so it would only have

21 to do with one or two key manuscripts.

22 DR. SOX: Okay. Let's continue the
23 discussion. Do you want to respond to Leslie's
24 point?

25 DR. GARBBER: Yeah. I think Leslie's, I'm

00079

1 not sure if it was her second point or an elaboration
2 of the first, but with regard to the consumer group
3 about specific groups of patients, that really comes
4 down to input into framing of the questions rather
5 than the types of data, and absolutely that needs to
6 be done, and that's a different part of the report
7 really, but it's about -- well, no, it's not, it's
8 right here, the key questions 2.A and 2.B, so that's
9 important, and I think it bears underscoring the
10 effort that we should make to insure that they have
11 input to the framing of the questions.

12 But a part of Leslie's question I think
13 was addressing Linda's point that the consumer groups
14 should get this information as early as possible and
15 I agree with that.

16 Now let me just remind everyone that these
17 I think remain interim guidelines and we have two
18 paths that we're really discussing. One is to only
19 consider data that can be publicly posted at the time
20 the contractor gets it. The other option under
21 discussion is only data that can be publicly posted
22 when the evidence report is posted.

23 And the question we face, and Hal has
24 phrased this as two competing public goods, and my
25 suggestion is that first of all, if we find out we

00080

1 have problems with whatever choice we make, we should
2 change the rules at that point. So if we find out
3 that consumer groups feel that they are ill served by
4 this process, if we go with the option of posting at
5 the time of the evidence report, we should revisit it
6 and we should certainly change it. I am urging that
7 we go with posting at the time that the evidence
8 report is posted, because my sense is consumer
9 groups, despite the concerns both you and Linda have

10 raised, will not find that this process does them a
11 disservice. But if they do and we go this route, we
12 should change it.

13 DR. SOX: I'm eager to vote on this and
14 I'm going to ask Alan while we're continuing this
15 discussion to try to jot down notes so he can make a
16 proposal that kind of incorporates everything. I
17 think we're really getting pretty close here and this
18 has really been very valuable.

19 DR. BERGTHOLD: Can I ask one more
20 clarifying question about this?

21 DR. SOX: Well, we're not ready to vote
22 yet.

23 DR. BERGTHOLD: Oh, okay.

24 DR. SOX: So, I want to take people in
25 turn. John.

00081

1 DR. FERGUSON: Just a question to HCFA.
2 When do you announce that a meeting is going to take
3 place, a panel meeting on an issue? Is this several
4 months? You have to put it in the Federal Register,
5 I think, and when do you put it on the Internet,
6 because that's when all the various consumer groups
7 and everybody else that wants to have input might
8 contact you.

9 MS. CONRAD: John, we set a date, we find
10 a place to hold the meetings, and contact the panel
11 members. They are our first priority. Then we
12 publish a Federal Register and put it, we publish the
13 Federal Register notice and we post our intent on the
14 web site at the same time.

15 DR. FERGUSON: And that's generally what,
16 two to six months before a meeting, or what are we
17 talking about?

18 MS. CONRAD: We try to do it at least ten
19 weeks before a meeting.

20 DR. FERGUSON: Thank you.

21 DR. SOX: Other comments? Linda.

22 DR. BERGTHOLD: Let me just ask a
23 question. When we talk about evidence going to the
24 contractors or information going to the contractors,
25 is there any requirement, do we have any requirement

00082

1 that all information going to the contractor gets
2 disclosed? What I was also talking about, not just
3 published studies, but what if a senator or
4 representative decides to call, or have somebody call
5 the contractor to tell them that they are
6 particularly interested, that they find that evidence
7 is good, which is something that has actually
8 happened occasionally from time to time, more than
9 occasionally. Does that get disclosed in this sort
10 of -- where does that get disclosed, in the evidence
11 report that the contractor prepares, I received a
12 call from --

13 DR. GARBER: In the Washington Post.

14 DR. TUNIS: I mean, from a practical point
15 of view, we don't post on the web every piece of
16 paper we get on a topic. As far as what we are --
17 however, everything that we get is obtainable through
18 the Freedom of Information Act and basically if
19 anybody asks for something that we would release
20 under FOIA, we'll give it to them immediately as
21 opposed to having --

22 DR. BERGTHOLD: I'm not about you so much.

23 DR. TUNIS: But letters from senators,
24 et cetera, we don't tend to post, it doesn't mean
25 they're not publicly available, you just have to ask

00083

1 for them.

2 DR. HOLOHAN: But you have to know they
3 exist before you can ask.

4 DR. TUNIS: Yeah, but you know, you can
5 make some good guesses about things that exist, but
6 anyway, yes, that's true.

7 DR. BERGTHOLD: That was one of my points
8 about sort of funneling things, I didn't mean to say
9 managing, but funneling things through HCFA is there
10 is public accountability at HCFA, you could get it.
11 Now we're saying that anyone could contact ECRI or
12 Blue Cross TEC, or the evidence practice centers and
13 tell them what they think about this study as long as
14 they know that's the entity doing the review. And we

15 won't know, we being the public, won't have any idea
16 that there are these letters, these phone calls, and
17 is there anyplace where the evidence -- what did we
18 call this -- the evidence contractor has to disclose
19 who they have been contacted by? They don't have to,
20 do they?

21 DR. TUNIS: No, the contractor has been --
22 I mean in the past the contractors have not tended to
23 have been contacted by the interest groups to a great
24 extent, and certainly not provided anything other
25 than --

00084

1 DR. BERGTHOLD: I know, but now we are
2 basically opening them up to lots of contact, right?
3 We're telling the whole world that ECRI is doing this
4 evidence report and we're telling everybody, you can
5 call ECRI and tell them what you think.

6 MS. RICHNER: Alan, from your experience
7 being on Blue Cross, I mean certainly this is an
8 established process for many years. I mean, one
9 thing Blue Cross does is that they have an open
10 hearing when they're preparing the reports and
11 provide an opportunity for people just like this to
12 come and provide lots of information to them. I
13 mean, you have to put this in perspective. I mean, I
14 don't know if this is going to be as severe as you
15 think, but I don't know.

16 DR. SOX: Alan, do you want to respond to
17 Linda's point?

18 DR. GARBNER: Yeah. I mean, there is a big
19 difference between this and the Blue Cross/Blue
20 Shield process in that the Blue Cross/Blue Shield
21 process is not open, it's not public, and there
22 doesn't ever need to be any public disclosure on the
23 terms of the process.

24 By my concern about -- I think Linda's
25 concerns may be valid since this has political

00085

1 aspects in the process, but there are so many
2 contacts that are of the nature where the contractor
3 wants some technical information about study design

4 and so on, where I don't think any reasonable person
5 would say the issue was influence, it's just getting
6 some facts out there. And I'm afraid that if you
7 impose too many requirements in the way of making
8 this public, you're going to interfere with that
9 process, so there's this balance that needs to be
10 struck.

11 I don't have any great solution, but in
12 terms of a record for initial contact, we could ask
13 that all initial contacts with the contractor be by
14 e-mail so that there is public documentation with a
15 copy to somebody at HCFA, but I would not be so
16 restrictive about subsequent contacts with people who
17 have already been identified by that means, simply
18 because I am afraid that might deter the information
19 transmission process. I haven't thought about this
20 much and I don't know whether that's the right
21 solution. I understand the need to have some record
22 of at least which groups contacted them, but I'm
23 afraid anything more extensive than that would be too
24 cumbersome.

25 DR. BERGTHOLD: Then that's okay.

00086

1 DR. SOX: My sense is that you know, these
2 are interim guidelines, they're always going to be
3 interim and always changeable if we run into trouble
4 and we make our best guess, and then change later.

5 Alan, I'd like you to, if you could, just
6 summarize the essence of the proposal here so we
7 could take a straw vote and move on.

8 DR. GARBER: Well, I will give my version
9 and I hope this reflects the sense of the Executive
10 Committee, that contractor information should be
11 released to the public at the time that the evidence
12 report is released to the public, and this is
13 something about which we haven't had much discussion,
14 and that evidence that cannot be released to the
15 public at the time the evidence report is posted may
16 not be considered. Does that reflect the sense of
17 the Executive Committee?

18 DR. SOX: Yeah. And in a way, all the
19 other things we talked about, about editors and so

20 forth are kind of --

21 DR. GARBER: Subsumed.

22 DR. SOX: -- details, but that's the
23 essential principle, and probably dealing with
24 principles rather than details will serve us well.

25 DR. TUNIS: Can I ask a clarifying

00087

1 question in terms of -- a key issue here is that the
2 reason for not releasing some information to the
3 public would be because it was proprietary
4 information that's prepublication, or is the issue
5 around that the information is proprietary and it
6 wouldn't want to be released, or is it about the
7 potential impact on publication?

8 DR. GARBER: I think it could be either
9 and I don't think that we want to get into that,
10 because for our purposes, this is a public process
11 and has to be publicly available at some point.

12 DR. TUNIS: Yeah. Well, the only reason I
13 raise it is that in the, in this new benefits
14 improvement act, that has some statutory language on
15 release of information and proprietary data is
16 specifically excluded from needing to be released.
17 And so it actually, even though -- it says, the
18 language is, all the information used to make these
19 coverage recommendations, coverage decisions, must be
20 made available -- make available to the public the
21 data other than proprietary data considered in making
22 the determination. So there's actually a statutory
23 protection against the requirement.

24 Now you as an Executive Committee can
25 obviously decide you won't consider --

00088

1 DR. GARBER: Let me just say how I
2 personally would respond to that, and that is that if
3 it were to remain proprietary, I think we have lost
4 nothing by excluding it from the process. Let me
5 add, that's based in part on Blue Cross/Blue Shield
6 process, which does -- it looks at proprietary
7 information, and I can't think off hand, and Barbara
8 might correct me on this, but I can't offhand think

9 of a single example where proprietary information
10 itself would have swayed the decision ever, because
11 virtually all compelling studies get published.

12 DR. TUNIS: I just wanted to make it clear
13 that an issue here is not that we would be legally
14 required to release it, that's not an issue, but you
15 could still decide that you would not choose to
16 consider it.

17 DR. GARBER: Right, and I think that we
18 would want the language to be consistent with the
19 legislation.

20 DR. SOX: Again, we're going to take a
21 straw vote on the principle that Bob has, or that
22 John, that Alan has suggested, and all the discussion
23 ought to focus on that, and we can do it. Bob?

24 DR. BROOK: Just to make sure we know what
25 we're doing, the vast amount of unpublished data that

00089

1 we will get under this rule of protecting the medical
2 editors is stuff that's favorable to the technology,
3 because the only group that will push the
4 investigators to release that data under any
5 circumstance that may compromise the ability of the
6 authors to get their ego massage and published in
7 peer review journals of higher quality because of the
8 editors will be those that the industry supports, and
9 those would be ones that would be positive. The
10 industry is not going to push for somebody, they're
11 going to hide behind this banner and say look, you
12 don't have to release the data when the study is
13 negative, so the studies that we're going to get, the
14 unpublished studies will be biased, even though they
15 may be important, they will be biased toward showing
16 efficacy and effectiveness. We need to know that
17 unless we can figure out a way of balancing this out,
18 and I would just urge that when the committee, when
19 the contractor looks at unpublished data, they know
20 that given this rule and how we're tackling it, that
21 that's what's going to occur.

22 There is no incentive to have a -- you
23 know, if you're working with the New England Journal
24 on a negative study, there's no incentive to share it

25 with anybody, because it compromises your ability to

00090

1 get it published, and there's nobody that's going to
2 pressure you to share it with this committee. And
3 that really bothers me, especially when a large
4 number of the negative studies may actually be funded
5 by government money that will affect the other part
6 of government making millions of dollars and maybe
7 even a billion dollars worth of decisions about
8 coverage, and that's sad.

9 DR. SOX: Mike?

10 DR. BROOK: All because of the tyranny of
11 the medical editors.

12 DR. MAVES: I actually like Alan's
13 language and support that, and in fact I was a little
14 concerned about this, and I think I join with Bob in
15 some concerns. One of them was what the role of the
16 peer review process would be of the journal but as
17 both Bob and Alan have indicated, these would only be
18 papers that have a set publication date, so that part
19 of the peer review process has come about. I think
20 releasing these to the public affords the second peer
21 review which we've all seen, and that is the
22 commentary by other individuals in the science or
23 from lay people to comment on that.

24 The only concern I have, I'm sure we've
25 all seen this and I have been party to some of these,

00091

1 where we design what we think is the seminal study
2 and we're certain that it's going to be the key piece
3 of information. That may or may not occur, but I
4 think that's going to be a decision that's going to
5 have to be left to that panel and eventually to the
6 Executive Committee, if we are given that right to do
7 that later on.

8 So, I actually think it's a reasonable
9 compromise. I understand Bob's concern that you're
10 only going to get positive studies on this, but it
11 also I think serves as a check for not putting in
12 information that's not at least been through the
13 first part of the peer review process.

14 DR. SOX: Any other comments before we
15 vote?

16 DR. BERGTHOLD: I just need to clarify.
17 How can you incorporate public comment at 2.B if you
18 don't post the information until 2.F?

19 MS. RICHNER: No, no. 2.B is drafting the
20 question that is going to be posed to the contractor.

21 DR. BERGTHOLD: But the contractor contact
22 information -- oh, I see. You just tell who the
23 contractor is going to be?

24 MS. RICHNER: Right.

25 DR. BERGTHOLD: And then between 2.B and

00092

1 2.F, the contractor does the evidence report.

2 MS. RICHNER: Exactly. This is the detail
3 of our interim guidelines.

4 DR. BERGTHOLD: And not until 2.F then,
5 does the general public know what it was that the
6 contractor looked at?

7 MS. RICHNER: No, because if the questions
8 are posed properly --

9 DR. BERGTHOLD: The questions are posed,
10 but not the kinds of studies or the kinds of contacts
11 or information.

12 MS. RICHNER: Right. I mean, this is
13 something that we should probably think about in
14 terms of if we want to develop this a little more.

15 DR. BERGTHOLD: I think we should just --
16 I'll leave this now, but I would -- Leslie may have a
17 better idea, but I would at least like this flagged
18 as something we would, as we go through this process,
19 we want to look at, to see whether or not it really
20 works out the way we hope it will.

21 DR. FRANCIS: Presumably what's happening
22 between 2.B and 2.F is in part that the contractor is
23 out there looking for the information. It's not like
24 the contractor gets this bolus of information at 2.B,
25 so you couldn't post all the studies. I mean, part

00093

1 of what you hire the contractor to do is to look for
2 the studies. But, the issue that I think is

3 important here is to be sure that they get the
4 studies, and that -- well, first that they've got the
5 questions framed in the way we want to have the
6 questions framed which, you know, you may have one
7 take on it, I may have some other takes, you know, we
8 all have different takes on that, and also though,
9 that there is enough time for people to be sure the
10 questions are framed right or that more questions
11 could get framed if need be, and to understand what
12 information would be helpful to try to make sure that
13 the evidence folks get.

14 MS. RICHNER: That's actually a very
15 important point, because in terms of once those draft
16 questions are posted on the net, who then decides
17 whether or not those questions need to be modified,
18 will it be the panel chair, will it go back to the
19 panel chair after they get public comment on how the
20 questions were posed? Who decides that they may need
21 to be changed?

22 DR. SOX: Well, the same people who are
23 responsible for formulating them in the first place,
24 which is --

25 MS. RICHNER: Which would be the panel

00094

1 chair and vice chair.

2 DR. SOX: And the evidence report
3 contractor.

4 MS. RICHNER: Okay. That's not
5 delineated, and so I'm thinking perhaps there should
6 be some kind of process delineated for that.

7 DR. SOX: Let's vote on Alan's principles
8 before we start spinning off into other orbits.
9 Anybody else want to make a comment on Alan's
10 principles before we vote? All in favor of his
11 principles, raise your hand. This is a straw vote,
12 even I can vote on this. Anybody opposed? Anybody
13 abstaining?

14 DR. ALFORD-SMITH: I'm just confused.

15 DR. SOX: Would you like a restatement?

16 DR. ALFORD-SMITH: Please.

17 DR. SOX: Please, Alan, a restatement for
18 Daisy.

19 DR. GARBBER: That contractor information
20 is released to the public at the time that the
21 evidence report is released to the public, and I
22 forgot my wording on the other part.

23 DR. SOX: That was the best part.

24 MS. RICHNER: And information that cannot
25 be released cannot be considered.

00095

1 DR. GARBBER: Oh, yes. And information
2 that cannot be released to the public at the time
3 that the evidence report is released to the public
4 cannot be considered.

5 DR. SOX: By the contractor.

6 DR. ALFORD-SMITH: So that's what we
7 voted on?

8 DR. SOX: Yes. Would you like to vote?

9 DR. ALFORD-SMITH: I'm in favor.

10 DR. SOX: Okay. So we have decided that
11 now, a really important discussion that took us some
12 good places. So now we need to move on, and -- yes,
13 Barbara.

14 DR. McNEIL: Could I just ask something
15 for clarification, Hal? I could imagine we could be
16 here until Sunday going through, so the question I
17 have for you is, are these going to be interim
18 recommendations or are these final recommendations at
19 the end of this whole process? What is the process
20 by which these get fine tuned after we put these out?

21 DR. SOX: Same process.

22 DR. McNEIL: So we don't have to feel
23 compelled to solve every single problem today?

24 DR. SOX: No. It's going to be a rolling
25 process. We will probably revise them yearly until

00096

1 we get to some point --

2 DR. McNEIL: I have the feeling -- the
3 reason I ask this, I have the feeling that some of
4 the issues that we may raise today are going to
5 become much clearer as we move along, and to try to
6 force feed answers right now is going to do us a
7 disservice.

8 DR. SOX: I think a number of people have
9 said what Barbara is saying, let's lay it out the
10 best we can and try it out and if it doesn't work,
11 then we're going to have to change it, we should, so
12 that's a good reminder as we try to move forward.
13 But again, I think this was, it took us an hour to
14 work through this, we ought to try to go faster next
15 time, but it was a very important discussion, and
16 thank you, Bob, for getting us onto it.

17 DR. FERGUSON: Hal, question, just one
18 question. Am I to understand correctly that the
19 public announcement that this meeting is going to
20 take place occurs before this slide; is that correct?
21 In other words, at the time you announce there is
22 going to be this issue tackled by MCAC in the Federal
23 Register and on the web, that no contractor has been
24 asked already; is that correct?

25 MS. CONRAD: That's correct.

00097

1 MS. RICHNER: That can't be right, Connie,
2 because the reason is that what we are talking about
3 is preparation of the evidence report, which could
4 take months and months and months.

5 DR. FERGUSON: So that means that HCFA
6 contacts the contractor months and months before
7 announcing that the meeting is going to take place?

8 DR. TUNIS: Well, I think there is, again,
9 there's many pieces to this process and it's even
10 changing because the role of the EC is changing as
11 well. Typically we have decided to send something
12 for a TEC assessment long before either the EC,
13 anyone on the MCAC knows that we're even addressing
14 the issue. So what we're potentially proposing here,
15 I think it sounds like it's on the table, is that the
16 Executive Committee might be getting involved much
17 earlier in this process to give us some guidance, you
18 know, earlier on before we even commission an
19 assessment report on this aspect of setting up the
20 assessment report, if I'm understanding this
21 correctly. But generally in the past, MCAC hasn't
22 been involved in this part of the process at all.

23 DR. SOX: Which part?

24 DR. TUNIS: The part of scoping out the
25 questions for the evidence report, you know, the

00098

1 Executive Committee or the MCAC doesn't even,
2 wouldn't even have knowledge that a particular topic
3 has come in, been accepted for a coverage decision
4 until we are much further along and even close to
5 having a draft of an evidence report.

6 DR. SOX: But the panel chair will be
7 involved, will he or she not?

8 MS. RICHNER: This is what we have written
9 in our interim guidelines and we're presuming that we
10 are part of perhaps a new technology assessment for
11 the panels, which is separate from what you have
12 already triggered at HCFA.

13 DR. SOX: We have written something that
14 makes a lot of sense, but we haven't implemented it
15 at all yet, and I think in the matter of getting the
16 panel chair and vice chair involved in formulating
17 the key questions and the analytic framework, that's
18 really important, because otherwise, the evidence
19 report may be looking in this direction when this is
20 the right direction to be looking at.

21 DR. TUNIS: Yeah. I mean, if you think
22 about the ambulatory blood pressure monitoring report
23 we had yesterday, which was quite a useful report,
24 but you as the panel chair had no involvement, you
25 had never seen that report until you got it

00099

1 finalized, I assume, in the package with everybody
2 else.

3 DR. SOX: I saw it a little earlier.

4 DR. TUNIS: But I mean, you weren't
5 involved obviously in the early part of the process
6 before that.

7 DR. SOX: Well, the thing is, we
8 formulated the key questions and the analytic
9 framework well after the evidence report had been
10 written, but in time to organize the meeting around
11 those key questions.

12 Okay. So, let's go on, Randel.

13 MS. RICHNER: I've got more here. What we
14 had first was the step about preparation of the
15 evidence report. Now we get into the whole thing
16 about the review, and the review -- actually what I'm
17 going to do --

18 DR. FRANCIS: Before you go on, can I --
19 there was that other question about, that Linda and I
20 were raising, about input into the formulation of the
21 questions from consumer groups who take a while to
22 get organized, and I just want to be sure that there
23 is -- that we don't have something that's so
24 formalized and sort of written in stone so that we
25 can't have a way to get more dialogue about the right

00100

1 questions, and the way you're framing it, it got --
2 you know, I mean often what will happen is that a
3 request for national coverage, as I understand it,
4 requests for a national coverage decision may well
5 come in from industry, right?

6 MS. RICHNER: Or a position group.

7 DR. FRANCIS: Yeah. It's likely to come
8 in from -- now, consumer groups might do it, but it's
9 likely to come in from somebody who has an organized
10 economic interest.

11 MS. RICHNER: Well, you know, medical
12 societies are --

13 DR. FRANCIS: Yeah, sometimes, okay.

14 MS. RICHNER: (Inaudible.)

15 DR. FRANCIS: No, that's true. But I just
16 want to be sure on that other side that we're, we
17 have a way to get at the questions that are affecting
18 how people actually get care.

19 DR. SOX: The process that we've outlined
20 and that we are going to some day ad here to is that
21 before the evidence report even gets started, we
22 formulate the key questions, we post them on the web,
23 we get time for public comment, we modify them as
24 needed, repost them, and then start the evidence
25 report to focus on these key questions. That's the

00101

1 process.

2 DR. FRANCIS: Right. And we might want to
3 have just a kind of continuing invitation to groups
4 that might want to be sure that questions -- some
5 sort of continuing opportunity for dialogue on
6 questions while the evidence process is going on. I
7 mean, it may not be possible to get them fed into the
8 evidence report, but it might be still be helpful to
9 the panel in its deliberations.

10 DR. SOX: Okay. Randel, tell us where
11 you're taking us, because I think everybody is kind
12 of worried that it's going to be six o'clock and the
13 snow is going to be 12 inches deep.

14 MS. RICHNER: I'm sorry, but the other big
15 chunk of our interim guidelines is the review process
16 of the evidence reports.

17 DR. SOX: That's next.

18 MS. RICHNER: And this is next. And what
19 I've tried to do is summarize where we have written
20 in the interim guidelines, there's actually four
21 different reviews of the evidence report, so this
22 slide sort of summarizes that. What we've built in,
23 Hal, are MCAC, we've suggested that there should be
24 the MCAC panel nominates two primary reviewers from
25 the panel to review the evidence report. Then we've

00102

1 also said that there will be three, two to three
2 external reviewers, that's in another part of our
3 interim guidelines. And then there is the MCAC panel
4 member review of the evidence report, and then
5 there's the public comment on the evidence report.
6 So we actually have four different mechanisms we've
7 written in the interim guidelines of review of the
8 evidence report.

9 And the issue is, how is that going to be
10 essentially consolidated, and it's a concern because
11 if you look, this is what we've written in the
12 external review of the evidence report. We've
13 detailed five different steps and it's rather
14 confusing. And so, that's why I wanted to make sure
15 that we were all clear about what we have mandated in
16 the guidelines for the review process, because I'm
17 not sure how we're going to then consolidate those

18 reviews, especially if we get a negative --

19 DR. SOX: Let's go back to the slide you
20 just showed earlier where you raised that question
21 and we can talk about it. So, why don't I tell you
22 what I think?

23 MS. RICHNER: Okay.

24 DR. SOX: The external reviewers prepare a
25 written report that's made available to the public

00103

1 and to the panel 10 days or whatever the timing is
2 before the panel meets. So it's part of what they
3 read in preparation for the meeting.

4 MS. RICHNER: And those are nominated by
5 the Executive Committee, that's what it says in the
6 guidelines, the Executive Committee nominates two to
7 three external reviewers.

8 DR. GARBER: Where does it say this?

9 MS. RICHNER: Unfortunately, I have all of
10 my page numbers associated with all of these, and I
11 left them in my hotel room.

12 DR. SOX: It's actually the chair, and I
13 will read it. HCFA should provide a list of
14 potential reviewers from which the panel chair and
15 vice chair can form a slate.

16 MS. RICHNER: Okay, the panel chair.

17 DR. SOX: To propose to the chair of the
18 Executive Committee, who has the responsibility for
19 approving the slate.

20 MS. RICHNER: Okay. There it is right
21 there. Yeah. The panel chair and vice chair select
22 the three external reviewers. The Executive
23 Committee approves the two to three external
24 reviewers.

25 Then the panel chair prepares a charge to

00104

1 the external reviewers, and the reviewers prepare the
2 report to deliver to the panel executive secretary,
3 and then the reviewers report on the evidence report
4 is sent to panelists and posted on the Internet.
5 Those are the steps.

6 DR. SOX: Right. So you asked the

7 question back to that earlier slide?

8 MS. RICHNER: Yes.

9 DR. SOX: Who consolidates? So the
10 external reviewer comes in ten days earlier, the
11 panel members take that into account as they go the
12 meeting.

13 MS. RICHNER: Okay.

14 DR. SOX: The primary reviewer makes their
15 report at the meeting.

16 MS. RICHNER: Those are two chosen people
17 from the MCAC panel.

18 DR. SOX: Panel. It may be just one, but
19 it will be one or two. The panel members obviously
20 -- I mean, the public comment occurs. It did
21 yesterday. And then who consolidates, as I see it,
22 each individual panel member absorbs these inputs and
23 decides on a vote.

24 MS. RICHNER: Okay. So those are all
25 those sets, okay.

00105

1 Then, panel members receive and review the
2 report. The panel chair assigns two panel members to
3 be primary reviewers (inaudible, reading) and then
4 the panel chair adds three to five content experts to
5 the panel as temporary voting members. That's the
6 other thing that occurs, that during the actual panel
7 meeting we also have content experts that will be
8 part of the panel discussion. Okay.

9 Just -- I don't have any comments one way
10 of the other about this, it just seems rather
11 cumbersome, but I think that the point that I'm
12 suggesting here is, what if the two to three experts
13 come back and they have conflicting reports about the
14 evidence report?

15 DR. SOX: Then that's up to the panel
16 members to absorb that.

17 MS. RICHNER: This is my last -- I have
18 two more slides, but this one is about the evidence,
19 and the panel's evidence evaluation guidelines using
20 the evidence reports and reviews. And what I tried
21 to do here was to say, and this goes back to the
22 issue of the evidence reports and whether or not

23 there is, if it's adequate about effectiveness, then
24 the magnitude, in reporting to HCFA. We also have in
25 the guidelines that if the evidence is not adequate,

00106

1 is it sufficient? If yes, what I'm challenging here
2 is, does it go back to, do we then show that it is
3 effective, which is not necessarily the way I think
4 that we are anticipating it should go. I think what
5 we want to look at is if the evidence is sufficient
6 right here, we want to determine the magnitude and
7 then send the report to HCFA.

8 If evidence is insufficient or is
9 sufficient no, where does this go? I mean, I tried
10 to outline how you're describing the pathway of
11 evaluating the evidence.

12 DR. SOX: Alan?

13 DR. GARBER: Randel, as I read the
14 reports, sufficient and adequate are synonymous, and
15 I don't recall any section that said it could be
16 sufficient evidence but not adequate. Can you point
17 us to where in the document it suggests that that is
18 a possible classification? There is -- the section
19 on what to do when the evidence is insufficient
20 suggests things to do when the evidence is inadequate
21 or insufficient.

22 MS. RICHNER: Right. Then it goes to your
23 point where we say --

24 DR. GARBER: Yeah, but we never said the
25 evidence is sufficient in any case then, it's just

00107

1 that there may be other options to consider when the
2 evidence is inadequate or insufficient.

3 DR. SOX: Well, I think -- it sounds to me
4 like there's some confusion that there may be two
5 different ways to classify the information, and there
6 is really only one.

7 DR. GARBER: But I didn't see where that
8 appears on the document.

9 DR. HOLOHAN: On page 6 it says, when a
10 panel determines the evidence is insufficient to draw
11 conclusions about the effectiveness, it will not

12 attempt to classify the size of the possible effect.

13 DR. SOX: Would it help to just get rid of
14 the word insufficient and use inadequate to be
15 consistent throughout the document?

16 (Comments of assent.)

17 DR. SOX: Because I think the intent is
18 that they are just one word, it either is or isn't
19 that.

20 MS. RICHNER: That's right.

21 DR. SOX: So I will just go through and
22 where I find insufficient, I will push it out and
23 make the inadequate substitution.

24 MS. RICHNER: That would probably help.
25 Okay. Once again, I think that's where I came into

00108

1 sort of classifying the three types of evidence that
2 we're looking at, or decisions that should be made to
3 be adequate or sufficient, insufficient or promising,
4 insufficient or not promising, and what happens then
5 ultimately at the end of the process. I would say
6 the most -- okay, I'm done, but I would say that I
7 think that my most serious concern, once again, is
8 that none of these processes have any time limits
9 whatsoever associated with any of it, and it could
10 really go on for months and perhaps years, as
11 evidenced by, you know, certain procedures that have
12 been through a long grueling process through HCFA.
13 So, I'm hoping that somehow we can put some
14 boundaries on what this process means.

15 DR. SOX: I think a time line will be very
16 helpful for communicating with everybody, and it
17 shouldn't be a difficult matter to piece one together
18 from this document, as you really have already done.
19 Joe?

20 DR. JOHNSON: Isn't the time line to a
21 large degree going to be determined by HCFA's need,
22 as far as your setting some of the guidelines on time
23 frames.

24 DR. TUNIS: Yeah. I mean, a lot of --

25 DR. JOHNSON: As far as urgency, or maybe,

00109

1 you know, it's not real urgent but needs to be done
2 and you construct the time lines.

3 DR. TUNIS: Right. I mean there are
4 again, newly imposed in statute some mandatory time
5 lines, but it only applies to things that don't go
6 out for a technology assessment. Once something goes
7 out for a technology assessment, the time frames are
8 not defined as Randel says, and whether or not the
9 Executive Committee wants to venture into trying to
10 define time lines, is an interesting question that
11 there would be pros and cons too.

12 I mean, the other thing I have to say
13 about that is we're at least on the hook now for
14 writing an annual report to Congress about how long
15 it's taken for each decision and why, and so there is
16 at least that level of sort of newly imposed
17 accountability for the time frames for every single
18 coverage decision we make.

19 DR. HOLOHAN: And historically, generally
20 the smaller the evidence, the greater the time it
21 takes. I mean, nobody spends a lot of time debating
22 about total hip replacements.

23 DR. SOX: Right, or hip pinning for hip
24 fractures, right?

25 DR. HOLOHAN: Right.

00110

1 DR. SOX: Alan.

2 DR. GARBBER: Well, on this issue of how
3 specific we should be about the time line, it's clear
4 that we need to send a signal that we want this
5 process to be as expeditious as possible, but we have
6 also created a new -- we have modified the interim
7 guidelines in some ways that are fairly substantial,
8 and in particular the review steps, and as a
9 practical matter we could proceed and put down time
10 estimates or time goals right now. As I have tried
11 to work with the guidelines, it has become clear to
12 me that there is a big advantage to getting a little
13 experience with them before we try to write down
14 things in detail.

15 So, I would like to make a suggestion that
16 we work toward the goal of incorporating a time line

17 in maybe the next draft of these interim guidelines,
18 but gain some experience with these, or whatever we
19 ratify today, before we put in too much detail on the
20 deadlines for each step of the process, because I
21 think it's not going to look good and it won't serve
22 our process well if we find out that for some reason
23 or another, some steps of the process with the time
24 we gave it just aren't feasible.
25 DR. SOX: Leslie.

00111

1 DR. FRANCIS: I would hope that the way we
2 take all these points that are being made in the
3 discussion is that we have almost -- we have our own
4 little set of annotations, the things we're watching
5 for as the process goes on for the next iterations of
6 it, so that we are watching closely for example for
7 what the times look like and what seems reasonable
8 and what we can push and what we can't, and so on.
9 But that, I would make that same point for all the
10 critical points that we have made so far.

11 DR. TUNIS: One other point just also to
12 raise here is that there is kind of a presumption in
13 these guides that the only thing that would ever come
14 to the MCAC would be a topic that has been assessed
15 externally by a contractor body and in fact there
16 would be situations in which we might want to come to
17 MCAC where we reviewed a topic internally, but still
18 want the MCAC to deliberate, and that sort of pathway
19 isn't really framed here, although you can imagine
20 that we could just pretend that, you know, the HCFA
21 coverage group is essentially substituting for the
22 contractor and go through exactly the same steps.
23 What we have been reluctant to do in the past of
24 course is produce a HCFA report that looks like a
25 contractor report, again, for issues of not wanting

00112

1 to look like we've prejudged the issue prior to it
2 coming to MCAC. But it seems at least that issue
3 needs to be thought through a little bit, you know,
4 what do we do when we don't hire an outside
5 contractor but still want to bring an issue to MCAC.

6 DR. GARBER: Well, Sean, given what you
7 just said, HCFA is not identical to an outside
8 contractor, but would we be wrong to say contractor
9 could refer to internal staff reports, et cetera,
10 from HCFA under certain circumstances, or would that
11 be too misleading?

12 DR. TUNIS: I think we could propose that;
13 we'd have to just think about it a little bit more,
14 because again, we would then be presuming that we'd
15 ultimately bring forward some report to MCAC to be
16 discussed as if it were produced by an outside
17 contractor, but everything else would be the same,
18 which seems fine to me. I just haven't thought
19 through all the ramifications of it.

20 DR. HOLOHAN: What you might want to do is
21 provide the evidence and not a HCFA report.
22 Presumably the report was based on information. If
23 you provided the information to MCAC, it removes the
24 presumption that you prejudged, unless someone
25 believes you've selectively provided it.

00113

1 DR. TUNIS: Right, but we're going to want
2 to provide a summary of the information unless you
3 just want us to provide, you know, the four volumes
4 of material.

5 DR. GARBER: Absolutely not. We know that
6 doesn't work.

7 DR. TUNIS: And any version of a summary
8 is subject to some conditions about, you know, what's
9 included and how it's interpreted and all that stuff.

10 DR. HOLOHAN: But it's also public.

11 DR. TUNIS: Right.

12 DR. GARBER: Could I make a suggestion on
13 this point?

14 DR. SOX: Bob has been waiting a while.

15 DR. GARBER: Okay, sorry.

16 DR. MURRAY: Just a comment to Sean's
17 hypothetical scenario just a moment ago. It's my
18 understanding that what we're talking about are
19 recommendations, that's what they're titled, or
20 they're guidelines, these are not hard and fast
21 rules. We are not as an executive committee going to

22 reject an issue that comes before us because it
23 didn't follow precisely the rules that we're setting
24 down.

25 And I look back to the issue of, or the

00114

1 discussion of PET scanning just a couple of months
2 ago. That violated all of the rules. It came
3 directly to the Executive Committee without going to
4 the panel, and I would imagine that situations may
5 come in the future that we are not going to follow
6 2.A, 2.B, 2.C, 2.D.

7 DR. SOX: Right. Alan?

8 DR. GARBER: I just wanted to suggest if
9 the, that if HCFA were to do an evidence report or
10 compilation of information, that they should be held
11 to the same standards to which we would hold external
12 contractors, and I think we should have a statement
13 to that effect in this document.

14 DR. SOX: I would like to step back for a
15 second now. We have heard from everybody from the
16 panel and the take seems to be what we've done so far
17 looks good. Several people have mentioned some
18 things that they would like to change, but my take
19 was that with the exception of Randel, who prepared a
20 pretty detailed analysis, most everybody is pretty
21 happy with these the way they are, and perhaps even
22 more so with learning that this is an ongoing work in
23 progress and that until we actually try these out
24 completely, you know, from the start, that we're
25 really not going to be able to take them very much

00115

1 farther in the abstract.

2 So with that as a sort of preliminary, I
3 wonder whether we could divide, in trying to get to a
4 point of approval, some people want to simply make
5 word smithing suggestions, and I'd suggest that they
6 judge or not -- don't rise to the level of really
7 requiring panel approval, and I suggest that you
8 simply provide those to be me and I will make those
9 changes or give you an accounting of why I don't.

10 Others may have some things that they

11 would like to see changed now as opposed to a year
12 from now, and they think that they might in some way
13 be sufficiently substantive to require discussion and
14 endorsement. And if it's agreeable to you, I would
15 like to go on to that second group, with the goal of
16 trying to get to a vote to approve fairly soon.

17 I also note that we will want to have an
18 opportunity for a public comment, scheduled or
19 otherwise, before we take a vote and to be able to
20 respond to that, and I guess that's it.

21 So, I guess now I would like to call for
22 comments that people would like to see some change
23 now and that they feel rises to the level of
24 significance that really requires some discussion
25 now.

00116

1 DR. FRANCIS: Do you just want to go page
2 by page?

3 DR. SOX: Beg your pardon?

4 DR. FRANCIS: Do you want to just go page
5 by page?

6 DR. GARBER: No.

7 DR. FRANCIS: No? Or do you want to just
8 do it?

9 DR. SOX: Well, my sense is that people
10 are generally pretty happy and that we will just sort
11 of get people who want to make a comment to make the
12 comment, we'll debate it and --

13 DR. FRANCIS: I know this is a flash
14 point, but the paragraph on page 4 that reads,
15 although a body of evidence consisting only of
16 uncontrolled studies, whether based on anecdotal
17 evidence, testimonials or case series or disease
18 registries without adequate historical controls is
19 never adequate, in some cases the panel will
20 determine that observational evidence is sufficient,
21 that's just inconsistent.

22 DR. GARBER: How do you want to --

23 DR. FRANCIS: Well, the way it seems to me
24 to be inconsistent, unless I'm misunderstanding it
25 is, it says evidence is never adequate, nonetheless

00117

1 the panel can consider it adequate.

2 DR. GARBER: No. It says observational --

3 DR. FRANCIS: Well, that may be where the
4 adequate-inadequate sufficient-insufficient --

5 DR. GARBER: The first statement is a
6 subset of observational studies that lacks adequate
7 control controls. The second statement is other
8 observational studies. There is no inconsistency.

9 DR. FRANCIS: Then why don't we say other
10 observational evidence is adequate.

11 DR. SOX: Or observational evidence with
12 controls?

13 DR. FRANCIS: That some forms of --

14 DR. SOX: I think that's the intended
15 meaning. Alan, do you?

16 DR. GARBER: Well, all right. Well, the
17 key issue is that it is either -- evidence that is on
18 its face adequate always has evidence under adequate
19 controls and maybe that's the part that needs work,
20 so maybe uncontrolled versus controlled. So if we
21 were to say that the panel will determine that
22 observational evidence with controls is sufficient to
23 draw conclusions about effectiveness. Would that do
24 it for you?

25 DR. FRANCIS: That certainly makes it

00118

1 consistent. I don't know if it captures what you
2 wanted, but I mean, I just didn't understand that
3 paragraph when I read it.

4 DR. SOX: Okay, good. Other comments
5 about either clarification or new ideas or changes?

6 MS. RICHNER: When we're suggesting the
7 with controls, that can be -- that that includes the
8 different types of --

9 DR. GARBER: Yes, it can still be called
10 controls of some kind, historical, case control,
11 et cetera.

12 DR. BROOK: Are you preventing a clinician
13 from getting up and saying I treated these patients
14 this way and they got better and I treated these
15 patients this way and they didn't. That's control

16 and now they can decide what they want to do with it.

17 MS. RICHNER: That's right.

18 DR. GARBER: Well, it doesn't mean it's
19 always adequate, it says sometimes adequate. If you
20 like the doctor, it's adequate.

21 DR. BROOK: Well, I treated 12 people with
22 SDE with antibiotics and 12 without, and the ones
23 with SDE, 50 percent of them lived with antibiotics
24 and 50 percent didn't. I have no records.

25 DR. SOX: Barbara.

00119

1 DR. McNEIL: I just had a question for
2 clarification and maybe it's for Sean, and I
3 definitely don't want to be micromanaging, but on
4 page 7 we talk about HCFA provides coverage on a
5 provisional basis, and then a bullet, it would cover
6 the technology only when it is being used in the
7 context of an approved study. Isn't that already
8 being done as part of the Medicare rulings in
9 November, or last June rather, in term of Medicare --

10 DR. GARBER: No.

11 DR. McNEIL: No?

12 DR. GARBER: It's the routine care
13 components.

14 DR. TUNIS: Yeah. That doesn't cover the
15 cost of the investigational item.

16 DR. McNEIL: Wait a minute. Give it me
17 again. What is the yes?

18 DR. TUNIS: Sorry. The clinical trials
19 coverage policy that was implemented in September
20 only covers routine costs associated with the
21 clinical trials; it doesn't cover the costs of the
22 investigation items.

23 DR. GARBER: Yeah. It covers everything
24 but.

25 DR. TUNIS: Everything but. And this

00120

1 whole section about -- well, it's sort of, I guess a
2 wish of a direction that the EC would like to see
3 HCFA go, as opposed to someplace that we could get
4 any time soon, I guess.

5 DR. McNEIL: No, I wasn't questioning the
6 validity of it, I was just thinking it was redundant.

7 DR. TUNIS: I mean, I think that's the
8 function of it.

9 DR. SOX: Yes, it's a direction we hope
10 things will take eventually. Other? Daisy?

11 DR. ALFORD-SMITH: I think you have
12 already have it, but I just wanted to make sure
13 again. There was something that I was concerned
14 about in reference to the preface more so than
15 anything else, and it goes back to what I had
16 initially stated, and somewhere I believe we need to
17 clarify that although we provide advice on a
18 scientific and clinical question, that it's inherent
19 in this process that we also recognize that the
20 committee provides advice or assists HCFA in setting
21 policy. I mean, that's the reason for it. We don't
22 speak to providing this information regarding
23 coverage.

24 DR. SOX: Well, I mean, I believe that the
25 first sentence of the whole document states where we

00121

1 were at right now, that we don't tell HCFA that this
2 ought to be covered.

3 DR. ALFORD-SMITH: No, no, no, that's not
4 what I'm saying.

5 DR. SOX: I'm sorry. I'm missing it then.

6 DR. ALFORD-SMITH: My interpretation of
7 this gives me the inclination that it is limited from
8 a technical perspective without any sensitivity to
9 the needs of addressing the issues for the general
10 public.

11 DR. SOX: What, could you -- I think I
12 know what you mean by technical issues. What I'm not
13 sure I understand is what you meant by the last part,
14 the general needs of the public.

15 DR. ALFORD-SMITH: I am saying that it
16 appears to me that we have been convened to provide
17 advice based upon scientific and clinical questions,
18 without a sound statement as to why there is a need
19 to provide advice on those scientific and clinical
20 questions.

21 DR. SOX: So, are you saying that there is
22 nothing, our charge does not include deciding whether
23 this is an important issue to address in the first
24 place?

25 DR. ALFORD-SMITH: Yes.

00122

1 DR. SOX: Okay. We basically do what HCFA
2 tells us to do. We don't decide to, you know, to
3 turn it down because we think it's unimportant.

4 DR. ALFORD-SMITH: No, no, no, I
5 understand that, but in reviewing some of my notes,
6 one of the things that struck me was HCFA's response
7 to their attempt to alleviate the fear that there was
8 too much, the information was going to be too
9 scientifically oriented, you know, and that went out
10 to the media in some way. There was a concern there
11 for that reason. And so, it doesn't appear to me as
12 if we're speaking to how to be consistent with what
13 they have already had to address in some way.

14 MS. RICHNER: I think when MCAC was
15 originally formed, the whole idea was to bring in
16 policy makers, to bring in scientists, to bring in
17 the medical profession, to bring in sort of a cadre
18 of different perspectives on the issue of deciding
19 coverage. And what's lost in this, I think is what
20 she's saying, is that it's become so narrow that
21 we're just focused on the scientific evidence and
22 we've lost that spirit of what we, you know, as a
23 very diverse group, bring to the table in terms of
24 decision making. And that's something that is a
25 shame in a sense, that we've sort of lost that

00123

1 perspective.

2 DR. SOX: I wish you could have been here
3 yesterday. I think your mind would have been perhaps
4 set partially at ease. I'm thinking, Daisy, it says
5 provide advice on scientific, and I have underlined,
6 and clinical questions. And once you take it away
7 from strictly scientific questions and add that and
8 clinical, that --

9 MS. RICHNER: Well, it goes beyond that,

10 though. In the policy, in social research, in
11 bringing in all these kinds of issues from a consumer
12 advocacy position, and what matters most to the
13 Medicare population in lots of different
14 perspectives.

15 DR. SOX: Well, you know, ultimately our
16 job strictly speaking by what we've written here is
17 to decide whether the evidence that the candidate
18 technology -- whether the evidence is adequate to
19 decide if the candidate technology is an improvement
20 over what was there before and if so, big effect or
21 small effect.

22 MS. RICHNER: Right, I understand that,
23 and we have addressed that in a sense by providing
24 input for this public to provide different
25 perspectives, and that's a real move forward from

00124

1 where we were, you know, eight, nine months ago. So
2 I think, you know, Daisy from that perspective by
3 posting this on the web, by being able to provide,
4 you know, get the different perspectives, will be a
5 part of this decision making process a little more
6 effectively.

7 DR. SOX: And we are going to get a lot of
8 input about, at least about the size of the impact,
9 because that's what the public is telling us, you
10 know, this has made a big difference in my life.

11 MS. RICHNER: Right. But maybe the
12 entrance to this, or the introduction, could be a
13 little enhanced about what we're intending to do to
14 kind of consult to HCFA.

15 DR. SOX: Alan.

16 DR. GARBER: Well, I think it is supposed
17 to be an inclusive process to the extent that there
18 should be ample opportunity for public input, and
19 that's implicit throughout this document. But I
20 certainly think that if Daisy or anyone else had
21 suggestions about ways to make this more explicit a
22 principle, that would be very welcome.

23 In terms of the overall operating
24 principles of the whole MCAC process, if the issue
25 is, should the MCAC process be focused on scientific

00125

1 clinical evidence or should it be focused on
2 something else, I think we have had numerous
3 discussions where the Executive Committee has come
4 down, this is about scientific and clinical evidence,
5 and I think implicit in there is the belief that we
6 serve the public best by trying to really answer the
7 question, does this technology work, does it improve
8 health outcomes. We do not necessarily serve the
9 public by answering questions like is this popular,
10 do people want it, and that is a real judgment that
11 we have made. So if the question is, have we
12 incorporated enough about political, social
13 considerations and so on, I would argue that we have
14 considered it and decided that's not what we do best,
15 the way we best serve HCFA is by evaluating the
16 scientific and clinical evidence, and we're doing
17 that with the intent of serving the public well.

18 DR. ALFORD-SMITH: That's my point right
19 there. You just answered it. That was the
20 statement; you said what's the intent.

21 DR. BROOK: Can we add a second sentence
22 after the first sentence that says, after we say
23 provided by, it is hoped for or expected that the
24 MCAC process will be to improve the health status of
25 the Medicare population and to reduce adversity in

00126

1 health status by ethnic and gender, and state, or
2 whatever we want to say, to make it more of a one
3 program one coverage, and these two things, that's
4 really our goal, that this is what the outcome of
5 what this process is. It's not going to say that
6 we're responsible for it, but it's expected the
7 outcome of our advice will lead to those kinds of
8 general outcomes. Is that what you want, Daisy?

9 DR. ALFORD-SMITH: Yes.

10 DR. BROOK: Yeah, that's what I thought.

11 DR. SOX: Well, Daisy, perhaps you would
12 draft a sentence to go there.

13 DR. ALFORD-SMITH: I think Bob just did.

14 DR. SOX: Well, would you write it down so

15 -- Alan?

16 DR. GARBER: Well, I actually think that
17 we should say very simply that the intent of this
18 process is to help identify effective medical goods
19 and services, and insure that the Medicare population
20 has access to them, and by doing so help to insure
21 that the Medicare population has access to them.

22 DR. HOLOHAN: I like the phrase Alan began
23 with the last time by saying, this group serves the
24 public interests best by.

25 DR. ALFORD-SMITH: The intent.

00127

1 DR. SOX: Well, Alan, would you draft --
2 would you just write something that I can --

3 DR. BROOK: That's less broad than what I
4 suggested.

5 DR. GARBER: Yes, and that's deliberate.

6 DR. BROOK: And I would prefer the broader
7 statement that it's expected that the outcome of the
8 MCAC process will lead to improved health status of
9 the Medicare population and reduce differences in
10 health status by Medicare, by the state in which the
11 Medicare enrollee exists, by the gender of the
12 Medicare enrollee, or by his or her racial or ethnic
13 characteristics.

14 DR. SOX: Well, it's good to want that,
15 but really, we don't really address that.

16 DR. HOLOHAN: Well, Bob, we disagree. I
17 think mine is more broad than yours, frankly, because
18 I think what we do affects people who are not
19 necessarily Medicare beneficiaries.

20 DR. BROOK: Good. Then let's talk
21 affecting the U.S. population, I'll change Medicare
22 to U.S., but I think we ought to have health status
23 in it and I think we ought to have the dual goal of
24 reducing, you know, issues --

25 DR. ALFORD-SMITH: My intent was not to go

00128

1 that far. However, I think it should be related in
2 some way to outcomes.

3 DR. SOX: Alan is working on a statement

4 and he'll read it, and then we will see if it hits
5 the spot, and then we will move on.

6 DR. FERGUSON: Hal, aren't some of these
7 things encapsulated in the MCAC charter?

8 DR. BERGTHOLD: This isn't a charter.

9 DR. FERGUSON: It's not?

10 DR. BERGTHOLD: No, this is just our
11 guidelines.

12 DR. FERGUSON: No. I'm saying that what
13 Daisy was talking about, some are encapsulated in the
14 charter of this committee. I thought they were. I
15 don't have the wording in front of me.

16 DR. TUNIS: We'll try to get the charter
17 and see what the language is. I'm not recalling that
18 this is, you know, that this has sort of been dealt
19 with in great depth in the charter.

20 DR. SOX: Barbara?

21 DR. McNEIL: Well, I was going to suggest
22 that we tweak out what we think is in our charter and
23 that we not be too expansive unless it's in the
24 charter, because we don't want to be held accountable
25 for something that we can't control. So while it

00129

1 might be nice to prevent racial and geographic
2 inequities, I'm not sure that the kinds of data that
3 we are being presented and the decisions that we make
4 are necessarily dominant forces in doing that.

5 DR. SOX: Yeah, we do what we do, and we
6 need to do it well. Alan, do you have something?

7 DR. GARBBER: Well, I'll try this on you.
8 This process is intended to serve the public by
9 identifying medical goods and services that improve
10 health among Medicare beneficiaries or that improve
11 the health of Medicare beneficiaries.

12 DR. BROOK: I would add, and reduce
13 diversity, and reduce differences.

14 DR. GARBBER: That would be your proposal.

15 DR. FRANCIS: Reduce discrepancies.

16 DR. SOX: Okay. Why don't we -- so you
17 have made a proposal that this is what we are going
18 to add, and we are going to vote on this --

19 DR. GARBBER: Right.

20 DR. SOX: -- as a way of resolving
21 differences here. Now, does anybody want to amend
22 it?

23 DR. BROOK: Yeah. I want to add a clause
24 that says, and reduce differences.

25 DR. FRANCIS: The issue there really is

00130

1 the way local carriers can make arbitrary -- you
2 know, you can have one here, one here and one here,
3 and I -- the difference seems to me to be too broad
4 in that.

5 DR. BROOK: You mean variations?

6 (Inaudible, people speaking at same time.)

7 DR. SOX: Listen. Listen. Let me propose
8 a process here --

9 DR. BROOK: There's variations in health
10 status --

11 DR. SOX: -- for getting a sense of the
12 group's opinion about this, okay? So Alan has made a
13 proposal and Bob has now made an amendment. Could
14 you lean forward to the microphone and say it so we
15 can all hear it, Bob?

16 DR. BROOK: And reduce variations in
17 health status by where you live or who you are.

18 DR. SOX: Okay. So that's Bob's suggested
19 change, and we are not now going to vote about
20 whether to add that to the end of Alan's sentence.
21 Everybody who favors Bob's change, please raise your
22 hand. One, two, three four, five. Those opposed?
23 One, two, three, four, five, six, seven. So it
24 fails, seven to four or five. So we will incorporate
25 your sentence as the second sentence in this document

00131

1 as you suggested, unless there are any other
2 suggestions. Yeah, Bob.

3 DR. BROOK: Yeah, I want to vote no on
4 that. I want to go on the record to say why I'm
5 voting no is that the real goal of the federal policy
6 ought to be, anything it does ought to be evaluated
7 on how it reduces differences by where you live, this
8 is a federal government, or your racial or ethnic

9 characteristics, or your gender. That's the real
10 test of a public program. And the U.K. Has agreed to
11 do this, and every other developed country is moving
12 in that direction, and we ought to include that
13 statement if we're going to include any statement
14 like that in our document. I want that in the
15 record.

16 DR. SOX: Okay. So let's now vote on
17 Alan's statement. Do you want to reread it please,
18 Alan.

19 DR. GARBER: This process is intended to
20 serve the public by identifying medical goods and
21 services that improve the health of Medicare
22 beneficiaries.

23 DR. SOX: All in favor of inserting that
24 sentence as the second sentence in the preface,
25 please raise your hand. Everybody's eligible to

00132

1 vote. Anybody opposed? One opposed, everyone else
2 votes in favor.

3 I think this might be a good time to call
4 for public comment on what we're doing here.

5 DR. BROOK: I have one other comment on
6 the document as a whole that I want to get in the
7 record, because I don't think we want to address it.
8 We're missing the central issue of technology
9 assessment, and that is the frequency and how often
10 that it's done. The major conclusions up to now,
11 this document addresses just, "whether it's
12 effective," and it presumes that ever once. The real
13 issue for Medicare coverage and the advice that HCFA
14 really needs is why are only six physical therapies
15 allowed after you fracture a hip, or four, you know,
16 edema reduction therapies after you have breast
17 cancer even if you have it, or six therapies in a
18 swim pool done, or four electrical stimulations or
19 one.

20 It's the frequency of most of these
21 technologies, whether it's a PET scan or whatever,
22 that's going to drive eventually health status and
23 cost in the Medicare program, and we're doing a very
24 small percentage of the job if the outcome of this

25 process is to just say that the evidence is slightly

00133

1 better, or we're going to use it for once. The
2 guidelines don't address that point about the
3 responsibility of the committee, and I would just
4 indicate it not to discuss it today, but hope that
5 sometime in the future we can have a much nor
6 sophisticated process when it comes to those kinds of
7 questions, because that's where the money is and
8 that's where the controversy is, and that's where
9 local control will defeat, you know, the intent of
10 the evidence and what this Committee has been all
11 about.

12 DR. SOX: Yeah. Somehow we need to
13 provoke more studies on this, and the question of how
14 to do it best is one that we should address, but
15 perhaps not now. We don't talk about it because
16 nobody studies it. So, I'm going to call for public
17 comment now, and then we can continue our discussion.
18 So, is there anybody in the audience who would like
19 to make a statement?

20 MS. CONRAD: Greg Robb.

21 MR. ROBB: For the record, my name is Greg
22 Robb. I represent the Advanced Medical Technology
23 Association and I am a consultant here on their
24 behalf.

25 The guidelines that you are taking up

00134

1 today appeared on the HCFA web site in the afternoon
2 yesterday. I was to come here and talk about a
3 previous iteration, and AdvoMed advised me not to
4 talk about the content of the therapeutic or the
5 diagnostic guidelines in particular, but to use my
6 best judgment as to what was posted and in light of
7 previous positions.

8 I would like to go back to these
9 guidelines and say what you have done in taking up
10 the guidelines, you have sort of switched between the
11 guidelines and the role of MCAC. The last motions
12 that were considered go in that direction so I'll
13 slide over to some of the points I could make this

14 afternoon as well.

15 With respect to the guidelines, though,
16 the industry's role has always been that this whole
17 Medicare coverage process be open, predictable,
18 timely, with the opportunity for public comment. I
19 was particularly pleased, and I will be advising my
20 client to be very pleased with the discussion that
21 took place today on opening up the process, involving
22 the public, use of web site in reacting to these
23 evidence reports. That level of interaction is very
24 healthy and I was very pleased to hear that
25 discussion.

00135

1 On the other hand, with regard to the
2 predictability and the timeliness of the Medicare
3 coverage process as a whole, industry still would
4 have concerns. If you go back to the Medicare
5 coverage process as a whole, the notice that appeared
6 in the April, I believe 27th, 1999 Federal Register
7 never did put a time frame or a targeted time frame
8 on Medicare Coverage Advisory Committee review of new
9 technologies up for consideration in the coverage
10 process. There were no time frames associated with
11 the possibility of HCFA asking for a technology
12 assessment. The notice in April of 1999 also held
13 out the possibility that HCFA could ask for an
14 assessment and MCAC itself could ask for an
15 assessment.

16 My general sense of the discussion that's
17 going on here today with regard to evidence reports
18 would constitute a de facto technology assessment in
19 terms of that notice. So there is a level of
20 confusion of me, and I come to some of these
21 meetings. The public, I think would have a little
22 bit more confusion, and I think the AdvoMed
23 constituency is terribly confused as to what exactly
24 the hurdles are, what the time frame is if you were
25 to request a national coverage decision. I feel

00136

1 consumer groups would think that as well.

2 So we have this issue of what is the goal

3 here. I see good value put in here in these evidence
4 reports. I see where you're going, I like the public
5 process involvement. I don't know what it means in
6 terms of a review process. Randel started to go down
7 that route with her slides and that raised a lot of
8 troubling questions about how long all this will take
9 and where the money is going to come from to staff
10 it.

11 With regard to another factor which you
12 will take up this afternoon, I wanted to get to the
13 situation where we have, if you're a manufacturer,
14 you see a two-step review process. You see a process
15 where an issue is vetted at the panel level and
16 relitigated, if you will, at the Executive Committee
17 level. I wanted to point to the BIPA legislation
18 which permits the Agency to ask the panel to review
19 and to make a coverage decision based on panel
20 deliberations.

21 Now that would not mean that this
22 Executive Committee wouldn't have a role. They could
23 certainly provide guidance as it is doing, it could manage
24 the resources of the entire process, it could weigh
25 in as well, it could comment on how well the panels

00137

1 have deliberated and documented their findings, but
2 the concept of a two-step process is a bit troubling
3 in terms of time, and I wanted to raise that as well.

4 One other issue as you talked about, as
5 you slipped over into the MCAC role and what your
6 charter is, it was to advise the Agency on making
7 decisions as to whether services are reasonable and
8 necessary. That is also laid out in the April 1999
9 notice. It's to make advice to the Agency on whether
10 coverage decisions should be made.

11 The notice doesn't say that the only role
12 of this body is to weigh evidence or to find that the
13 evidence is conclusive, or decide what is evidence.
14 It talks about advice on coverage.

15 I would like to point out that the BIPA
16 legislation also spoke to that, and let me read to
17 it. It said that Medicare coverage decisions should
18 be made after considering, quote, applicable

19 information, including clinical experience and
20 medical, technical and scientific evidence. It
21 doesn't say only peer reviewed studies or studies
22 that will be published in journals, it said evidence.
23 It said evidence broadly, and it said information.
24 And I go back to the, again, the coverage notice,
25 which talks, the whole role of MCAC was to have open

00138

1 public meetings, anyone can present, and based on all
2 of the presentations, advice would go to HCFA.

3 DR. SOX: Thank you. Perhaps you would
4 like to stay up there for just a second. Are there
5 any questions anybody would like to address of Mr.
6 Robb? Thank you very much.

7 Would you come forward, identify yourself,
8 tell us whom you are affiliated with.

9 MS. CHRISTIAN: My name is Martha
10 Christian and I am a health policy analyst with EMPI,
11 and many of you are aware that our company recently
12 went through this whole process with our technology
13 for pelvic floor electrical stimulation. We are in a
14 rather unique position to discuss this simply because
15 we have been through this.

16 And I have to first of all applaud both
17 staff members at HCFA and both the Medical Surgical
18 Panel and this panel itself in looking at a process
19 that has been in a constant state of flux, and I
20 think what we're trying to do here is a good thing.
21 We want to have a more predictable consistent open
22 process, and I think I see a lot of good things in
23 the document that we have been discussing today.

24 I have a couple of concerns, though, that
25 I think is important to discuss. First of all, if

00139

1 you look at the notice of proposed rule making that
2 HCFA laid out earlier last year and is in the process
3 of -- and received public comment, one of the
4 questions that was discussed or raised was, should
5 there be varying levels of evidence for different
6 types of technology? What I see in this document is
7 that unless you have the gold standard, randomized

8 control trial, you're dead at question number one.

9 The reality is, many small medical device
10 manufacturers cannot afford the kinds of studies that
11 you're asking for in this document, which basically
12 essentially has the effect of limiting access to
13 technology to many Medicare beneficiaries,
14 particularly those devices and procedures that are
15 low cost. If they are low cost, there is not a lot
16 of money in it to fund the kinds of studies that
17 you're looking for.

18 So I guess I would caution both HCFA and
19 this Executive Committee of making these guidelines
20 too restrictive. And one suggestion I have for
21 dealing with that is essentially to, you know, ask
22 question number one, is the evidence adequate based
23 on what we would absolutely love to see, but I think
24 there has to be recognition in the process that most
25 technologies, particularly existing technologies that

00140

1 may become subject to further review, will not have
2 this level of evidence. Does that make them unworthy
3 of consideration for coverage? Absolutely not.

4 So what you need to incorporate in this
5 particular document is that you can go to question
6 number two, you know, is there some value in this
7 technology? And that's where the spirit of the MCAC
8 charter comes in in terms of saying, you know, let's
9 look at all of the evidence.

10 And one of the things that I have to
11 congratulate HCFA on is despite the fact that it was
12 purely an evidentiary review of our technology, they
13 looked at all of the evidence. They looked at
14 consumer input, they looked at the input of the
15 clinical societies, and I sensed the frustration, and
16 we all had the same frustration of those people who
17 sat on the Med-Surg Panel and discussed PFS and
18 biofeedback and found that we can only look at the
19 evidence, I mean, the specific scientific evidence.
20 They were frustrated.

21 Fortunately HCFA in their wisdom, looked
22 at all of the evidence and we came up with I think a
23 good coverage decision, one that looked at, you know,

24 some of the limiting factors that you discussed
25 earlier with some of the other technologies today,

00141

1 and that was I think very useful. So just be very
2 careful when you're looking at these evidentiary
3 guidelines.

4 The second point I would like to make
5 concerns some of the time lines. I think Randel
6 raised some very important issues. And one of the
7 things, just from a practical perspective, I see when
8 you're looking at releasing the evidentiary report
9 two weeks prior to a meeting, let me talk about that
10 a little bit.

11 That happened to us in pelvic floor stim.
12 We didn't see the evidentiary report until two weeks
13 prior. As someone who had to prepare for that, I had
14 two weeks in which, one, we got the questions at
15 about the same time, so we didn't even know what
16 questions were being considered. We didn't get the
17 report. There were certain studies that weren't
18 included in the report. We had to schedule speakers
19 that were to come before this panel; many of those
20 speakers were physicians. You guys all know your
21 schedules. Could you clear your schedule in two
22 weeks in order to come and testify before a hearing
23 without substantially inconveniencing yourself and
24 your patients? I think that's a real concern.

25 Secondly of all, as a panel member -- or

00142

1 first of all, as a speaker, you're probably going to
2 get from 5 to 15 minutes to present your information.
3 Many of the issues that are often raised in these TEC
4 assessments take a lot more explanation than 5 to 15
5 minutes. And so it's important that you as a panel
6 member be able to have an opportunity to review those
7 written comments that are submitted after the posting
8 of the evidence report on the web so that when we
9 come to the panel meeting, you already have the
10 information and there can be a discussion of what are
11 the points of confusion, instead of us trying to say,
12 this is all what's wrong, in five minutes, and then

13 have the panel trying to digest that information in
14 relationship to the other materials that they have.

15 So I believe that that time frame is
16 something that really needs to be evaluated, and
17 whether or not that's done by this document or by
18 HCFA staff, I think it's important that the message
19 gets out that we need more time. We are all
20 interested in having a good and fair hearing on the
21 subject at hand, and we can't do that unless we have
22 adequate time.

23 The other thing is the posting on the web.
24 The timing, I think the gentlemen from AdvoMed made
25 the point that this document that you're looking at

00143

1 today wasn't posted on the web until yesterday.
2 There is significant problems with HCFA getting their
3 documents put up on the web, even if HCFA staff is
4 sending it to them. It's outside of their control
5 when that documentation gets on the web, so I think
6 that you need to be aware that just because we want
7 it posted on the web, it's submitted for posting on
8 the web, it doesn't always get there. And I know
9 that causes much consternation to the HCFA staff that
10 are sending it to those people who put it there. So,
11 understand that there are other issues that may
12 impact the availability of the information, so I
13 guess that's all I have. Thank you.

14 DR. SOX: Thank you very much. Any
15 questions for the last speaker? Bob.

16 DR. MURRAY: This is not a question, just
17 a comment, that it appears to me that we have a
18 dilemma, that on the one hand the -- we take too much
19 time, MCAC, the entire coverage process takes too
20 much time; on the other hand, we're not giving the
21 petitioners enough time. I don't know how we can
22 answer both of those complaints at the same time.

23 DR. SOX: Yeah. You might have added that
24 it is the petitioners who are complaining about the
25 length of the process, so there is a problem. But in

00144

1 general, I think trying to get stuff on the web as

2 far ahead of a meeting as possible is that something
3 that HCFA ought to be striving to accomplish, and it
4 does make good sense. Randel.

5 MS. RICHNER: I really appreciated
6 Martha's comments, and I think this was a real
7 practical use of the system, and we've made progress
8 since then, and I do differ in terms of looking at --
9 I think we have made tremendous progress in looking
10 at evidence beyond double blind randomized control
11 trials, and that was a lot of our discussion today,
12 so I think there is a lot of room for different types
13 of evidence to be evaluated.

14 But, I do have one anecdotal note about
15 that particular technology. Their first contact with
16 national HCFA regarding this technology was 1991 and
17 so it took them nine years to get a coverage decision
18 on this, and in fact they went back and forth with
19 HCFA, and I have the whole chronology here, of asking
20 what studies should they do, HCFA counseled them
21 about what study they should do, they did the study,
22 they came back, they said well, that wasn't exactly
23 the right study. It was just unbelievable, so this
24 is almost the poster child for what can go wrong in
25 terms of this process. Now I know there's been lots

00145

1 of improvements since then but this was really truly
2 a miserable experience for that particular
3 technology.

4 DR. SOX: Before we continue discussion, I
5 want to give a weather report. The weather has come
6 in, and so I gather visibility is very limited, and
7 so we are trying going to try to get to the point of
8 a vote as quickly as possible. Then Sean is going to
9 say a few remarks about the future of MCAC and we are
10 going to postpone our discussion of the future role
11 of MCAC until another time when it's more propitious.
12 So that's the game plan, and I think it's quite
13 possible we could be out of here by noon and people
14 can do their things in terms of trying to get home.
15 Bob.

16 DR. BROOK: I have one comment. I was a
17 little disturbed by the last person's comment that

18 the studies in the contract report had not included
19 the ones, or not looked at the ones that they knew
20 were available. That bothers me and if that is the
21 case, maybe we can fix that in this document by
22 suggesting that the -- there must be some way of
23 notifying people of the questions and the contractor
24 who's going to produce the evidence report, so that
25 industry could, you know, immediately, you know, make

00146

1 sure that whatever studies they want to get in front
2 of the contractor could be sent to the contractor. I
3 mean, I don't know whether there is anything in our
4 document that -- I mean, we ought to proactively deal
5 with that problem is what I'm saying.

6 If there's some way of adding a sentence
7 somewhere in that document, Hal, of the process that
8 says we ought to make industry aware at the very
9 earliest that these are the questions, this is what's
10 being discussed, this is the contractor, and the
11 contractor would like to receive any documents that
12 you have, that you want to submit as soon as
13 possible, so that it be included in the evidence
14 report or evaluated, would be my suggestion to the
15 second commenter. Can we do that? Is that there
16 already.

17 DR. SOX: We already have a lot of
18 language in there about posting the key questions at
19 the earliest possible stage and that's clearly a
20 signal to industry to --

21 DR. BROOK: And do they know the
22 contractor? Is there anything we can do? I just
23 wanted to --

24 DR. SOX: Yeah. There is language in
25 there about who the contractor contact person is.

00147

1 DR. BROOK: Okay. So it's all done, so
2 it's really --

3 DR. SOX: I think it's in there.

4 DR. BROOK: So there's nothing we need to
5 change.

6 DR. SOX: Yeah. Alan?

7 DR. GARBBER: I'd like to make a process
8 suggestion.

9 DR. SOX: It would be welcome.

10 DR. GARBBER: The process suggestion is
11 that the committee vote to accept or reject the
12 current document in general, subject to further
13 revision, and the process for further revision I
14 suggest is that the detailed comments be submitted to
15 perhaps Hal and incorporated by the methods working
16 group, writing group, whatever you want to call it.
17 They produce a document that will then be distributed
18 to the entire Executive Committee for comment and
19 acceptance.

20 And I don't think this requires formal
21 vote, because these should at this point be basically
22 word smithing changes. And if it turns out there are
23 some major issues, then we can subject it to a vote,
24 perhaps at the next executive committee meeting.

25 DR. SOX: So your proposal is we would

00148

1 take a vote now to accept the major thrust and then
2 we would word smith, but not require a future vote.

3 DR. GARBBER: Yeah. I'm basically asking
4 that the writing group have the discretion to produce
5 the document, which however would then be distributed
6 to the entire executive committee before being made
7 available to the public.

8 DR. BERGTHOLD: Can we ask that changes be
9 put in italics or something, so that we don't have to
10 try to figure out what they were?

11 DR. SOX: They are currently in bold face,
12 Linda. They are in bold face now.

13 DR. GARBBER: By some comparable method.

14 DR. SOX: By some comparable method.
15 Would you like to suggest a time frame for that,
16 Alan? I think it's really important to get this
17 stuff up and out of here.

18 DR. GARBBER: Yeah. I would suggest that
19 your individual comments be submitted to Hal within
20 the next 10 days and that the writing committee be
21 given one month after that to produce the final
22 document.

23 DR. SOX: So we might say six weeks to
24 have completed the revision process and then another
25 two weeks for further comment, but we'll aim to have

00149

1 something that we can live by for a while by two
2 months from now.

3 DR. GARBER: Right.

4 DR. SOX: Does that sound reasonable?

5 DR. BROOK: I thought we just did that. I
6 just don't know why we can't -- this is an interim
7 document -- why we can't take all of those word
8 smithing changes and put them on the agenda for the
9 next document, and we can't just pass this document
10 and get on with it. We've made the changes, the
11 major changes that we talked about. We have gone
12 over this now five different times. Everyone is
13 going to want to do it a little bit differently.

14 When it goes back for you, we're going to have to go
15 back through a public response, an executive
16 committee response. I mean, haven't we done -- we've
17 done this three times. It's an interim document. I
18 mean, all of us have something we dislike with it,
19 and we always will, so why don't we just pass it?

20 DR. SOX: I think there needs to be an
21 understanding that any changes will be minor word
22 smithing changes. Anything that's really
23 substantive, let's talk it through and get it passed
24 so that -- I agree with Bob. I don't think -- we
25 shouldn't spin this out, but I think there should be

00150

1 an opportunity to tweak it a little bit.

2 DR. GARBER: Maybe I wasn't being clear
3 enough. What I have in mind I think is similar to
4 what Bob is saying. This should only be word
5 smithing changes that we're voting to approve or not
6 approve today, but the idea is that Executive
7 Committee members would have an opportunity to review
8 this, and if they see that a change in their view in
9 fact is not simply word smithing but a substantive
10 change, they would have a chance to express their
11 disapproval.

12 MS. RICHNER: I still am very concerned
13 once again about the timings, and that is a big
14 issue, and I'm concerned, are we going to do that in
15 the methods subgroup in terms of putting some timings
16 in there?

17 DR. GARBER: No. I think that the way the
18 document stands it does not have time lines and if
19 you think it should, it has to have more detailed
20 time lines, you should probably vote down this
21 document, because that would be a substantive change.

22 MS. RICHNER: It is a substantive change,
23 and also, there are timings that are described in
24 here in a couple places which I have disagreement
25 with. I mean, you either have to take them all out

00151

1 and review, think of it differently. I mean, it's
2 very important Hal, I'm sorry, but timing is a huge
3 issue here.

4 DR. SOX: I know it's a huge issue. But,
5 my suggestion is that we develop a time line for
6 discussion and approval at our next executive
7 committee meeting, we not try to do that now. Let's
8 get this document out along the lines of what Alan
9 has suggested, with a -- and hold our hands to the
10 fire to get a time line for discussion and vote at
11 our next meeting.

12 Ms. RICHNER: Time lines associated with
13 the process?

14 DR. SOX: Yeah, along the lines of what
15 you were doing with your work, your summary.

16 MS. RICHNER: Okay.

17 DR. SOX: Linda.

18 DR. BERGTHOLD: Do we have any EC meetings
19 scheduled at this point?

20 DR. TUNIS: No.

21 DR. BERGTHOLD: Do you have any idea
22 approximately what month?

23 DR. TUNIS: Probably late spring or early
24 summer, so Junish or something; that's a very wide
25 target.

00152

1 DR. SOX: Okay. I would like all comments
2 to be focused on this process question so we can get
3 to agreement on that, and then I want to vote.

4 DR. BROOK: What's the process question?

5 DR. GARBER: Could I just clarify the
6 process then please?

7 DR. SOX: Yes, please.

8 DR. GARBER: It is that it would be, we
9 would be approving or not today, and these rules
10 would remain -- or interim guidelines would be in
11 effect as approved when revised, unless members of
12 the Executive Committee said not that they disagreed
13 with something we already discussed, but they thought
14 that some of the changes made changed the meaning in
15 such a way that it was a substantive change and
16 therefore it does not correspond to what they voted
17 to approve today.

18 DR. BROOK: I basically think we need
19 interim guidelines. I don't -- I want to speak
20 against that process. I think the only thing that
21 will work at this moment is to give Hal the
22 authority, whatever -- if they are substantive
23 changes, those people ought to vote down and we ought
24 to then know that we don't have agreement on this
25 thing. If we have agreement on substance change,

00153

1 anything that's considered word smithing ought to be
2 sent to Hal, no working group meetings, no other
3 thing. Hal has the right to change any word he so
4 sees and that document as Hal modified it, it would
5 be in Hal's judgment whether that's substantive or
6 not, and we will have a final document which will be
7 our interim rules. I don't believe we can recycle
8 this because we're not going to get anywhere. I
9 think we ought to vote up or down whether we agree on
10 the substance, and then we ought to delegate to Hal
11 the ability to make -- Hal and HCFA staff, to make
12 any changes in the document that are at the word
13 smithing level. If it's really word smithing, that's
14 all it takes.

15 MS. RICHNER: What's the rush?

16 DR. BROOK: What?

17 MS. RICHNER: What's the rush? I mean,
18 why can't we all still be part of this in terms of
19 the word smithing of the document?

20 DR. GARBBER: I think the point is not --
21 if we were all in agreement that it is only word
22 smithing, there would not be a problem. The whole
23 issue is that what I think is word smithing, someone
24 else might think is a major change. And I think we
25 need to give other members of the Executive Committee

00154

1 an opportunity to review this.

2 DR. SOX: Well, wouldn't that end be
3 served if we inserted an opportunity for members of
4 the Executive Committee to look at the product after
5 I have tweaked it, and if they object to any of the
6 changes, then we'll have to find some way to get
7 resolution.

8 DR. GARBBER: I thought that's what I
9 proposed.

10 DR. SOX: Well, but the only difference it
11 that you (inaudible colloquy, several speakers)
12 Bob -- the difference between your proposal and Bob's
13 is that you would propose the working group get in
14 the middle of it.

15 DR. GARBBER: If you want to do it on your
16 own, that would be totally fine from my point of
17 view.

18 DR. SOX: Yeah, I'm happy to. I think
19 Bob's suggestion is a good one and I can get it done
20 pretty quickly.

21 DR. GARBBER: But it's still -- where Bob
22 and I disagree, it's not the issue of whether the
23 working group sees it, it's that the entire Executive
24 Committee have an opportunity to see it.

25 DR. BROOK: Well, we've all seen it.

00155

1 That's what we came to do here today.

2 DR. SOX: No, I think -- Bob, Alan's point
3 is that people ought to get a chance to make sure
4 that the word smithing changes don't destroy the
5 intent of the document as we have approved it.

6 MS. RICHNER: The word sufficient for
7 instance, versus adequate, but that's pretty major.

8 DR. SOX: Okay. We're going to move right
9 around like this. Leslie?

10 DR. FRANCIS: I have a different kind of
11 problem, which is that there is this entirely new
12 section, pages 5, 6 and 7, which we haven't had a
13 chance to sort of chew over as a group, and I mean,
14 I'm very much in favor of the whole document. I
15 think there's some ways that are substantive ways,
16 they aren't just word smithing ways, that we could
17 talk about what to do when the evidence is
18 inadequate, and in particular I was worried about
19 number one, dropping the idea of how do we think
20 about a study that would take too long to do, how do
21 we think about all the long-term kinds of problems,
22 and that just gets dropped in here.

23 So I don't know when we'll ever have a
24 chance to do that, and I just wanted to -- this is
25 not in any way -- it's just that it's not word

00156

1 smithing, it's not to say in any way that we
2 shouldn't go ahead with this, but maybe we should
3 flag for our discussions at a later point some
4 brainstorming possibilities about the new section.

5 DR. SOX: Well, I mean we have several
6 options. You're proposing something that's going to
7 take some grunt work, either now or later. You could
8 make your proposal and we could vote it up or down,
9 or you could just let it ride.

10 DR. FRANCIS: Obviously under the time, I
11 think it's really important to just let it ride, but
12 I wanted to flag for us that this is a section that
13 we haven't had a chance to chew over and think about
14 some of the kinds of issues that it raises, which --
15 I mean, I like it in general, but I think there's
16 more we could say here that would be helpful.

17 DR. SOX: Do you want to respond to that
18 point?

19 DR. GARBNER: Well, I was just wondering if
20 you were ready to entertain a motion yet.

21 DR. SOX: Well, there are a few other

22 people that had their hands up. I wanted to deal
23 with them. Mike?

24 DR. MAVES: The only thing I would say is
25 I think we would all welcome a written proposal with

00157

1 specific ideas and proposed language changes. I
2 would also make sure, and I think this was brought up
3 earlier, we would like to get Randel's outline. And
4 I would also say to Randel, give us what you think is
5 your proposed time line. It may well be that when
6 that is down on paper it's not going to be something
7 that's going to cause a lot of substantive argument
8 and in fact, people may like it. I mean, that's one
9 of the things that we didn't necessarily get today
10 was what, if you don't like where we're at, where do
11 you want to be, and if she gives that to us, we may
12 well find that isn't a substantive change.

13 DR. BROOK: Can I try this one more time?
14 Are we at the state with this document where we can
15 vote it up or down and then add at the next meeting,
16 we might have an hour discussion of amendments, which
17 might include a time line, which might include
18 looking at this section again, but I mean, it sounded
19 like when we went around the room, everybody was --
20 and why don't we develop a process which we -- and
21 I'm not even sure we ought to do word smithing on
22 this document at the moment, because I believe that
23 it will cause problems. I'm just wondering whether
24 we should vote this up or down right now, send it out
25 there, use it as guidance to the next panel that is

00158

1 planned, we come back in July, and the first piece of
2 our agenda is to discuss amendments to the document.
3 Why don't we do that, and if there needs to be work
4 done between now and July, we ought to convene the
5 methods subcommittee to do it in a formal way. Let's
6 get this approved, since we have this in front of us,
7 without word smithing, and don't change it, vote up
8 or down at this moment, and then visit any amendments
9 in July with the process to convene as a standing
10 committee what the methods subcommittee has done to

11 the document in the next months.

12 DR. SOX: Do you want to make that as a
13 motion?

14 DR. BROOK: I'll make it a motion. I move
15 that we approve the document as such. I move that
16 the methods committee becomes a standing subcommittee
17 or whatever the heck the process is here, of the
18 Executive Committee, and I move that people would
19 like to have this document amended submit those
20 concerns to the methods committee and that the
21 methods committee prepares that as an agenda item for
22 the next meeting.

23 DR. SOX: So, no word smithing, according
24 to your proposal?

25 DR. BROOK: No word smithing.

00159

1 DR. SOX: No word smithing except to take
2 into account the two major changes that we discussed.

3 DR. BROOK: The two word smithing that
4 we've done right now, I mean the two changes we have
5 done.

6 DR. SOX: So that's your motion, and that
7 requires a second for us to act on it.

8 DR. HOLOHAN: Second.

9 DR. SOX: There's a second. Now we'll
10 have discussion of that motion. Alan?

11 DR. GARBER: Actually I've kind of become
12 sympathetic to what Bob is proposing except for the
13 no word smithing bit. This document actually is
14 technically not even what we had produced because
15 it's gotten misformatted since it went, I think,
16 between an IBM and Mac versions of Word. There's all
17 kinds of little things that are small errors.

18 And I would like to propose to Bob a
19 friendly amendment, that this document, or -- it
20 can't be amended because it's been seconded? But
21 anyway, the amendment is minor word smithing that Hal
22 can determine himself is truly minor, that should not
23 change any substance of the document, and that we
24 vote yes or no on the document that, subject to those
25 truly minor changes, I think would be completely

00160

1 noncontroversial.

2 DR. SOX: And anything that I determine,
3 any input that I got that seemed noncontroversial,
4 I'm just going to put it aside for the next meeting.

5 DR. BROOK: That's correct.

6 DR. SOX: Would that be acceptable to you,
7 Bob?

8 DR. BROOK: Yeah. You can clean up typos.
9 I mean, my amendment should be, or the intended
10 amendment is that anyone that has typos, formatting,
11 plural versus non-plural, anything that, you know,
12 you want to add a sense of what this clarifies,
13 anything like that, let's deal with it. But other
14 than that, it ought to be labeled as substantive and
15 handled at the next meeting.

16 DR. SOX: Okay. And you have two weeks to
17 get your input to me.

18 MS. RICHNER: One thing that you did the
19 last time, Hal, that was helpful to me was that you
20 essentially had it broken down by questions that were
21 posed, and did anyone have comments on that, and that
22 was very helpful. You took the document apart and
23 said there's a question about this, what are your
24 comments about it, and so perhaps if you could use
25 that similar kind of format, that would be helpful

00161

1 for all of us to dissect this and provide our
2 comments to you. I don't know.

3 DR. BROOK: I believe that we are doing
4 word smithing and not substance. I would also like
5 to argue that from now on, the substantive changes
6 ought to be proposed to the methods committee and not
7 the chairman proposing to the committee the
8 substantive changes. That whatever substantive
9 changes that the committee wants ought to be proposed
10 to the chair of the subcommittee or the methods
11 committee. This document is clear, it's clean, we
12 ought to do that, and we ought to just move in a way
13 and handle it in that way.

14 DR. MURRAY: Mr. Chairman, a point of
15 order. I think we need a second for Alan's friendly

16 amendment.

17 DR. SOX: Yes. Do we, or is it sufficient
18 just for the proposer to accept it?

19 DR. GARBNER: The seconder has to accept it
20 too, I think.

21 DR. SOX: Oh. Does the seconder accept
22 it?

23 DR. HOLOHAN: Yes.

24 DR. SOX: Thank you. Any further
25 discussion about Bob's proposal as modified by Alan?

00162

1 If not, I think this is something everybody can vote
2 on. All in favor, raise your hand. Any opposed?
3 Great.

4 (The vote was unanimous in the
5 affirmative.)

6 DR. SOX: Well, in that case we have
7 accomplished the second of our three tasks, and I am
8 going to turn the meeting over to Sean to say a few
9 remarks to sort of get us thinking in a constructive
10 way about a process for dealing with the future of
11 MCAC.

12 DR. TUNIS: This hopefully we can keep to,
13 you know, five or so minutes. What we just passed
14 around was a Section 522 of the Benefits Improvement
15 and Protection Act of 2000, which I was just going to
16 walk you through and give you a two-word summary of
17 what's on each page so you know the things that
18 relate to the coverage process.

19 So on the first page basically, this is
20 just a new thing here, it's an item under iii that
21 basically makes nation coverage decisions now
22 appealable to a department advisory board, which is a
23 body that exists within the Department of Health and
24 Human Services. Previously, national coverage
25 decisions had not been appealable to anybody and now

00163

1 they are appealable to this departmental advisory
2 board, and we're sorting out how exactly that's going
3 to work.

4 On the second page under local coverage

5 determinations, this allows for appeals of local
6 medical review policies or local coverage decisions,
7 which can be appealed to administrative law judges or
8 they can actually be appealed, the decisions of the
9 administrative law judges can also be appealed to the
10 departmental advisory board. So they've introduced
11 some more opportunities for beneficiaries to appeal
12 local and national coverage decisions. There's also
13 some provisions about, you know, under what
14 circumstances manufacturers can or can't participate
15 in asking for appeals.

16 On the third page, this actually puts in
17 statute for the first time under Section 4 here, a
18 90-day clock. This is the first time this has
19 appeared in statute, a 90-day clock for coverage
20 decisions. At the end of 90 days, if you see the
21 small letter, there's four items of what possible
22 actions can be taken at 90 days, either a national
23 coverage decision is issued, a national non-coverage
24 decision is issued, we could issue a decision that no
25 national policy will be issued, in other words, it

00164

1 will be carrier discretion or contraction discretion
2 at the local level, and the fourth item is basically
3 where we would not issue a decision but identify the
4 remaining steps in the process and the deadline by
5 which the decision will be made. So that's the, so
6 in other words, that's something that can be
7 determined at 90 days, but it has to come with an
8 absolute deadline.

9 There's some debate internally about
10 whether deadline means an actual date, or a deadline
11 means based on a series of events that needs to
12 occur, but it will probably end up being an actual
13 date.

14 And then the last thing of most -- let's
15 see. On the next page, it actually starts at the
16 bottom of the previous page, about an annual report
17 on national coverage determinations, item 7, this is
18 what I mentioned before, that we will be required on
19 a yearly basis by December 1st to give a detailed
20 compilation of the actual time periods that were

21 necessary to complete and fully implement national
22 coverage determinations. So that's a report to
23 Congress.

24 In the next section under establishment of
25 a process for coverage determinations, in the fourth

00165

1 line it says, the Secretary shall insure that the
2 public is afforded notice and opportunity to comment
3 prior to implementation. This is now a mandated
4 opportunity for public input. What is somewhat
5 unclear and we're sorting out is whether that means
6 public input on a draft proposed national coverage
7 decision, or this is public input during the, once
8 the question has been identified, an opportunity for
9 public input. As you can imagine, fitting all these
10 things into 90 days is going to be an interesting
11 challenge that we're trying to work out.

12 The next section, Section C, this allows
13 for full participation of nonvoting members in the
14 deliberation of the advisory committee, including
15 access to all the materials. So in the point of
16 adding members to the panels for content and
17 methodological expertise, this just assures that they
18 would have access to all the information that the
19 full voting panel members would have.

20 And then the last -- the top of the next
21 page, there's actually the key paragraph. It starts
22 as number 2 on the previous page, and basically this
23 says now that the panels of experts, in other words,
24 our panels, may report any recommendation with
25 respect to items and services directly to the

00166

1 Secretary without prior approval of the advisory
2 committee or an executive committee thereof. This is
3 the one that allows the panels to directly make
4 recommendations to HCFA. Formally they are made, the
5 formal language is they are made to the Secretary.
6 So in other words, this gets rid of the notion of
7 executive committee ratification as a necessary step
8 in the process.

9 So, what I wanted to do was just mention

10 to you a couple of the thoughts that have been
11 generated internally and some discussion with Hal and
12 a couple of the other EC members about possible
13 continuing roles for, what the implications of this
14 might be for the executive committee and possible
15 roles going forward. I'm not saying that they should
16 be, I'm just throwing them out as suggestions for you
17 all to think about until we meet again.

18 As we had discussed earlier, this says
19 that the panels don't need to have their
20 recommendations ratified, but it says nothing, it
21 does not disallow the Executive Committee from
22 discussing the recommendations made by the panels.
23 And as we were talking about earlier, of having a
24 detailed three to five-page summary from the panels
25 explaining what the panel recommendation was just as

00167

1 we currently do, there is nothing that says that the
2 Executive Committee couldn't and shouldn't comment on
3 that and determine whether it's in compliance with
4 the interim guidelines on methodology, et cetera,
5 et cetera. And you know, if the Executive Committee
6 wanted to even give a contrary view or suggest that
7 HCFA send the thing back to the panel with some
8 advice, the Executive Committee could do all those
9 things. And I think, you know, probably some of that
10 would be useful.

11 Obviously, the Executive Committee is
12 going to continue to work with these interim
13 guidelines for methodology as a continuing role, and
14 that will obviously continue to occupy some time.
15 Maybe one day they will be perfected, but it seems to
16 me that those become increasingly important as the
17 panels become more independent to create the
18 conceptual framework and the evidence standards that
19 the panels will be asked to apply and then obviously,
20 the supervisory role of making sure that that happens
21 would be a useful role.

22 The Executive Committee would seem to have
23 an important role potentially in helping to frame the
24 questions for assessment, as was discussed earlier,
25 so early involvement in helping to make sure that the

00168

1 questions are being framed properly and then all the,
2 again, as we discussed when talking about Randel's
3 framework.

4 And then there are some overarching issues
5 of coverage that come up, and I'll give you one
6 that's quite current that we're struggling with
7 related to PET, which we are not going to talk about
8 today, but it has to do with whether the PET coverage
9 policy should only be applied to the dedicated PET
10 scanners, from which all the data was acquired, or
11 whether that coverage policy should apply to
12 coincidence cameras, which are gamma cameras that
13 have been upgraded or outfitted to detect positrons
14 in a coincidence mode, and I even hesitate to use
15 this kind of language because it's so complicated I
16 usually get it wrong, but basically it's pretty clear
17 that the upgraded gamma camera systems for camera PET
18 performs at some level lower than dedicated PET in
19 terms of the quality of the images, the sensitivity,
20 the specificity. There's no data that was ever
21 submitted on the use of those cameras and we are now
22 in a fairly intense discussion about how we're going
23 to make this December 15th coverage decision apply
24 and how broadly.

25 And it seems to me like a place, it would

00169

1 be nice to be able to come to a place like the
2 Executive Committee and have that sort of overarching
3 issue discussed. Randel just let out a sigh so maybe
4 she doesn't agree. But they are complicated issues,
5 and maybe that one's not a great example, but that
6 sort of issue does come up. Did you want to say
7 something, Barbara?

8 DR. McNEIL: I think that's a great
9 example, to review and discuss it. There was
10 actually an article in JAMA yesterday, I think, that
11 was a synthesis --

12 DR. TUNIS: Yeah. It was on PET for
13 pulmonary nodules.

14 DR. McNEIL: Yeah, PET for pulmonary

15 nodules. The data was pretty crummy, but it
16 basically showed that there was no difference.

17 DR. TUNIS: Use of the gamma cameras
18 versus the dedicated.

19 DR. McNEIL: Yeah, but they had only two
20 gamma camera studies and 20 dedicated units, so it
21 was really not a great comparison, but the data
22 suggested no difference.

23 DR. TUNIS: And you will all be interested
24 to know that the editorial for that article was
25 written by Ethan Balk and Joe Lowe, who were the

00170

1 writers of the Tufts report, and that's an
2 interesting editorial.

3 DR. BROOK: Sean, I would just -- at some
4 point we need to discuss what we mean by technology
5 assessment, and like I said, we have taken this
6 limited approach of your asking these questions which
7 we have enough difficulty with obviously, but for
8 instance, when a better angioplasty catheter comes up
9 that costs three times as much, do we do another
10 assessment of the older model and say that it's less
11 -- since we don't do anything with costs, should you
12 be asking us to do a lower assessment to produce the
13 safety and health of the Medicare population to say
14 that this is less good now than the other one, the
15 evidence is in, this produces a higher complication
16 rate, it produces more of whatever, and therefore, it
17 would not be approved now.

18 So should we, as older technology exists
19 that's being replaced -- as you know, there is 20
20 year time lags in some of this stuff or longer, and
21 what should we do with the older and cheaper stuff
22 that's not as good? So there's these kinds of
23 fundamental questions. It would be nice to really
24 have some understanding instead of us being on the
25 receiving end of HCFA when they say this is what you

00171

1 want us to do, as opposed to us being on the
2 proactive end to say this is how a technology
3 assessment process ought to be run.

4 And how about open public discussion?
5 We've never had that discussion with you all. I
6 don't know whether it can be done in a public
7 session, but that kind of discussion which would take
8 advantage of our diversity and deal I think with
9 Daisy's points of what our mission is here, is much
10 different than the kind of discussions that we have
11 had.

12 DR. SOX: Alan.

13 DR. GARBNER: Well, on a different aspect
14 of what you were mentioning, Sean, it's the
15 ratification role that the Executive Committee has
16 had and will no longer have. I personally never
17 thought that the added value of the Executive
18 Committee came so much from ratification as for
19 providing feedback and helping to promote consistency
20 among the different panels, and I think that everyone
21 benefits from that kind of review.

22 As a panel chair, I appreciate getting the
23 review by the Executive Committee and as I understand
24 this, we give up the ratification role, but there is
25 no prohibition on reviewing the panel's work and

00172

1 providing feedback. And I think that insofar as
2 there's going to be some overarching common
3 approaches to carrying out all the duties, the role
4 of the Executive Committee is going to remain
5 extremely important.

6 So, I would just like to suggest that I
7 hope we continue to review the panel reports, the
8 reasoning that the panels use to reach their
9 conclusions, and provide feedback to the panels about
10 how we think the process is operating. And industry
11 may think that that's a bad thing; I would suggest
12 it's actually to their advantage, because that's how
13 we'll get consistency and uniformity across the
14 deliberations of the panels, and everybody benefits
15 if this is more predictable.

16 DR. FRANCIS: I think it might be useful
17 to say that's a shared sentiment, at least from here.
18 I don't know if others share it too, but we probably
19 should indicate it if we do.

20 Ms. RICHNER: One of the things that I
21 think maybe you can clarify, Sean, was that in BIPA,
22 one of the suggestions was that you essentially write
23 somehow a report, a memorandum, whatever, of what the
24 coverage process is, and essentially what the process
25 is, what it's supposed to be, what decisions are

00173

1 referred to MCAC and why, and what is the intent. I
2 mean, those kind of things, if you could clarify that
3 from your perspective, from HCFA's perspective, that
4 might help a lot of this discussion along. And
5 that's been one of our points, I think, that we have
6 been floundering with for quite a while.

7 DR. TUNIS: No, actually I am not sure if
8 that is in BIPA or if it's not, but in any case it is
9 underway, we are planning to do a -- you know, we
10 have a Federal Register notice that describes our
11 process, you know, not every aspect of it, and we're
12 certainly keeping now a tally of the sorts of things
13 like what are the criteria for which something is
14 referred to MCAC, which I think, you know, need to be
15 spelled out, not that we have necessarily a great
16 answer for that.

17 MS. RICHNER: And out of the thousands of
18 decisions that are made every year, most of them are
19 done on a local level. There's very few that come to
20 the national level.

21 DR. TUNIS: Right, and those sorts of
22 issues, it seems to me themselves would be useful
23 issues to get some feedback from the Executive
24 Committee, you know, what should the explicit
25 criteria for referral of an issue to MCAC be. How

00174

1 should we be prioritizing, you know, given that we
2 generate a certain number of internal assessments,
3 you know, getting some feedback about the priority of
4 a particular thing we might take on, I think would
5 also be the kind of feedback we need.

6 MS. RICHNER: It would be very interesting
7 to compare decisions that you've made at HCFA
8 internally and what types of decisions are being

9 asked of our committee. I mean, if you posted all of
10 your recent decisions, I think that would be very
11 valuable for the committee to look at, what decisions
12 you made and how you've gone about your process for
13 those decisions.

14 DR. TUNIS: The last thing I was going to
15 mention, it was going to be an item of some more
16 detailed discussion if we could have done it, but I
17 do think we're running out of time, but this sort of
18 relates to helping frame the questions, but I think
19 of it as sometimes there's questions about how to
20 even scope an assessment topic and so as an example
21 of this, we have agreed that we are going to be
22 looking at the use of positron emission tomography
23 for Alzheimer's disease. We are considering a number
24 of approaches to that internally, one of which would
25 be to look at the issue of neural imaging broadly in

00175

1 suspected dementia, so looking at CT, MRI and PET,
2 not PET in isolation but in the context of
3 alternative neuroimaging strategies.

4 Similarly, a critical part of the
5 Alzheimer's question seems to be the existence now
6 and in the future of potentially effective therapy
7 and how effective is that therapy, and how effective
8 is that therapy when it's started prior to even the
9 manifestation of symptoms. But those sorts of
10 scoping questions seem to me would be fair game for
11 getting EC input, as opposed to making all of those
12 determinations internally within the coverage group
13 and then, you know, presenting you all a TEC
14 assessment on PET for Alzheimer's disease. That's
15 it.

16 DR. SOX: Well, I think trying to get the
17 Executive Committee more involved in formulating an
18 agenda for MCAC, that might not only address specific
19 technologies but how you group them could be really
20 valuable and it would be wonderful if part of our
21 function would be basically to set the scope of work
22 for the next year, and getting input from a varied
23 group like this could be very useful and make things
24 seem more predictable to everybody, you, us, as well

25 as industry.

00176

1 Well, I think unless there are further
2 comments, we'll adjourn and give everybody best
3 wishes for getting home. I need a motion.

4 DR. FRANCIS: I move to adjourn.

5 DR. GARBER: Second.

6 DR. SOX: Anybody object to disbanding at
7 this point?

8 (The Executive Committee meeting adjourned
9 at 12:05 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25