

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CENTERS FOR MEDICARE AND MEDICAID SERVICES
Medicare Evidence Development & Coverage
Advisory Committee

Meeting held virtually via Zoom

September 22, 2021

Centers for Medicare and Medicaid Services
7500 Security Boulevard
Baltimore, Maryland

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Panelists

Chairperson

Peter Bach, MD, MAPP

Vice-Chair

Joseph Ross, MD, MHS

MEDCAC Members

Cecelia C. Brewington, MD

Michael P. Cinquegrani, MD

Ella Annabelle Effat Kazerooni, MD, MS

Stephen Lahey, MD

Brian J. Miller, MD, MBA, MPH

Alan Speir, MD

Allison Stephens

Sam Tyagi, MD

Gregory Thomas, MD, MPH, FACC, MASNC

Steven Waldren, MD, MS

I

Invited Guest Speakers

Sameer A. Ansari, MD, PhD

Walter Koroshetz, MD

Jeffrey L. Saver, MD

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Adnan H. Siddiqui, MD, PhD, FACS, FAHA
CMS Liaison
Joseph Chin, MD
MEDCAC Coordinator
Tara Hall

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks	
5	Tara Hall/Joseph Chin, MD/Peter	
6	Bach, MD	6
7		
8	CMS Presentation and Presentation of Voting	
9	Questions	
10	Andrew Ward, MD	19
11		
12	Scheduled Presentations	
13	Walter J. Koroshetz, MD	25
14	Jeffrey L. Saver, MD	44
15	Sameer A. Ansari, MD	63
16	Adnan H. Siddiqui, MD	85
17		
18	Scheduled Public Comments	
19	Irene Katzan, MD	107
20	Lourdes R. Carhuapoma, MS, ACNP-BC/	113
21	Noeleen Ostapkovich, MS/Daniel F.	
22	Hanley, MD	
23	Michael Chen, MD/Clemens Schirmer, MD/	128
24	Joshua Hirsch, MD/Mahesh Jayaraman,	
25	MD/James Milburn, MD	

1	TABLE OF CONTENTS (Continued)	
2		
3	Open Public Comments	
4	(None registered.)	
5		
6	Questions to Presenters	140
7		
8	Initial Open Panel Discussion	183
9		
10	Formal Remarks and Voting Questions	243
11		
12	Final Open Panel Discussion	289
13		
14	Closing Remarks and Adjournment	291
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

1 PANEL PROCEEDINGS

2 (The meeting was called to order at
3 8:00 a.m., Wednesday, September 22, 2021.)

4 MS. HALL: Good morning, everyone.
5 Welcome committee chairperson, vice
6 chairperson, members and guests to our virtual
7 MEDCAC meeting. I am Tara Hall, the Medicare
8 Evidence Development and Coverage Committee
9 coordinator. The committee is here today to
10 discuss health outcomes in cerebral vascular
11 disease treatment studies. The MEDCAC panel
12 will examine the growing challenges associated
13 with the decreased level of evidence of certain
14 new and innovative technologies. By voting on
15 specific questions and by their discussion,
16 MEDCAC panel members will advise CMS about the
17 ideal health outcomes in research studies of
18 cerebral vascular disease treatment
19 technologies, appropriate measurement
20 instruments and follow-up durations to help to
21 provide clarity and transparency of National
22 Coverage Analyses.

23 The following announcement addresses
24 conflict of interest issues associated with
25 this meeting and is made part of the record.

1 The conflict of interest statute prohibits
2 special government employees from participating
3 in matters that could affect their or their
4 employer's financial interests. Each member
5 will be asked to disclose any financial
6 conflict of interest during the introduction.
7 We ask in the interest of fairness that all
8 persons making statements or presentations
9 disclose if you or any member of your immediate
10 family owns stock or has another formal
11 financial interest in any company, including
12 any Internet or e-commerce organization, that
13 develops, manufactures, distributes and/or
14 markets consulting, evidence reviews or
15 analyses, or other services related to
16 cerebrovascular disease treatment medical
17 technology. This includes direct financial
18 investment, consulting fees and significant
19 institutional support.

20 If you require a financial disclosure
21 statement, please email Ruth McKesson so she
22 can send you the form for completion. Her
23 email is ruth.mckesson, M-C-K-E-S-S-O-N,
24 @cms.hhs.gov.

25 We ask that all presenters please

1 adhere to their time limits. We have numerous
2 presenters and a tight agenda. Therefore, we
3 cannot allow for extra time. During each
4 presentation presenters will receive reminders
5 informing them how much time they have
6 remaining to stay within their allotted time.
7 Presenters will receive a prompt two minutes
8 prior to their speaking time to insure they are
9 ready to present.

10 During the open public comment,
11 attendees who wish to address the panel will
12 have that opportunity on a first come basis.
13 Please email Ruth McKesson if you want to
14 address the panel by 9:30 a.m.

15 For the record, voting members present
16 for today meeting's are Dr. Joseph Ross,
17 Dr. Cecelia Brewington, Dr. Michael
18 Cinquegrani, Dr. Stephen Lahey, Dr. Brian
19 Miller, Dr. Alan Speir, Dr. Sam Tyagi,
20 Dr. Gregory Thomas, and Allison Stephens.
21 Nonvoting panel members are Dr. Peter Bach, Dr.
22 Ella Kazerooni and Dr. Steven Waldren. A
23 quorum is present and no one has been recused
24 because of conflicts of interest.

25 The entire panel including nonvoting

1 members will participate in the voting. The
2 voting results will be available on our website
3 following the meeting.

4 We ask that all speakers state their
5 name each time they speak, speak slow and
6 concise so everyone can understand, speak
7 directly into your computer mic and do not use
8 your speaker phone to help achieve best audio
9 quality. Ensure your devices are on mute if
10 not speaking, and while speaking, please place
11 phones on silent. Remove pets from your area
12 and anything else that would minimize
13 distractions and background noises.

14 This meeting is being held virtually
15 in addition to the transcriptionist. By your
16 attendance you are giving consent to the use
17 and distribution of your name, likeness and
18 voice during the meeting. You are also giving
19 consent to the use and distribution of any
20 personally identifiable information that you or
21 others may disclose about you during today's
22 meeting. Please do not disclose personal
23 health information.

24 In the spirit of the Federal Advisory
25 Committee Act and the Government in the

1 Sunshine Act, we ask that the advisory
2 committee members take heed that their
3 conversations about the topic at hand take
4 place in the open forum of the meeting. We are
5 aware that many parties including the media are
6 interested to speak with the panel about this
7 proceeding. However, CMS and the committee
8 will refrain from discussion of details of this
9 meeting with the media until its conclusion.
10 Also, the committee is reminded to please
11 refrain from discussing the meeting topics
12 during breaks or at lunch.

13 And now I will turn the meeting over
14 to Dr. Joseph chin, CAG deputy director.

15 DR. CHIN: Good morning, thank you,
16 Tara. I wanted to echo Tara's welcome and to
17 thank our chair, vice chair, panel members,
18 speakers, stakeholders for attending and
19 participating. We know that everyone is very
20 busy as researchers, physicians, clinicians and
21 experts in the field, and greatly appreciate
22 your willingness and time and effort to assist
23 CMS in review of the evidence related to the
24 topic of the day, it is a great commitment and
25 we really appreciate your input.

1 In general, a couple points to note.
2 As a reminder, the MEDCAC helps CMS review and
3 evaluate the clinical evidence related to
4 benefits and harms and appropriateness of
5 certain interventions with a specific focus on
6 the Medicare population. The MEDCAC does not
7 make coverage determinations, and while often
8 we will have one calculated to an open
9 consideration, we do not have one related to
10 the interventions for this topic, but your
11 input is really also very helpful for a number
12 of other considerations that we have related to
13 coverage and how we interpret the evidence.

14 Specifically for this topic, given
15 that it's really a very highly specialized
16 field, interventions are really highly
17 specialized, the expertise that the MEDCAC
18 brings is very helpful to CMS.

19 One other point to note is MEDCAC and
20 CMS in general, we do not consider costs in our
21 determinations, so related aspects are
22 considered outside the scope of this meeting.

23 And I think as we go along through the
24 day we'll hear a lot of discussions and it's a
25 busy day, and so I think from that standpoint

1 we will be taking lots of notes to make sure
2 that we document all the important input that
3 we get during the day.

4 With that I will turn it over to
5 Dr. Bach, our chair of the MEDCAC.

6 DR. BACH: Good morning. I would like
7 to welcome everyone to the MEDCAC meeting on
8 health outcomes in cerebrovascular disease
9 treatment studies. I want to echo what
10 Dr. Chin has said regarding thanking everyone
11 for the time involved, not only today which we
12 know is an important day where a lot of
13 relevant topics will be flushed out for CMS,
14 but also for your time in preparing for this
15 today.

16 Without further ado, I think we should
17 go on to the next step of the meeting which,
18 Tara, is our disclosures; is that right,
19 conflict disclosures?

20 MS. HALL: Correct.

21 DR. BACH: Okay, would you like me to
22 start?

23 MS. HALL: Yes.

24 DR. BACH: Okay. So I'm going to call
25 the names of the roster, but I'll begin with

1 myself. My name is Peter Bach, I'm a physician
2 at Memorial Sloan Kettering Cancer Center,
3 where I direct the health policy research
4 group. I am also the chief medical officer of
5 a private company named Delphi Diagnostics
6 based in Baltimore, that develops blood-based
7 tests for the detection of cancer.

8 Dr. Ross, could you do your
9 disclosures please?

10 DR. ROSS: Thanks, Peter. Hi, my name
11 is Joseph Ross, I'm a professor of medicine and
12 public health at Yale University. I'm an
13 associate editor of The Bridge medical journal.
14 In terms of disclosures, I do receive research
15 funding from Johnson & Johnson, but I actually
16 have no idea if they make a cerebrovascular
17 medical device, the work we do with them is
18 really in clinical trial data sharing, but I
19 thought I should disclose it.

20 DR. BACH: Thank you. Dr. Brewington?

21 DR. BREWINGTON: Good morning. I'm
22 Cecelia Brewington, a physician in radiology at
23 UT Southwestern in Dallas. I do have research
24 funding by Cannon Medical Systems but it has
25 nothing to do with neurovascular treatments. I

1 also sit on a Bracco advisory committee, which
2 is an IV contrast company, but that also has
3 nothing to do with neuro intervascular
4 treatments.

5 DR. BACH: Thank you. And I, Doctor,
6 first of all, please correct me if I get it
7 wrong, but Dr. Cinquegrani?

8 DR. CINQUEGRANI: That's very good,
9 thank you. Yes, I'm Michael Cinquegrani, I'm
10 an interventional cardiologist and professor of
11 medicine at the Medical College of Wisconsin,
12 in Milwaukee. My industry relationship is
13 clinical trials with Gore Medical for
14 cryptogenic stroke, and for full disclosure,
15 we're continuing an active trial in that area.
16 I have no other disclosures.

17 DR. BACH: Thank you. And not to, I
18 don't mean to single you out, but could I ask
19 that participants in this meeting at all times
20 or as close as you can approximate to all
21 times, please have your cameras on, this is a
22 public meeting. Everyone understands if you
23 put your camera off to do something, but thank
24 you very much.

25 Dr. Kazerooni?

1 DR. KAZEROONI: Hi, my name is Ella
2 Kazerooni, I am a cardiothoracic radiologist at
3 the University of Michigan, a professor of
4 radiology and internal medicine. By means of
5 disclosure, I recently am serving on the
6 advisory board of Polareum, which is a company
7 that looks at hyperpolarized gasses as a
8 function of lung tissue, which is not relevant
9 to the specific topic being discussed today.
10 Thank you.

11 DR. BACH: Thank you. Dr. Lahey?

12 DR. LAHEY: Yes, my name is
13 Dr. Stephen Lahey, I am now the emeritus
14 professor at the University of Connecticut. I
15 am a former chief of cardiac and thoracic
16 surgery there. In terms of disclosures, I'm
17 the chief medical officer for a company called
18 Human Resolution Technologies and have a small
19 amount of stock options amounting to about
20 \$14,500 in that company. The company is
21 involved with remote patient monitoring and has
22 nothing to do with the subject that we're
23 talking about today.

24 DR. BACH: Thank you very much.

25 Dr. Miller?

1 DR. MILLER: I am Dr. Brian Miller, I
2 am an assistant professor of medicine and
3 business at the Johns Hopkins University School
4 of Medicine and the Carey Business School. In
5 terms of disclosure I receive fees as an
6 adjunct at UNC or the University of North
7 Carolina, Health Resources and Services
8 Administration, the Federal Trade Commission
9 and the Heritage Foundation, and nothing
10 related to cerebrovascular devices.

11 DR. BACH: Thank you very much.
12 Dr. Speir?

13 DR. SPEIR: Good morning. I'm Alan
14 Speir, I'm the medical director of cardiac
15 surgery for the Inova Health System and I have
16 no disclosures.

17 DR. BACH: Thank you very much.

18 (Background noise.)

19 Somebody has -- could you please mute
20 your microphone if you're not speaking.

21 Allison Stephens?

22 DR. STEPHENS: Good morning. Yes, my
23 name is Dr. Allison Stephens and I am the
24 manager of a program, Healthy Outcomes Through
25 Positive Experiences, at Tufts Medical Center,

1 really focused on reversible health, and none
2 of that is relevant to today's topic, and I
3 have no disclosures.

4 DR. BACH: Thank you, Dr. Stephens, my
5 apologies for not using your title.

6 Dr. Tyagi?

7 DR. TYAGI: Hi, can you guys hear me?

8 DR. BACH: Yes.

9 DR. TYAGI: Hi, my name is Sam Tyagi.
10 I'm assistant professor of surgery at
11 University of Kentucky, I'm a vascular surgeon.
12 In terms of conflicts, I serve on the aortic
13 advisory board for Medtronic and Koch Medical,
14 which aren't related to cerebrovascular.

15 DR. BACH: Thank you. Dr. Thomas?

16 DR. THOMAS: Greg Thomas, I'm a
17 cardiologist, I'm clinical professor of
18 medicine at University of California Irvine. I
19 help direct cardiovascular programs at
20 MemorialCare Health System in southern
21 California. I have industry sponsored, NIH
22 sponsored trials related to atherosclerosis and
23 cardiac disease, none of which are directly
24 relevant in terms of neurological
25 interventions.

1 DR. BACH: Dr. Waldren?

2 DR. WALDREN: Good morning, Steve
3 Waldren, a family physician and informatus.
4 I'm the vice president and chief medical
5 informatics officer for the American Academy of
6 Family Physicians. I work on health IT
7 national policy, and no financial disclosures.

8 DR. BACH: Thank you very much. I
9 would like to move on to the first -- unless
10 I've missed anyone. I believe, is there anyone
11 I've missed? Oh, I'm sorry, we have a guest
12 panelist, Dr. Brooks?

13 MS. HALL: Dr. Brooks is not on the
14 panel.

15 DR. BACH: All right, thank you, Tara.
16 I'd like to move on to the first presentation
17 please, this is Dr. Andrew Ward from CMS, who's
18 the director of the evidence development
19 division.

20 DR. WARD: Good morning, and thank you
21 for joining today's MEDCAC meeting. My name is
22 Andrew Ward and I am the director of the
23 evidence development division within the
24 coverage and analysis group at CMS. We at CAG
25 want to thank the MEDCAC panel and invited

1 guests for taking the time and dedication to
2 participate in this important event. Next
3 slide.

4 The Centers for Medicare and Medicaid
5 Services is hosting and facilitating a Medicare
6 Evidence Development Coverage Advisory
7 Committee, MEDCAC, panel to examine what health
8 outcomes in studies for cerebrovascular disease
9 treatments with a focus on new technologies
10 should be of interest to CMS, in order to
11 provide clarity and transparency of
12 investigating device exemption, IDE analyses,
13 and national coverage analyses for the
14 cerebrovascular disease treatment technologies.
15 Next slide.

16 In the context of the MEDCAC,
17 cerebrovascular disease refers to all disorders
18 in which an area of the brain is temporarily or
19 permanently affected by bleeding or restricted
20 blood flow. The major types of cerebrovascular
21 disease pathogenesis are occlusive injury
22 intrinsic to blood vessels, occlusive injury
23 extrinsic to blood vessels, cerebral
24 hypoperfusion and cerebral hemorrhage. Stroke
25 is the one of the most common outcomes of

1 cerebrovascular disease and will be one of the
2 topics of conversation at the MEDCAC. The new
3 technologies include a variety of treatment
4 products for cerebrovascular disease, including
5 drugs, biologics and medical devices. Although
6 many people are interested in the Alzheimer's
7 drug Aduhelm that received an FDA expedited
8 approval, this MEDCAC is not about Aduhelm or
9 the FDA's decision about Aduhelm. Next slide.

10 The Medicare Prescription Drug
11 Improvement and Modernization Act of 2003, MMA,
12 allowed Medicare payment of the routine costs
13 of care furnished to Medicare beneficiaries in
14 certain IDE studies. Covering the cost in
15 these IDE studies removes a financial barrier
16 that could otherwise discourage beneficiaries
17 from participating, as well as providing a
18 barrier to the development of new technologies.

19 Over the past several years IDE
20 studies of cerebrovascular disease treatment
21 technologies have become quite common. The
22 volume of such studies is likely to remain
23 quite large, and CMS reviewers often have
24 challenges with the study protocols associated
25 with such technologies, including the

1 identification of health outcomes required by
2 the IDE valuation requirements. Sorting
3 through and addressing these challenges during
4 the review process often increases the process
5 time, thereby causing delays in helping
6 patients by use of the technology. CMS
7 believes that it is an opportune time for a
8 MEDCAC on the topic to give advice on outcome
9 measurements in cerebrovascular disease
10 research that will optimize the efficiency and
11 timeliness of the IDE process. Next slide.

12 Given the increased emphasis on new
13 and innovative medical products for treating
14 diseases that have few proven therapies,
15 studies of cerebrovascular disease treatment
16 technologies submitted through the IDE pathway
17 have focused less on date capturing long-term
18 results and more on intermediate and surrogate
19 outcomes. As a result, there are more frequent
20 evidence gaps with respect to the clinically
21 meaningful health outcomes for CMS
22 beneficiaries and assessments of these kinds of
23 medical technologies. The MEDCAC panel will
24 examine the growing challenges associated with
25 the increased reliance on, of intermediate and

1 surrogate outcomes used to support new and
2 innovative cerebrovascular disease treatment
3 technologies.

4 By voting on specific questions and
5 through their discussions, MEDCAC panel members
6 will advise CMS about the best practical health
7 outcomes in research studies of cerebrovascular
8 treatment technologies, appropriate measurement
9 instruments, and follow-up durations, to help
10 provide clarity and transparency of IDE
11 analyses and national coverage analyses, NCA.
12 MEDCAC panels do not make coverage
13 determinations, but CMS benefits from their
14 advice.

15 Although there is general agreement on
16 the importance of using mortality as an outcome
17 measure in cerebrovascular disease clinical
18 research, there is little or no consensus on
19 which or how to include other outcome measures.
20 For example, should these studies include
21 health outcomes such as stroke status and
22 recurrence, hospitalization and healthcare
23 resource utilization, clinician-reported
24 patient functioning, and patient-reported
25 outcome measures, PROMs? Next slide.

1 In the afternoon session the panel
2 will vote and participate in additional
3 discussion on the following questions which I
4 will now read for the record. The voting
5 questions, for each voting question please use
6 the following scale identifying your level of
7 confidence with a score of one being low or no
8 confidence, and five representing high
9 confidence, so you can see the Likert scale
10 there. Next slide.

11 Question one, how confident are you
12 that the following are standalone, meaningful
13 primary health outcomes in research studies of
14 cerebrovascular disease treatment technologies:
15 A, major disabling stroke, defined as stroke in
16 the treated vascular territory that results in
17 a modified Rankin Scale of three or greater
18 than three; B, decrease in the modified Rankin
19 Scale of two or greater than two points
20 compared to baseline; C, modified Rankin
21 scoring of two or less than two, or equal to
22 pre-stroke modified Rankin scoring if the
23 pre-stroke modified Ranking scoring was greater
24 than two; or D, other kinds of stroke, such as
25 major ipsilateral stroke or morbid stroke.

1 Next slide.

2 Second question, how confident are you
3 that the following are standalone, meaningful
4 primary health outcomes in research studies of
5 cerebrovascular vascular disease treatment
6 technologies: A, hospitalization length of
7 stay for index procedure; B, number of
8 unscheduled readmissions that are related to
9 cerebrovascular disease; C, discharge
10 disposition to rehabilitation, home versus
11 inpatient facility? Next slide.

12 Question three, how confident are you
13 that each of the following functional
14 assessments are standalone, meaningful primary
15 health outcome measures in clinical research
16 studies of cerebrovascular disease treatment
17 technologies: A, the modified Rankin Scale; B,
18 the National Institutes of Health Stroke Scale,
19 NIHSS? Next slide.

20 And the final question that will be
21 considered is number four, how confident are
22 you that using EQ-5D to measure quality of
23 life: A, is an adequate measure which reflects
24 the patient experience in the context of
25 cerebrovascular disease studies; B, should be

1 included as standalone, meaningful primary
2 health outcome measure in research studies; C,
3 should be included as a composite meaningful
4 primary health outcome in research studies; and
5 D, should be included as secondary health
6 outcomes in research studies?

7 Thank you very much.

8 DR. BACH: Thank you very much,
9 Dr. Ward.

10 I'd like to move to the first
11 presenter please, who will be Dr. Walter
12 Koroshetz, from the National Institutes of
13 Health and the National Institute of
14 Neurological Disorders and Stroke.

15 DR. KOROSHETZ: Good morning, folks,
16 and I have no disclosures, of course I'm a
17 federal employee, and I'm going to talk to you
18 today, kind of a primer on stroke with
19 relevance to the questions that you're going to
20 be dealing with. And I apologize to Greg
21 Thomas if he's heard a lot of my rantings in
22 the past. It's been a while. Next slide.

23 Okay. So from the NIHSS standpoint,
24 we think of our research in three different
25 Venns. The greatest public health impact is

1 made by preventing strokes, and because it is
2 so common as, you know, somewhere around 720 to
3 one million a year, you know, preventing a
4 certain percentage of these strokes has a huge
5 public health impact and in actual fact, stroke
6 rate declined by about 70 percent since the
7 1970s. That decline unfortunately has been
8 slowed down most recently, which we think is
9 related to the growing obesity in the United
10 States, so we really have to kind of work
11 harder to keep that decline going.

12 The greatest driver for stroke is high
13 blood pressure, it's top one, two and three
14 drivers of stroke, and our big message is that
15 if we could get people to control their blood
16 pressure, we could make a really big dent in
17 this public health problem. So that's not what
18 you're talking about today but I just wanted to
19 emphasize, you know, from the public health
20 impact, prevention is really what has the
21 greatest benefits.

22 What we're talking about mostly today
23 is acute treatment and this area, you know,
24 really is not that old. I kind of got into it
25 in the mid '80s, and the earliest studies came

1 out of Germany where people showed that if you
2 could inject the thrombolytic agent into a
3 clot, dissolve the clot, sometimes you got kind
4 of amazing outcomes, you know, kind of
5 quote-unquote miracle type of temporally
6 related improvements in neurologic status, and
7 that led to another, you know, 50 years of
8 people trying how to figure out how to do that
9 in acute ischemic stroke, and the rationale is
10 that you can have an occlusion of a blood
11 vessel, have fairly significant deficits and
12 they will go away, those deficits will recover,
13 you know, sometimes within a couple minutes,
14 and that's called a transient ischemic attack.
15 And so what people have tried to do is really
16 convert ischemic strokes which are due to the
17 blockage of a blood vessel into transient
18 ischemic attacks by opening up the blood
19 vessels. So that's kind of a simplistic view
20 of acute stroke therapy for ischemic strokes.

21 Now there is also hemorrhagic stroke,
22 they tend to take different forms, and we have
23 not really been able to make a dent in kind of
24 the acute clot removal area, although we have
25 been trying, and I will talk to you a little

1 bit about that in the future. So the
2 intracerebral hemorrhage where the blood is
3 inside the brain, that's been really difficult
4 to make a big difference to help those
5 patients.

6 Subarachnoid hemorrhage we talk about
7 where the bloods around the brain, we can make
8 inroads by maybe supporting the patients
9 through that period and they can sometimes have
10 good recoveries.

11 And then blood inside the ventricle,
12 similarly these patients have a high mortality
13 rate but if one can support them through, they
14 can make recoveries.

15 Recovery in this space, in ischemic
16 stroke, is due to the fact that the brain
17 rewires after the stroke, so what the patient
18 is going to see long term is going to be a
19 function of the damage and their ability to
20 recover, so that's what complicates a little
21 bit the issue of outcomes because there are
22 features that affect recovery, particularly
23 age, that are going to come into play in terms
24 of how a patient benefit from the therapy.

25 Next slide.

1 So this is what we were talking about
2 in terms of vascular lesions that cause stroke,
3 and so the thing to remember is that stroke is
4 not a disease, stroke is a consequence of
5 vascular disease, and depending on where the
6 vascular disease is, it will affect the brain
7 in different ways. Two major brain
8 infarctions, major categories, one is embolism
9 where you have, you know, something gets loose
10 from the vascular system in the heart, the
11 brain gets 20 percent of the blood flow,
12 chances are it's going to go to the brain, one
13 out of five. If the brain blood vessels have,
14 you know, diameters of a millimeter, maybe two
15 millimeters, so a small clot going to the
16 kidney you will never know about it, but that
17 same clot going to a cerebral artery, you could
18 be potentially devastated, unable to talk,
19 unable to understand, paralyzed on the right
20 side, unable to take care of yourself. So
21 embolism is the area where people have made the
22 greatest impact in acute stroke by dissolving
23 the emboli, allowing the blood to flow back
24 before there is major tissue damage. That
25 being said, in my experience there's always

1 some tissue damage and it's a matter of
2 limiting the tissue damage.

3 Now in terms of the actual events that
4 happen in the brain when a blood vessel is
5 occluded, there's probably a number of factors,
6 but the one that we know most about is what's
7 called collateral flow. So in these pictures,
8 both these patients have an occlusion in the
9 middle cerebral artery. The one pictured here
10 on your right, you can see the big black area
11 and there's a little artery and it's blocked.
12 On the other side you can see the same thing
13 on, it's actually the left side of the brain
14 there's a block, there's a gap, then all the
15 blood vessels are filling distal to that gap,
16 and that's because the blood flow is coming
17 around a different pathway, in this case it's
18 coming around the surface of the brain, up the
19 middle part of the brain, around the brain,
20 down the lateral surface and backfilling those
21 blood vessels. So in this case where you have
22 good collateral flow, that brain tissue may
23 last longer before it dies, and on the opposite
24 side where you see this big black area and
25 there's really no blood flow, so that's going

1 to be important in terms of understanding
2 outcomes in patients who undergo thrombectomy.

3 So the general simple idea is the
4 patient with collateral flow, you can open the
5 blood vessel, that brain tissue has been able
6 to get by and you can save it. On the other
7 hand the patient on the other side, that brain
8 tissue has no blood flow, it's dying quickly
9 and it's going to be very hard to save.

10 Now the one thing to also know is that
11 you can't tell the difference between these two
12 patients by examining them because the low flow
13 seen in each of the cases is enough to shut
14 down brain function, so the brain shuts down
15 function and so the patient will have maximum
16 deficits at flows that are above what it would
17 cause to kill the cells, so that's how a
18 patient can come in with massive deficits, have
19 the blood vessels opened and the deficits go
20 away, because if the tissue is not working,
21 it's actually maybe a protective effect to stop
22 the use of metabolic energy in a starved tissue
23 bed. Okay.

24 Now there are also cases where there
25 is actually a stenosis in the blood vessel that

1 causes low flow, oftentimes fluctuating
2 symptoms, and these can lead to stroke.
3 Oftentimes these strokes may occur over long
4 periods of time, you may get, you know, an area
5 of infarct on Monday, another area on Tuesday
6 and they kind of add up, but -- and these are
7 the kind of things that you see in intracranial
8 stenosis and even the neck vessels narrowing,
9 carotid disease, but even in carotid disease
10 the general stroke cause is an embolus getting
11 loose from the area above the stenosis and
12 being flushed into the brain.

13 There are some cases where their
14 collateral flow is so poor that the flow is
15 actually low and you get low flow stroke as
16 well due to carotid stenosis, but in general
17 the problem is, even there, is embolism. Next
18 slide.

19 Now in the case of hemorrhagic stroke,
20 a little bit depends on what blood vessels
21 break leading to the brain, so subarachnoid
22 hemorrhage is caused by an aneurysm, it
23 ruptures, it's basically like a, you know, if
24 you have a bad tire and a bulging tire and the
25 thing blows, that's what happens. In fact if

1 the hole in that aneurysm is not closed by a
2 clot within a matter of seconds you will die,
3 and that's because the pressure inside the head
4 equals the blood pressure, because there's
5 basically an opening between the arterial space
6 and the subarachnoid space and that will lead
7 to complete loss of blood flow to the brain
8 since there's no pressure differential anymore,
9 so about 40 to 50 percent of people die
10 immediately with a subarachnoid hemorrhage.

11 Now interestingly, there are about 40
12 to 50 percent where a little clot forms over
13 the hole, and those people can survive if the
14 aneurysm can be repaired before it rebleeds and
15 if the blood that irritates the space around
16 the brain does not cause vasospasm to the point
17 that you have multiple strokes. The blood is
18 very irritative, in many people you get total
19 spasm of all the blood vessels causing stroke,
20 and the main goal in the post subarachnoid
21 hemorrhage time is to limit vasospasm, treat
22 vasospasm with either drugs or with
23 endovascular techniques like stenting or
24 angioplasty.

25 Many strokes are due to hypertension

1 and its effects on small blood vessels, these
2 are small blood vessel strokes, sometimes
3 they're called lacunar strokes, and these are
4 generally in the deep territories of the brain,
5 they're generally small, they frequently have
6 better recoveries but what, the damage they
7 cause is dependent on where they are located.

8 In terms of hemorrhages also -- I'm
9 sorry -- and the hypertensive hemorrhages are
10 due to these same kind of blood vessels
11 rupturing inside the deep brain, and these can
12 be very devastating because they're like a
13 knife, a pressure knife that goes through the
14 brain substance causing a tremendous amount of
15 damage right and center of the brain.

16 And you also have malformations of
17 various types, and you can get venous
18 thrombosis in some instances which will cause
19 backup of venous blood flow, and bleeding and
20 edema.

21 Amyloid angiopathy is the same amyloid
22 that you see in Alzheimer's disease, it coats
23 the blood vessels and can lead to bleeding,
24 oftentimes in the cortex, as opposed to these
25 ruptures from a hypertensive artery in the deep

1 brain, these tend to be kind of more low
2 pressure hemorrhages and have better, usually
3 have better outcomes, but unfortunately once
4 you have this you frequently have multiple,
5 okay? And as I said, we've had very little
6 success in being able to prevent the sudden
7 brain damage from these severe arterial
8 hemorrhages. Next slide.

9 So as I mentioned, the death of the
10 brain function occurs as a function of how low
11 the flow is, and so the blood flow may not be
12 that low but again as I mentioned, the brain
13 will not be working, you can't tell the
14 difference by looking at the person, and how
15 low the flow is versus how much time the brain
16 sits at that low flow stage, and the goal of
17 the reperfusion therapy, therefore, is to limit
18 the time during which the flow is reduced.

19 As I mentioned, the flow decrement is
20 a function of the degree of the vessel block
21 and the level of collateral flow. Most emboli,
22 you're basically looking at a hundred percent
23 block. When first undergoing brain imaging,
24 what you see is there is generally in people
25 who have these major vessel occlusions or large

1 artery occlusions, what we call large vessel
2 occlusions, there's generally a core of tissue
3 that has very low flow that cannot be salvaged,
4 surrounded by lesions with better flow that can
5 be prevented from dying if the reperfusion
6 occurs immediately, you know, within a second
7 of having gotten the image. So the imaging has
8 had a major role to play in choosing people who
9 can benefit from endovascular therapy.

10 Now this is, I want to just go through
11 this document a little bit. This is the
12 relationship between the onset to puncture of
13 the groin to do reperfusion, versus probability
14 of good outcome on the Y axis, and the
15 different colors relate to what's called the
16 ASPECTS score, which is a score based on the
17 BCP, usually CT, and that's a score that the
18 lower the score the more a brain looks abnormal
19 on the CT, as seen by low density on a CT scan.
20 So an ASPECTS score of zero to four means
21 there's a lot of brain tissue that looks like
22 it's damaged on the CT scan. ASPECTS five to
23 seven is kind of midway, eight to ten is
24 better, and these are the probability of
25 outcomes depending on the type of puncture. So

1 if you have an area of brain that looks really,
2 you know, a lot of damage is going on quickly,
3 you don't have much time, even with
4 endovascular therapy you're not going to
5 improve, you know, if it's out past, you know,
6 400 minutes or so. The less damage you see on
7 the CT, the better the chance you're going to
8 get good outcomes from endovascular therapy.
9 So that's the main point.

10 The other point to make is that we
11 need to understand why people with good ASPECTS
12 all don't have good recoveries and that's kind
13 of the future, is to understand how to improve
14 recovery in each of these different classes.
15 But I would point out that there is a problem
16 where there are people who, you know, look like
17 their brain is not so far down and they still
18 don't make good recoveries. And the other
19 thing is, there are also probably people are
20 being treated that don't have a chance of
21 improving with this therapy. So how to know
22 where to draw the line for when not to treat is
23 actually important, because the treatments are
24 not without harm, doing an angiogram, putting
25 catheters in the brain for instance, you know,

1 carry with them a chance of harm. So that's
2 one of the things that we're most interested in
3 pursuing. Next slide.

4 Now as, I mentioned this, that the
5 outcome of the patient in these instances where
6 you're trying to get the clot out, a lot you
7 understand, very short timeframes, because the
8 brain, you know, if it doesn't come back
9 quickly it's probably not coming back.

10 Quickly, you know, you can debate what that
11 means, but clearly there's some people who,
12 they go into the procedure, they're completely
13 paralyzed, severe deficits, after the procedure
14 they're walking and talking, and so you do see
15 those kind of very rapid, you know, walk off
16 the table events, and those people unless
17 something else happens to them, are going to do
18 extremely well. So there is value to the
19 short-term assessment, but the deficits depend
20 not just on how big the stroke is but where the
21 stroke is located, so that's the problem with
22 treating it like a, you know, cancer where the
23 tumor burden is what gets you, here it's the
24 burden but it's also location, and as I
25 mentioned, what the patient ends up with

1 depends on how well they can recover.

2 So the convention has been in
3 determining the clinical benefit to the patient
4 to put it on the shoulder of a 90-day
5 assessment, and the things that we know that
6 affect how well someone's going to recover with
7 the same degree of injury, you know, it's
8 related to age, previous stroke, and possibly
9 the presence of diffuse white matter disease
10 related to hypertension. Next slide.

11 So the goal of acute ischemic therapy
12 is then speed in opening the vessel, it is
13 effectiveness in opening the vessel, getting
14 complete opening, getting that flow back, and
15 the risks are arterial injury at the puncture
16 site or inside the brain and damaging the blood
17 vessel causing spasm, perforating blood vessels
18 causing subarachnoid hemorrhage, and the other
19 one is the issue of sending embolic material
20 from the embolus as you try and break it up and
21 pull it out, if pieces can loose they're going
22 to move distally and you can't get them, and so
23 that would be, that would kind of put a damper
24 on your chance of getting good outcomes because
25 you're not getting all of the clot out, you're

1 sending some of it distally where it's going to
2 cause infarcts. Next slide.

3 So what will happen to the person
4 depends on, you know, what their imaging looks
5 like when you start, whether the treatment
6 caused secondary brain injury, and whether the
7 acute treatment was related to comorbidities,
8 aspiration pneumonia, you know, with somebody
9 lying down on a table, they're unable to
10 swallow because of the stroke, that opens the
11 big problem with sepsis. But we do know, and
12 here is a case where here you can see in the
13 top panel on the left is where we see the
14 damage is on MRI scan so a lot, most of the
15 brain is not damaged, but if you look at the
16 blood flow abnormality, the whole hemisphere
17 below it is at a low flow state, so we would
18 think that this patient would have a great
19 chance of recovery if we can open up the blood
20 vessel.

21 On the other side, on the right side
22 at the top is the damaged area, it pretty much
23 looks like the flow abnormality and that
24 patient it unlikely to be helped, but these are
25 the kind of things that before they go into

1 practice really have to be validated. Next
2 slide.

3 So the futility, and this is another
4 example of somebody who has no abnormality
5 whatsoever but has a blood flow abnormality, so
6 you should be able to completely cure that
7 patient, but they are rare. Next slide.

8 So how and when will the person know
9 if they benefit? I mentioned that the
10 conventional was 90 days, and I show you this
11 graph here which shows different severities of
12 stroke and their rate of recovery. What you
13 can see is that there's basically a plateauing
14 out by about 13 weeks, which is around 90 days,
15 so that's why we choose this 90-day period.
16 There are definitely recovery improvement that
17 goes on longer after that but the big huge
18 improvement is really in that first 90 days, so
19 that's why that is important. Okay, next
20 slide.

21 DR. BACH: Dr. Koroshetz, you have
22 three minutes left.

23 DR. KOROSHETZ: Okay. I was just
24 going to talk about the scales now.

25 So the NIH Stroke Scale, that was kind

1 of a neurologic deficit scale and it was built
2 prior to the tPA study for the purpose of
3 measuring acute neurologic improvement with
4 tPA, which is intravenous therapy, and it was
5 made not to be used necessarily by neurologists
6 but by any kind of medical professional who is
7 basically counting the deficits.

8 The modified Rankin Scale is the one
9 we use most commonly and it's basically seven
10 crude bins to detect large functional levels
11 and it's very good for, you know, these major
12 strokes where someone if they're not helped will
13 die or be permanently disabled, unable to care
14 for themselves. It's not so good for, say
15 lacunar strokes where the deficits are more, to
16 know if someone is improving is more nuanced.
17 So for looking at things like recovery of
18 deficits, scales that are more attuned to
19 measuring the actual, going deep into the
20 actual deficits, you know, measuring speed of
21 movements or agility or speech, speech
22 production, or understanding, and kind of
23 measuring whether things can improve there,
24 those are probably what's needed, more fine
25 grain measures of recovery.

1 And the Barthel Index is another
2 functional scale. The issue with the modified
3 Rankin is that it's so crude that you can
4 actually get a pretty good assessment in the
5 emergency room, but with the Barthel and the
6 FIM, that's probably not going to be feasible.
7 NIH Stroke Scale is feasible in emergency
8 settings, that's what it was built for.

9 So those are the scales and those are
10 the kind of reasons, you know, the biology
11 behind, you know, trying, the biology that
12 we're fighting against in getting good recovery
13 for patients. So I'd be happy to help in any
14 way with my thoughts, and thanks very much for
15 your attention.

16 DR. BACH: Dr. Koroshetz, thank you
17 very much both for the presentation and for
18 ending on time. I forgot to remind everyone
19 that my primary purpose as chair is to keep us
20 on schedule, so I will periodically pop up.

21 Dr. Saver is going to present next.
22 He's the vice chair for clinical research and a
23 professor in the department of neurology at the
24 David Geffen School of Medicine. And to remind
25 everyone, panelists, please keep your cameras

1 on to the extent you can. Speakers, it's
2 perfectly okay and actually modestly preferable
3 if you want to keep your cameras off when you
4 are not presenting.

5 DR. SAVER: Thank you very much,
6 everyone, and thank you for the privilege of
7 speaking to you today. I am a clinical
8 trialist and, next slide, here are my
9 disclosures. I have NIH funding and I do
10 receive funding from multiple neurovascular
11 companies for aiding in the rigorous design and
12 conduct of clinical trials and also, UCLA has
13 made a method of assessing the Rankin available
14 freely on their Creative Commons license, and
15 has a copyright on training vignettes in that
16 system. Next slide.

17 So in the 25 minutes today, I will
18 briefly run through the topics that the panel
19 is considering focusing on first the
20 distinctive aspects of outcome assessment in
21 neurovascular disease, spending most of the
22 time on acute stroke, especially the modified
23 Rankin Scale, NIH Stroke Scale and EQ-5D, and
24 then briefly alighting upon stroke recovery and
25 prevention. Next slide.

1 So it is the case that there are
2 aspects of neurologic disease in general,
3 neurovascular disease specifically that are
4 distinctive compared with the outcome
5 assessment in other organ systems. Most
6 importantly, that the disease compromises the
7 organ that perceives and reports functioning
8 accurately; patients can have language
9 abnormality, memory abnormality, disordered
10 management understandings, and that can affect
11 their ability to report their status
12 accurately. The hemispheres can have different
13 emotional tones, the right hemisphere injury
14 can result in denial of illness and again,
15 patients may not give a full accounting of
16 their status. As a result, proxy reporting
17 between family and caregivers is often
18 required, but does have limitations in that,
19 especially if patients are in care facilities,
20 any particular caregiver may not know their
21 functioning perfectly well.

22 Another aspect, as Walter mentioned,
23 degree of disability, is comparably even more
24 important than mortality in outcomes in stroke,
25 disability is a much more frequent outcome than

1 mortality, and the breakthrough therapies such
2 as intravenous thrombolysis and endovascular
3 thrombectomy alter disability substantially but
4 mortality minimally.

5 Another aspect is that acute stroke
6 outcomes are intrinsically non-dichotomous,
7 they occur over a range of disabilities, and
8 that means they can be analyzed in a variety of
9 approaches, by ordinal scales which look at the
10 shifts between, or levels by dichotomizing
11 cumulative ordinal scales and looking at only
12 one health state transition among the many that
13 occur. And then also by continuous scales of
14 a -- those have not been built up in a way that
15 the community has accepted for disability
16 ascertainment.

17 And then lastly, it's important to
18 adjust for presenting stroke severity because
19 the severity of deficits on presentation is a
20 dominant determinative of outcome in stroke
21 patients. Next slide.

22 With regard to the timing of outcome
23 assessment after acute stroke, as Walter
24 mentioned, considerations are that the timing
25 of the stroke recovery is that most occurs

1 during the first three months, but some will
2 continue up to a year. As you can see on this
3 slide on the right, please click again, and you
4 can see that the first three months the
5 greatest proportion of recovery will have
6 occurred. Once you keep following patients
7 beyond three months, competing events,
8 recurring stroke, myocardial infarctions and
9 other events accrue with time and again,
10 introducing noise into the understanding of the
11 outcome of the treatment of the initial stroke.
12 So you don't want to, it's felt you don't want
13 to measure too early, say one month after
14 stroke, because patients are still on the steep
15 limb of recovery. Three months is the best
16 compromise, most often used in randomized
17 trials, and also when the federal government,
18 Social Security determines that a patient has
19 disability. And for more severe strokes,
20 intracerebral hemorrhage, subarachnoid
21 hemorrhage, the recovery may be more prolonged,
22 and it can be appropriate to look at six months
23 to 12 months as an outcome time point. Next
24 slide.

25 As I mentioned, it's very important to

1 address the presenting deficits using almost
2 always the NIH Stroke Scale because severity is
3 such a predominant outcome predictor. Age is
4 also important but comorbidities are much less
5 important. Here on the right you can see the
6 relationship between scores on the NIH Stroke
7 Scale that run from zero to 42 for mortality,
8 and there's a strong linear relationship, and
9 models in Medicare beneficiaries have shown
10 that mortality projection increases in accuracy
11 substantially if the NIH Stroke Scale is
12 included. For this reason, after
13 recommendations from American Heart, American
14 Stroke Association and other stakeholders, CMS
15 piloted the addition of the NIH Stroke Scale to
16 ICD-10 codes so it would be available in
17 administrative data sets, and it has shown
18 initial good performance and it's anticipated
19 that in 2022 CMS will incorporate it into the
20 hospital performance reporting. Next slide.

21 Now let's turn to the acute, to the
22 modified Rankin Scale, which is the leading
23 outcome measure in acute stroke, and it
24 measures global disability. The World Health
25 Organization's current definition of global

1 disability focuses on the interaction between a
2 person with disability in the environment, and
3 recognizes the disability arises from three
4 components: Impairments, problems in body
5 function or structure; activity limitations
6 encountered in executing a task; and
7 participation restrictions in a person's
8 involvement in life situations. And the Rankin
9 scale taps all three of these. NIH Stroke
10 Scale, for example, only taps impairments, it
11 does not look at activity limitations an
12 participation restrictions. Next slide.

13 There is the modified Rankin Scale, it
14 is a clinician-reported measure in it's
15 original form, it's the most common primary
16 outcome measure in stroke trials and clinical
17 practice, and it assigns patients to one of
18 seven possible levels of disability that range
19 from zero, no symptoms at all on one end, to
20 six, dead, on the other, and providing
21 intermediate levels of disability in between.
22 And what you see on the right is the original
23 wording of the Rankin Scale in its entirety by
24 John Rankin in 1957. This was all there was
25 and the clinician used this to make a very

1 intuitive kind of holistic judgment about which
2 level to assign a patient to. Next slide.

3 You can see from this that the range
4 of disability covered by the modified Rankin
5 Scale is very broad, and almost every step on
6 the modified Rankin Scale as a result is
7 clinically significant and covers a very
8 important change in a patient's functioning and
9 health state. Next slide.

10 A consensus group this year suggested
11 that these health state descriptors should be
12 used for the Rankin Scale, because saying mild,
13 moderate, moderately severe, scalar terms
14 become hard to follow, and have recommended for
15 the Rankin levels: Normal; Rankin 1, they're
16 symptomatic but not disabled; Rankin 2,
17 disabled but independent, they can't work but
18 they can live alone; Rankin 3, dependent but
19 ambulatory, they can't live alone but can walk;
20 Rankin 4, nonambulatory or body care self
21 capable; Rankin 5, needing 24-hour constant
22 care; and Rankin 6, dead. Next slide.

23 The Rankin Scale is widely accepted by
24 the community, it is the most commonly used in
25 clinical trials, it's been endorsed by

1 consensus groups both in the U.S. and Europe,
2 it is used by regulatory agencies including FDA
3 and the NIH in the Common Data Element
4 platform, by hospital accrediting bodies for
5 performance measures to assess hospitals in the
6 U.S., by specialty societies and by the U.S.
7 Clinical Practice Registry that covers 70
8 percent of patients. Next slide.

9 I will mention that the Get With
10 Guidelines stroke registry does cover 70
11 percent of U.S. patient six million patient
12 records per year and the primary outcome
13 measure here in clinical practice in addition
14 to clinical trials is, a primary outcome
15 measure is the modified Rankin Scale which is
16 obtained at discharge in all hospitals and
17 obtained at 90-day followup in patients who
18 have undergone revascularization procedures.
19 It's hard to track patients down in regular
20 practice 90 days later, so for
21 noninterventional patients the discharge Rankin
22 is used as a more accessible endpoint. Next
23 slide.

24 As I mentioned, the initial Rankin
25 Scale was a very holistic scale, that's on the

1 top row here using intuitive clinical judgment,
2 and that has poor inter-rater consistency.

3 Next slide.

4 Therefore, a variety of techniques and
5 instruments have been developed to assign
6 Rankin scores in a more reliable manner. They
7 each have advantages and disadvantages, and
8 their features on a variety of parameters are
9 shown in this slide.

10 Let me focus, next slide, on one
11 particular aspect and that's the assessor type
12 that several of these instruments like the
13 simplified modified Rankin Scale questioner
14 converts the Rankin to a patient-reported
15 outcome and again, that can be a bit
16 challenging when patients may not be reliable
17 informants about their disease state. Others
18 retain the clinician rater approach to
19 assigning the Rankin. Next slide.

20 Because the Rankin is an ordinal scale
21 there are a variety of ways to analyze it over
22 seven levels. Next slide.

23 If we look at all seven levels and the
24 shift in outcomes across all seven levels, this
25 is how most clinical trials are reported, so

1 you can see the impact of the treatment across
2 all health states in an ordinal analysis. Next
3 slide.

4 But also for simplicity, sometimes a
5 fixed dichotomous analysis is done, next slide,
6 most often looking just at the health state
7 transition across the three to two order from
8 dependency to disability, and there you have
9 more precision, but you also are missing
10 important effects of treatment with it. Next
11 slide.

12 Also commonly looked at is the Rankin
13 zero to one versus two to six transition. Next
14 slide.

15 And here you're looking for the
16 ability to go back to work with the equivalent
17 person not being able to go back to work. Next
18 slide.

19 A more recently developed approach is
20 to weight the ordinal levels using utility
21 weightings. Next slide.

22 And for that, two sets of informants
23 were considered, patients reporting their
24 quality of life, and physicians and nurses who
25 assess multiple patients doing person tradeoff

1 analyses to come up with utility and disability
2 weights for each Rankin level. Next slide.

3 Here you can see the patient-reported
4 assessments of the quality of life with each
5 level, and physician assessments and nurse,
6 next slide, which turned out to be very similar
7 when averaged together, next slide, were used
8 to give a utility rating to each level of the
9 mRS. Next slide.

10 And you can see here that only two of
11 the levels, between six, dead, and five,
12 continuously disabled, unable to -- bedridden,
13 are valued about the same. Some patients think
14 being permanently bedridden or in a vegetative
15 state is a worse outcome than death, some think
16 it's better, but all the other step changes in
17 the Rankin from five to four to three to two to
18 one to zero cover broad changes in health and
19 are clinically important, although not equal in
20 the amount of utility they deliver. Next
21 slide.

22 This can be used to develop cost
23 effectiveness analyses but I know we're not
24 supposed to cover that so I'm going to skip
25 through the next slide and next slide, and go

1 to the next slide.

2 Some practical aspects of mRS use. In
3 acute stroke studies you really can't reliably
4 use an mRS change score because you can't, you
5 can make some gross estimates but you can't
6 reliably assign a patient a Rankin score just
7 within the first minutes after their
8 presentation. They haven't yet attempted
9 functional activities and their functional
10 capability can't reliably be assessed by
11 raters. You can assess their neurologic
12 deficits from the NIH Stroke Scale score, and
13 so the Rankin score is not usually measured at
14 baseline but outcomes of three months are
15 adjusted for a baseline severity on the NIH
16 Stroke Scale for what it looks like in the
17 baseline state.

18 You do want to incorporate the
19 patient's pre-stroke Rankin, what was their
20 level of disability before this stroke
21 happened. Most trials exclude patients with
22 pre-stroke disability, but in clinical practice
23 patients may have had prior strokes or dementia
24 or arthritis, congestive heart failure and have
25 severe disability before the stroke came, and

1 here you can't just see if they are already
2 disabled and had a Rankin of three or four
3 before the stroke, stroke treatment is not
4 going to get them to a Rankin of one or two,
5 and there it's useful to have a return to the
6 level of the pre-stroke mRS as an additional
7 aspect if you're doing a dichotomous analysis.
8 Ordinal analysis handles this appropriately
9 without any adjustment.

10 Once you begin moving into the
11 subacute stage, from day four forward, then
12 Rankin scores can be reliably assessed by
13 raters and you can look at Rankin change
14 scores. For each individual patient every
15 single one-point step on the Rankin is highly
16 significant except as we saw, for the five to
17 six change. For group differences, you know,
18 if one patient among eight has an important
19 change, that is going to be clinically
20 significant. And so if you're looking at means
21 with greater group differences of .12 or
22 higher, that exceeds the MCID. Next slide.

23 The approaches to analyzing in the
24 clinical trials are shown here from a recent
25 poor person's meta-analysis that we ran showing

1 that most common recently has been analyzing
2 the Rankin Scale over the entire ordinal range
3 as the primary mode of analysis in 20 clinical
4 trials. Next is fixed dichotomy, also used
5 roughly equally but with different cutoffs,
6 most commonly being Rankin zero to two versus
7 three to six as the most commonly used cutoff,
8 with zero to three versus four to six for much
9 more severe stroke states, and some now using
10 the more recently developed utility weighted
11 Rankin. Next slide.

12 With regard to the minimally
13 clinically important differences on this scale
14 to help in both anchor-based and practice-based
15 studies, and they suggest that for fixed
16 dichotomous analyses rate difference between
17 the groups of 1.3 percent or greater exceed the
18 MCID. For ordinal analysis, means have been
19 mentioned of .12 or greater MCID, and for
20 utility weighted analyses, utility values
21 greater than .02 to .03 exceed the MCID. Next
22 slide.

23 Let's turn to the NIH Stroke Scale
24 next, next slide, and this is the most common
25 measure of neurologic deficit in acute stroke.

1 It measures 13 items in seven domains, looking
2 at patients with motor deficits, visual
3 deficits, sensation, language articulation,
4 score from zero to 42, zero to four is mild,
5 five to 15 moderate, 16 to 42 severe. Next
6 slide.

7 It is a skewed distribution in
8 patients. The median NIH Stroke Scale in
9 clinical practice is four, most patients have
10 mild strokes when they present, although
11 patients who are treated with devices for
12 thrombectomy much more severe, have a 16 to 17
13 score, and with TPA a nine to 12 score. Next
14 slide.

15 It is widely accepted as the best
16 measure of presenting severity. Next slide.

17 For measuring long-term outcome it's
18 generally avoided for several reasons. First,
19 it has this odd distributional property that at
20 three months is highly bimodal, with dead
21 patients rating at the severe end of the scale
22 and patients who recover clustering at the
23 other end of the scale. Also, point changes on
24 the NIH Stroke Scale are not comparable, a
25 two-point change in weakness is much more

1 important than a two-point change in sensation,
2 and it only assesses impairments not
3 functioning in the real world. Next slide.

4 However, it can be helpful to measure
5 early treatment response. If you look at the
6 change in the baseline NIH Stroke Scale from
7 baseline to 24 hours or 72 hours, that is a
8 strong predictor of outcomes at three months if
9 you want an earlier readout from your, some
10 clinical measure. Next slide.

11 DR. BACH: You have about five minutes
12 left.

13 DR. SAVER: Thank you, next slide.

14 The MCID is not well developed but in
15 general for severe deficits, changes by four or
16 more are the ones that are clinically
17 recognizable and clinically important, moderate
18 deficits two or more and mild deficits one or
19 more. Next slide.

20 With regard to health-related quality
21 of life, next slide, there are a variety of
22 instruments both generic, health-related
23 quality of life, and stroke specific. Most
24 often used has been the EQ-5D, the European
25 generic quality of life instrument, next slide,

1 which grades patients on five domains,
2 self-care, pain and discomfort, usual
3 activities, et cetera, on a patient-reported
4 measure. Next slide.

5 And with regard to administrative
6 measures for acute patients, a discharge
7 destination is a useful one. Besides home and
8 any inpatient facility, it's helpful to
9 distinguish between home and discharge to an
10 inpatient rehab versus a skilled nursing
11 facility. Inpatient rehab patients will go
12 there for one to two weeks and then go home,
13 they have a very different trajectory than
14 skilled nursing facility patients who often
15 never get home, and also patients discharged to
16 hospice. So it's more of a four-level variable
17 and these can approximate the Rankin Scale.
18 Next slide.

19 Also useful is home time, the number
20 of days a patient spends at home in the first
21 90 days after onset. The good patients get
22 home very quickly, the poor patients may not
23 get home at all, and that correlates very well
24 with the Rankin, and CMS and all payers have
25 access to this data. Next slide.

1 Length of stay is confounded by short
2 stays for patients who do very poorly and die,
3 and correlates poorly with three-month
4 functional outcomes, next slide, and
5 potentially in the future the discharge Rankin
6 if it could be made into an administrative
7 measure, could also be used. Next slide.

8 I'll briefly mention for stroke
9 recovery, next slide, that as opposed to global
10 measures, domain-specific measures are more
11 important. You're trying to improve motor
12 function in a patient with a motor deficit,
13 language function in a patient with a language
14 deficit. During the subacute period in the
15 first three days to six months in the control
16 group you have a moving baseline with a
17 proportional recovery rule. Beyond six months
18 you have a stable baseline in the control group
19 and changed scores are appropriate to analyze
20 here. Next slide.

21 Here's examples for different domains
22 of clinician-rated patient-reported outcomes
23 and functional testing for recovery. Next
24 slide.

25 And it didn't line up correctly, but

1 this shows which of these have been endorsed by
2 consensus groups, next slide, both motor
3 recovery and language recovery when I fix the
4 spacing for you. Next slide.

5 Just to show you what these look like
6 is the commission-rated Fugl-Meyer for motor
7 deficits which looks at 33 movements and gives
8 a total of 66 points, next slide, and here's
9 the functional measure for motor deficits, the
10 action research arm test where a patient
11 manipulates wooden blocks and marbles and ball
12 bearings. Next slide.

13 And here's the patient-reported
14 measure for motor hand deficits where a patient
15 reports how well and easily they're able to use
16 that limb in regular daily life. Next slide.

17 With regard to prevention outcome
18 measures, next then slide, I do want to say
19 that it's important to --

20 DR. BACH: Dr. Saver, please wrap up.

21 DR. SAVER: Okay. I think prevention
22 is not the core focus here so I'll come back to
23 that if there's an issue, but I will mention
24 it's important to distinguish between stroke
25 severity that the NIH Stroke Scale measures and

1 stroke detection. Patients can have a stroke
2 and then improve between visits, so it's
3 important to ask in the questionnaire about
4 stroke symptoms between visits to identify
5 whether a stroke has occurred. Next slide.

6 And measuring recurrent admissions can
7 be helpful administrative --

8 DR. BACH: Dr. Saver, we need to wrap
9 up please.

10 DR. SAVER: Thank you. I think this
11 was my last slide, so I thank you, no worries.

12 DR. BACH: Thank you very much, and my
13 apologies for being the time cop here. We'll
14 move on to Dr. Sameer Ansari, who's a professor
15 of radiology, neurology and neurological
16 surgery, and director of neuroendovascular
17 research and quality at Northwestern University
18 Feinberg School of Medicine.

19 DR. ANSARI: Next slide please.
20 Disclosures, several NIH-funded studies
21 unrelated to this topic. I do have some
22 industry support from the neurovascular space,
23 nothing related to the area of thrombectomy
24 which is what I will be concentrating on, but
25 mostly related to clinical trials, data set

1 monitoring boards. Next slide.

2 My affiliations do include that I'm,
3 as the director of the SNIS safety organization
4 and on the governance council of the CRN-DAISI
5 data registry, which is the second topic that I
6 will be discussing after the value of the
7 clinical registries. Next slide.

8 And I thought I would start with just
9 describing how we arrived at value-based
10 statements and really the goal of registries
11 and how they may be beneficial to payers. Next
12 slide.

13 As you are all aware, CMS is comprised
14 of four major payer components, hospital costs,
15 physician fees, private co-ops and prescription
16 drug costs. These are funded by two main
17 trusts, including tax revenues, premiums and
18 interest on these trusts. It's interesting
19 that hospital payments are solvent through 2030
20 but the supplementary medical insurance trust
21 which funds physician fees and prescription
22 costs, typically have been funded annually to
23 match them. Next slide.

24 To note the fee for service model that
25 started several decades ago, which was usually

1 controlled through physician billing, started
2 to come under fixed schedules by Medicare and
3 to reduce costs. This was followed by the DRG
4 fixed fees and then finally under the Bush
5 administration, the Omnibus Budget
6 Reconciliation Act, which was sort of historic
7 in developing the resource-based relative value
8 scale, which would monitor physician volume and
9 through the Medicare physician fee schedule
10 reimburse the provider costs. Unfortunately,
11 these policies inadvertently incentivized
12 volume. Next slide.

13 And so in 1997 the Clinton
14 administration's Balanced Budget Act tried to
15 link the GDP to a sustainable growth rate
16 formula to limit the annual increases in these
17 physician fees. This was fine until the start
18 of the millennium when the GDP economic crisis
19 was affected, and what was required for the
20 next decade was that Congress would have to
21 supplement the budget to prevent very drastic
22 reductions, unsustainable reductions of 20
23 percent follow until the Affordable Care Act
24 could be passed. Next slide.

25 You know, but how does that really

1 high cost that we were experiencing in the
2 United States measure up with quality, and you
3 can see that this is the Organization Economic
4 Cooperative Operation, and development data on
5 top economies. Looking across at 2012 and then
6 2020, you can see how there's been no
7 significant change, the U.S. still spends about
8 two-and-a-half times all other developed
9 countries whether it be private or public
10 costs, and how does this relate to quality.
11 Next slide.

12 The Commonwealth Fund, which is a
13 private U.S. organization to study and promote
14 healthcare quality and equity looked at the top
15 economies, western economies, and identified
16 that the U.S. despite the high cost was still
17 at 11 of 11 in their overall rankings, kind of
18 midway in quality of care but certainly last in
19 access and efficiency. Next slide.

20 And hence, we've arrived at the
21 Affordable Care Act in 2010 which really was a
22 monumental change since Medicare establishment
23 and the Social Securities Act, increasing
24 revenue taxes as well as Medicare cuts of
25 approximately \$500 billion over the next ten

1 years would allow to provide universal health
2 coverage, as well as an eventual transition to
3 value these costs and really preserve and
4 enhance the quality of care through various
5 models. The ACA also established two
6 independent boards, the Patient-Centered
7 Outcomes Research Institute and the Independent
8 Payment Advisory Board to study quality of care
9 as well as costs, as well as the CMS Innovation
10 Center that was to develop and test these
11 payment models to optimize value. Next slide.

12 This was followed by MACRA, the
13 Medicare and CHIP Reauthorization Act in 2015,
14 2016, and that really ended that physician
15 growth formula and really allowed for near zero
16 growth for locking in Medicare reimbursements
17 to very small annual increases that would
18 sundown in 2020, and then eventually small
19 increases that would be dependent on the value
20 payment track. It was a new framework that
21 would reward providers for value over volume,
22 and would combine the existing quality
23 reporting programs into what became known as
24 the Quality Payment Program. The two main
25 methodologies for this was the merit-based

1 incentive payment system, which was a fee for
2 service type model with the inherent quality
3 and volume metrics but also more advanced
4 alternative human models that would be
5 initiated, and certainly a more valuable shared
6 risk platform that they were hoping to move
7 institutions towards. Next slide.

8 Now the MACRA MIPS program was
9 reconsolidated with what was previously known
10 as the volume-based payment modifier and
11 Medicare EHR incentive programs, blending into
12 four categories that would have to be reported.
13 One was quality, with various measures they
14 could report on. In our space, the
15 interventional diagnostic radiology space we
16 would try to measure, report on clotting
17 stenosis measurements and rate of asymptomatic
18 endo carotid artery stenting, major
19 complications, et cetera, and there were
20 several registries that were established to be
21 able to report some of these quality metrics
22 such as the NRDR from the ACR as well as VQI
23 from the Society of Vascular Surgeons. Next
24 slide.

25 The other three categories were

1 resources where there was no real reporting
2 required, just through medical claims;
3 meaningful use which was called advanced care
4 information, certified EHR technology and
5 information exchange, also reported through
6 what would become known as the qualified
7 clinical data registries; and then the fourth
8 category to report on was critical practice
9 improvement activities, and this could also be
10 performed through qualified clinical data
11 registries. Next slide.

12 In fact the MACRA statute under MIPS
13 encouraged the qualified clinical data
14 registries, that was the goal. Next slide.

15 We had certainly contemplated
16 developing these types of registry structures
17 for our constituency for physicians and
18 interventionalists to mimic the ACR and STS
19 platforms but just failed to do that because
20 many of our physicians were institutionalized
21 and reporting through their larger hospital
22 systems in group reporting structures. But you
23 can see that these QCDRs, these qualified
24 clinical data registries were really a very
25 efficient way to report all performance

1 categories that required reporting. Next
2 slide.

3 But other than that, we really felt
4 the need to develop these registries because
5 they really promoted clinical excellence in
6 many ways, from multiple stakeholders
7 obviously, the patients, the quality assurance
8 rate, procedural safety and efficacy,
9 complications, outcomes of these procedures
10 that we were performing and delivering feedback
11 of prospective and serial data. It monitored
12 also for us providers to promote best
13 practices, evidence-based practice improvement.
14 There was a lot of interest from industry as
15 well as the FDA to look at the devices that
16 were being used in our spaces, to expand
17 indications and academia for research purposes,
18 and obviously they could be used by payers and
19 CMS potentially for, because of the granular
20 data that could just assess quality outcomes
21 and resource utilization. Next slide.

22 And so the SNIS patient safety
23 organization, the Society of Interventional
24 Surgeons was really formed with the
25 endovascular quality initiative initially, a

1 quality data registry for interventional
2 procedures. Next slide.

3 And this was certified by the Agency
4 for Healthcare Research and Quality as a
5 patient safety organization. Next slide.

6 Patient safety organizations really
7 are bound by the Patient Safety Act and Patient
8 Safety Rule that provided a framework for us to
9 voluntarily report to the PSOs privileged and
10 confidential information on patient safety
11 events and procedures, next slide, and created
12 the safe and confidential space protected from
13 medical legal liability reporting in an
14 environment through registries for efficient
15 reporting of allied large data sets, and really
16 compare costs from the collective data to
17 assess how one institution was doing, but we
18 also have requirements in patient safety
19 organizations to feed back the data and
20 educate, audit the data for quality
21 improvement, as well as keeping this
22 confidential and certain restrictions on
23 marketing and research. The primary goal, of
24 course, of these patient safety organizations
25 is to improve patient safety and the quality of

1 care and hence protecting patients, also
2 providers. Next slide.

3 We did the early analysis of our
4 ischemic stroke registry. What we saw was the
5 first 1,400 cases that were reported,
6 approximately 25 centers, we looked at some of
7 the important metrics that we consider in
8 ischemic stroke combatting procedures. There
9 was quite a variation in arrival time of these
10 patients to the time that they had the
11 puncture. The amount of revascularization
12 reperfusion that they were able to obtain was
13 also highly variable across the centers, and
14 that really resulted in the outcomes, whether
15 it be early neurological improvement at
16 discharge on NIH Stroke Scales, or final
17 clinical outcomes on a 90-day modified Rankin
18 score that Dr. Saver went through, the
19 importance of that, we saw quite a distribution
20 across the centers including mortality rates
21 that were from five to 40 percent mortality in
22 some of these centers in variation. Next
23 slide.

24 Our first official PSO quality project
25 and report that we fed back to our sites was in

1 April 2020 and we decided to concentrate on the
2 workflow of the ischemic stroke thrombectomy
3 procedures looking at the analysis of arrival
4 to puncture times at these centers. At first
5 you could see a gaussian distribution within
6 the centers and approximately 50 percent of
7 these centers were meeting or close to meeting
8 the AHA guidelines for 90-minute arrival to
9 puncture times, but very few meeting the SNIS
10 guideline of 60. Next slide.

11 And so this NVQI-QOD registry really
12 expanded over the last several years. We
13 merged with the neurological society, the AANS
14 and NPA registries to really have a both open
15 and endovascular interventional procedure
16 registry. We expanded to projecting about 40
17 sites and will be in about 20 percent of the
18 stroke centers in the United States at the end
19 of the year, and just this last year combined
20 with the Society of Vascular and Interventional
21 Neurology for really being an official registry
22 of all three main neurointerventional vascular
23 surgical societies in the United States, and we
24 certainly feel that the accumulating volume of
25 data will now enable us to continue our quality

1 mission.

2 Also, there's been significant
3 movement with academia interested in research,
4 and multiple abstracts of it have been
5 submitted and presented in various
6 physician-led meetings. And the NVQI-QOD
7 registry is a component of the FDA devices for
8 the acute stroke intervention project, as well
9 as developing industry interest to assess our
10 devices that we use in the thrombectomy space
11 as well as, could this be used by CMS or payers
12 as acute QDRs to consolidate and improve that
13 work, or other alternative models or data that
14 support an NCD remains to be seen. Next slide.

15 So our governance council is composed
16 of the three main neurovascular interventional
17 procedural societies, and this registry
18 governing council of course has components for
19 quality work, research that we hope to be
20 engaged with CMS and payers for utilizing this
21 data for value assessments and clinical outcome
22 assessments. Next slide.

23 Although I'll be concentrating here on
24 the acute ischemic stroke thrombectomy
25 registry, I want to note that the registry does

1 have other modules for hemorrhagic stroke
2 assessment, cerebral aneurysm ruptures,
3 cerebral AVM/AVF repair, and we are
4 contemplating increasing that to subdural
5 hemorrhage, intraparenchymal hemorrhage
6 procedural registries as we're seeing increases
7 in both intravascular embolization now as an
8 adjunct or preemptive treatment for subdural
9 hemorrhages as well as new technologies in
10 endoscopic and minimally invasive surgeries.
11 We also share carotid artery endarterectomy and
12 other interventions with the Society of
13 Vascular Surgeons. Next slide.

14 You can see how powerful these
15 registries are becoming, the NVQI registry over
16 the last five years, but the VQI registry has
17 over 30,000 carotid artery stent procedures and
18 120,000 carotid endarterectomies, but we are
19 also approaching critical mass of 6,000-plus
20 procedures and 5,000 aneurism procedures. Next
21 slide.

22 So with respect to the acute ischemic
23 stroke thrombectomy registry, next slide, there
24 are several measures that I would highlight
25 that could be used for and valued by CMS and

1 the other payers. Dr. Saver certainly went
2 over several of these, but I kind of divided
3 them into stroke intervention processes, time
4 and techniques metrics, obviously time from the
5 patient's arrival to some type of intervention,
6 whether it be thrombolysis or puncture for
7 stroke thrombectomy, and then how long it takes
8 for that patient to be reperfused and blood can
9 be reestablished to the brain to salvage that
10 tissue. Secondly, what type of
11 (unintelligible) successful, was it more than
12 50 percent, is it complete, or near complete,
13 and how many passes did it take for this
14 person, so you give a time, complexity and a
15 single pass intervention associated with
16 improved outcomes.

17 As far as clinical outcomes, long-term
18 outcomes, what we really strive for at the
19 three-month mark, functional independence, so
20 the patient has a modified Rankin score of zero
21 to two, and mortality.

22 Secondary outcomes were earlier
23 neurological improvement, what is their NIH
24 Stroke score at 24 hours, what is it at
25 discharge, do they have significant

1 improvement, did the NIH Stroke Scale get
2 reduced by eight or more points, was it near
3 normal, zero to one at 24 to 72 hours post
4 thrombectomy.

5 With respect to complications, we are
6 interested in symptomatic intracranial
7 hemorrhages where the NIH Stroke Scale worsens
8 by four or more points, whether this be with an
9 early reperfusion or delayed infarct
10 transformation hemorrhage; vascular injury such
11 as perforations, cervical dissections,
12 intracranial dissections; residual or new
13 territory emboli, neurogenic emboli; and access
14 site complications.

15 And furthermore, the other value of
16 these registries because there's so much
17 granular data there, I think it's also
18 important to have some risk or population
19 adjusters within our measures, what is the time
20 from the patient symptom onset to their arrival
21 to the hospital, patient age, comorbidities,
22 the severity of stroke presentation on NIH
23 Stroke Scale, large vessel occlusion sites.
24 And then the imaging selection, CT ASPECTS that
25 you've heard about earlier, core infarction

1 volumes, if the imaging was done with diffusion
2 or MR diffusion. Next slide.

3 And what is the power of this data?
4 We did a project at Northwestern here using the
5 NVQI registry, we wanted to reassess the real
6 world evidence and practice improvement of
7 stroke thrombectomy in the U.S. over the last
8 five years. Next slide.

9 Multiple randomized control trials
10 really have solidified the benefit of
11 endovascular stroke thrombectomy and there's
12 really been a revolution in stroke care of
13 interest, large vessel occlusions within six
14 hours, in 2015 five trials were published
15 fairly rapidly, really one after another, and
16 that data comprised in the HERMES meta-analysis
17 really established that you would need only two
18 to three patients to treat with endovascular
19 thrombectomy to reduce disability by greater
20 than one point on a modified Rankin score. In
21 fact we see that at least 30 to 40 percent of
22 patients undergoing thrombectomy are
23 independent mRS zero to two, at three months.

24 Furthermore, in 2018 another
25 transition occurred where the DAWN and DEFUSE-3

1 trials, randomized trials extended the benefit
2 out to 24 hours in certain select populations
3 with advanced imaging selection. So it's quite
4 a powerful technique with a significant
5 interventional time window that was
6 established. Next slide.

7 And we wanted to look at how is this
8 functioning in the real world, and could we use
9 this registry with success and compare it to
10 the randomized control, you know, optimize data
11 and then assess how the practice improved over
12 time, specifically after the DAWN/DEFUSE
13 randomized control trials expanded this window
14 up to 24 hours, and we stopped at the COVID,
15 pre-COVID March 2020 time point. Next slide.

16 When we looked at approximately five
17 years data, at that time there was 23 centers
18 that were feeding into the registry for that
19 amount of time. They identified about 3,000
20 patients using various statistical analyses.
21 Next slide.

22 And you can see that the majority of
23 3,000-plus strokes anterior circulation
24 occlusions, the majority MCA occlusions.
25 Patients were severe, presenting with a median

1 NIH Stroke Scale scores of 16, but only 50
2 percent of the patients received IV tPA
3 thrombolysis, immediately suggesting that we
4 were offering treatment to populations outside
5 the clinical trials, the initial clinical
6 trials of treating patient within six hours,
7 and that's not surprising after 2018. Next
8 slide.

9 It is nice to see that the majority of
10 patients were having some type of CT as well as
11 CT angiography imaging to confirm these large
12 vessel occlusions before going to the
13 laboratory, and almost 50 percent of the
14 patients, greater than 50 percent of the
15 patients had some advanced imaging with MR or
16 CT perfusion imaging to assess the core and
17 function volumes, obviously selecting patients
18 more carefully, or too selectively perhaps.

19 You can see that the ASPECTS scores
20 were also slightly different in the clinical
21 trials, there was 20 percent of patients who
22 had significant ASPECTS less than seven.

23 If we look at the time metrics, you
24 see that the onset to arrival times were about
25 two hours, and that actually increased from

1 after 2018, again indicating the expanding
2 interventional time window, but the actual
3 processes and stroke workflow at these
4 hospitals was improving, the 82 minutes versus
5 113 minutes in 2018, as well as you can see the
6 times going down. Next slide.

7 The technical outcomes and
8 complications reported were very excellent, 87
9 percent of patients were able to be reperfused
10 successfully, technical failures about six
11 percent, intraprocedural complications about
12 five percent, and under reporting of the
13 hemorrhage and hemorrhagic transformation we do
14 not have at this time. Next slide.

15 The symptomatic hemorrhage rate was
16 not in our registry and this was added in a
17 registry update after 2020, you should have
18 that moving forward. In-hospital mortality was
19 about 11 percent, 90-day mortality 21 percent
20 as a total, that increased actually from 2018.
21 Followup was available in about 65 percent of
22 patients, but only about 40 percent of modified
23 Rankin scores were reported, favorable clinical
24 outcomes of 39 percent, slightly reduced but
25 not significantly from 2018. Next slide.

1 If we looked, compared the real-world
2 data here to the HERMES meta-analysis of the
3 five randomized trials, we see patients that
4 were treated significantly older, presenting
5 with very similar stroke severities, certainly
6 had lower high ASPECTS scores, 80 percent
7 versus almost 100 percent in the HERMES data
8 suggesting larger core infarction volumes of 50
9 percent, nearly 40 percent receiving IV
10 thrombolysis, also reduced from the randomized
11 control trials within that six-hour window.
12 And successful recanalization certainly
13 significantly increased compared to what was
14 being done in 2015 and previously. The 90-day
15 mRS score was slightly reduced and the 90-day
16 mortality was slightly higher, as you would
17 expect from a bigger population being treated
18 with higher morbidity. Next slide.

19 So despite these patients being a
20 little older, having less IV TPA utilization,
21 larger core and function volumes, and not
22 selecting them as much as most of the HERMES
23 meta-analysis trials, and the treatment window
24 being larger, we saw that the reperfusion
25 actually was a little better, and this was

1 probably because of devices, operator
2 experience. Mortality was slightly higher but
3 if you compare it to MR CLEAN, which was the
4 largest trial in the meta-analysis, from the
5 meta-analysis it was fairly equivocal, and the
6 clinical outcomes were slightly less, 39 versus
7 46 percent, but still greater than MR CLEAN,
8 which was 32 percent good outcome in the
9 intravascular arm of the trial that did not use
10 any selection criteria with advanced imaging.
11 And it certainly indicated that the treatment
12 and benefit of the larger population was likely
13 the result of this, what we called the
14 denominator effect, a larger population, a
15 greater number of patients, the life saving
16 procedure would show some decrease but not
17 significant.

18 DR. BACH: Dr. Ansari, I'm sorry,
19 please wrap up.

20 DR. ANSARI: Sure. Next slide.

21 And when we looked at our practice
22 improvements over the first two years and then
23 the last two years, next slide, next slide, you
24 can see that we certainly after the DAWN/DEFUSE
25 trials were including larger populations with

1 IV thrombectomy as you would expect, the
2 treatment window was expanded, the comorbidity
3 and age increased, the thrombotic process and
4 workflow and efficacy continued to improve,
5 increasing the puncture and procedure times and
6 increasing reperfusion rates with no
7 significant change in favorable clinical
8 outcomes and despite this, a modest increase in
9 mortality. Next slide.

10 There are limitations, of course, in
11 registry data. The several missing data
12 elements as I commented on, self-reporting bias
13 and non-adjudicated data, but there is an
14 inherent power of larger sample sizes, and we
15 believe the future will leverage EMRs and PACS
16 imaging data with AI adjudication to improve
17 the quality of this data, and CMS projects with
18 incentivized payments will be able to capture
19 both quality and value-based reimbursement
20 models which will augment this registry work.
21 Next slide.

22 And the last slide is --

23 DR. BACH: Please wrap up.

24 DR. ANSARI: Yes. In conclusion, I
25 think you can see that evidence-based

1 thrombectomy practices are being mimicked in
2 the real world, that populations are being
3 expanded with still a significant benefit, and
4 that further quality and reporting guidelines
5 will improve followup and will augment the
6 value of these quality reporting registries.

7 Thank you.

8 DR. BACH: Thank you very much. I
9 would like to move on to Dr. Adnan Siddiqui,
10 who is the chair of the joint cerebrovascular
11 section of the AANS and CNS, secretary of the
12 Society of Neurointerventional Surgery.

13 DR. SIDDIQUI: Thank you very much,
14 Peter. So, I think it's great that I'm
15 following these incredible talks, Jeff Savers,
16 we'll -- well, starting off with Dr. Koroshetz,
17 a great description overall of this space,
18 followed by Jeff's description of outcomes and
19 Sameer's description of measures that are
20 utilized in these trials.

21 So what I'm going to try to do -- next
22 slide please -- is cover this material in a
23 slight different perspective, trying to counter
24 the narrative that we don't have enough
25 evidence to support these treatment options.

1 Here are my disclosures. I have multiple NIH
2 grants, I have financial interests, serve as a
3 consultant and run multiple trials directly
4 related to the materials that we are discussing
5 today, so I'm about as conflicted as a human
6 being can get in this space because everything
7 I do every single day revolves around the
8 neurointerventional space and the trials and
9 the products and the procedures that we deal
10 with. And as noted in my introduction, I did
11 serve, now I'm the former chair, my term just
12 ended this fall, as former secretary of the
13 SNIS and chair of the CR section. Next slide
14 please.

15 So I appreciate the goals of MEDCAC
16 and I have a long list of slides but I'm not
17 going to read through everything, but maybe if
18 this is part of the public record you can
19 always go back to something, I'll just
20 highlight a few of these as we go through the
21 talk.

22 And so I want to really focus on step
23 one or point one, which is implications of
24 approving devices without well established
25 evidence, so that is the narrative that I will

1 try to counter. I think it's a sliding scale,
2 I don't think it's a dichotomous scale, it's
3 continuous. And I think depending on disease
4 entity we have different levels of evidence
5 that are there, but it's not a lack of well
6 established evidence, so let's start point two,
7 this next slide please.

8 So we'll start off by talking about
9 intracranial aneurysms. What we know about
10 intracranial aneurysms and their natural
11 history is based on decades of experience of
12 treating patients conservatively who had
13 intracranial aneurysms. We did that in the
14 '50s and '60s into the '70s and what we
15 realized was that this condition had about a 50
16 percent overall mortality, 50 percent, and most
17 survivors had severe disability, only 20
18 percent without, so it is a major catastrophic
19 disease when the aneurysm ruptures. Next
20 slide.

21 There are a variety of different
22 types, next slide, yes. So this gives you the
23 overall population and if you look at the
24 overall population, this is worldwide, it's not
25 that big, it's a pretty small number, so

1 ruptured aneurysms are probably 15 to 20,000
2 per year in the United States. Next slide
3 please.

4 And so a variety of treatment options
5 that are available for these, next slide, is
6 that these include open procedures or clipping,
7 or bypasses, and there are a variety of
8 developing endovascular procedures, which is
9 partly what we're talking about today in terms
10 of a real revolution in terms of less and less
11 invasive and more effective treatments that
12 seem to be coming forth. Next slide please.
13 Next slide.

14 So if you look at the devices that
15 have been approved, the first intravascular
16 device that was approved was back in 1989,
17 clips were approved in the '60s and then
18 progressively we have had this increasing
19 number of devices available, you can see the
20 yellow there. Coils were the first and there
21 were a variety of different stents to constrain
22 the coils in the aneurysm. Then there was this
23 remarkable technology called flow diversion, a
24 different kind of stent, and the most recent
25 innovations are these endosaccular iterations

1 where you put a singular device into the
2 aneurysm to treat it. Next slide please.

3 What we know about risk is very
4 difficult to ascertain based on the fact that
5 we have no real good natural history studies
6 based on the fact that we know what the natural
7 history was when the aneurysm ruptured, but
8 what little data we have, one of the most
9 important determinants is the size of the
10 aneurysm, the larger the size the higher the
11 risk, and I'll come back to this in a little
12 bit. Next slide please.

13 So this was the first major trial that
14 was done. It included a very small portion,
15 one in five aneurysms that had ruptured, and
16 divided them between primary coiling which was
17 the only thing available back then, and
18 clipping, so these were the aneurysms people
19 thought we could treat both ways. It's
20 important to note when you look at the people
21 who were disabled from this procedure after
22 treatment, there was a six percent absolute
23 difference in favor of endovascular treatment.
24 Next slide.

25 However, this came with a higher risk

1 of possible need for retreatment with
2 endovascular, so the cure rates were lower but
3 outcomes were better. So this is what we
4 learned from retreatment rates in the ISAT
5 trial. Next slide please.

6 We also realized that if you followed
7 them long term and you didn't treat them, there
8 was a risk of rerupture, so this is important,
9 it's important to realize that you finish the
10 job rather than leaving the aneurysm untreated
11 completely. Next slide.

12 However, it was also important to note
13 that this occurred in both categories, it
14 occurred in endovascular more than clipping,
15 but there was no perfect technique for treating
16 people. Next slide.

17 So the important thing was that when
18 you looked at outcome proportion of patients at
19 five years, five years, long term, it's still
20 quite similar, quite similar. So the
21 differences that you had at one year tend to
22 obviate by the time you got to five years.
23 Next slide.

24 And so these are some examples that
25 the initial morbidity difference kind of

1 succeeds, but long term has declined, at about
2 five years. Next slide.

3 So the rationale that came out of this
4 was if you're treating younger patients,
5 clipping might be a better option rather than
6 older people, but this is not, this is a
7 neurosurgeon from Australia's perspective but
8 it's not dogma, and that part is not clear, but
9 both are effective methodologies, and in most
10 institutions this is a multidisciplinary
11 approach to try to figure out what's the best
12 way to treat. That said, there has been a
13 significant decline in the aneurysms that are
14 clipped and there's a significant increase in
15 the aneurysms that are treated endovascularly.
16 Next slide.

17 ISUIISA was the first attempt to try to
18 categorize the natural history of aneurysms,
19 and it included two parts. The first part
20 included a retrospective analysis which was
21 ISUIISA I, and then the second part was a
22 prospective analysis which was ISUIISA II, and
23 they presented with different sets of results.
24 So when you look at overall, the initial
25 results with no prior hemorrhage, the rate of

1 rupture for unruptured aneurysms was
2 exceedingly low, 0.05 percent annually
3 unruptured. However, those that had ruptured
4 previously, it was almost a tenfold increase in
5 risk of about a half percent per year rupture
6 risk. Next slide.

7 And so when you looked at the
8 treatment options, again, this was in favor of
9 morbidity and mortality, which was slightly in
10 favor of endovascular treatment and clipping,
11 but it was not significant, and what was
12 realized was M&M exceeded the 7.5-year risk in
13 aneurysms which were smaller than ten
14 millimeters, this was ISUIA I. Next slide.
15 Next slide please.

16 So then, this was a prospective
17 observational cohort study and again, this
18 included about 1700 natural history and then a
19 larger proportion of patients that were
20 clipped. Again, these are older cases, the
21 only endovascular option back then available
22 was coiling, so it was a smaller group of
23 patients. Next slide.

24 And what we realized in this case was
25 the natural history was more ominous than had

1 been predicted by the retrospective analysis,
2 so that even in aneurysms that were smaller
3 than ten millimeters there was a risk of
4 rupture, and this was higher for both peer
5 speculation and PCoA. Next slide.

6 So there is some heterogeneity in the
7 results in terms of location, in terms of size.
8 Now this was a randomized trial done at the
9 Barrow, a very highly experienced center that
10 took all their patients and randomized all of
11 them depending on the day of the week into
12 endovascular versus clipping, and the important
13 thing to note is their results were not that
14 dissimilar from the ISAT trial, with about an
15 absolute difference of seven to eight percent
16 in favor of endovascular treatment, even in the
17 most experienced hands. So this is not lack of
18 data, this is clear data to support that there
19 is a better outcome early on. Now, next slide.

20 These guys have followed their results
21 for three years and five years and that delta
22 just disappeared just like it did with ISAT at
23 about five years, where the results are quite
24 similar. So endovascular treatment, people
25 recover faster because it's less invasive, but

1 long term both treatment modalities are
2 effective. Next slide please.

3 Keep going forward, I don't think we
4 need to cover this. Next slide.

5 And so this is the one last thing I
6 want to cover here, is that there is a risk of
7 rerupture with lack of complete occlusion.
8 This has always been in favor of clippings but
9 with newer methodologies this is a
10 progressively declining component even with
11 endovascular treatments, so it's important to
12 be able to cure. Next slide.

13 So this is a meta-analysis that we did
14 and I think it's important to note this
15 Gaussian distribution, that while the majority
16 of ruptured aneurysms hover around six to seven
17 millimeters as is noted in this schematic on
18 the right side, there is a significant
19 proportion of aneurysms that rupture lower than
20 that, at four or five millimeters. So when you
21 see an unruptured aneurysm which is four or
22 five millimeters and you know the natural
23 history following a rupture, how do you decide
24 treatment? This is the essential conundrum
25 that we have and there's only one way to really

1 deal with this and that is through registry
2 data collection. I think randomized trials
3 would be very very difficult, especially when
4 you have to ascribe a patient to a natural
5 history, I think the natural history is best
6 measured through registry effort rather than
7 through randomization, which is one of the
8 reasons these randomized trials have not been
9 successful in terms of measuring natural
10 history of patients, at least since the '70s
11 when there were no treatment options. So it's
12 important to note that aneurysms rupture at
13 significantly smaller sizes than ten
14 millimeters. Next slide.

15 And so what do we do? Well, we have
16 some rupture risk assessment score, the UIATS,
17 the PHASES. Then we have complication rate
18 established based on initially the HDE and most
19 recently PMA trials, which measure outcomes.
20 We have angiographic rate; I made the point
21 that this is important and we need to really
22 establish, that the treatment will actually
23 cure the aneurysm. And then we have the
24 re-hemorrhage rate, I think it's exceedingly
25 low in this era. And then we have the

1 retreatment rate, which is an important
2 determinant of what, if you're doing something,
3 if it needs retreatment what is it. And again,
4 I think registries are very important because
5 they use longitudinal long-term data, currently
6 it's the lowest with flow diversion and highest
7 with coils alone. Next slide.

8 For ruptured aneurysms, it is
9 essentially the same factors except for the
10 fact that we want to make sure we measure the
11 re-hemorrhage rates and based on all
12 estimations that remains quite low. Next
13 slide.

14 So moving on a little bit to AVM,
15 these were covered by Walter as well. These
16 are hemorrhagic lesions, here on angiogram you
17 can see these are short circuits seeking
18 arteries and veins that we believe are
19 congenital, rarely can be acquired, and have a
20 natural history again established for the
21 1950s, the '60s and '70s, when all we did was
22 provide these patients a bed and see what
23 happened and never offered any treatment, and
24 the rate that we established based on that data
25 was two to four percent annual risk of rupture,

1 and there were some risk features which were
2 higher, some were lower. We knew there was a
3 bump in the rate of rerupture after a rupture
4 that subsided over three to four years, that
5 happened to be the rate of two to four percent.
6 We also realized each time there was a
7 hemorrhage, there was a ten percent mortality
8 and about a 30 percent major morbidity
9 associated with each incident of hemorrhage.
10 Next slide.

11 And so ARUBA was a trial that was NIH
12 sponsored to measure the natural history versus
13 interventions. A few problems. This trial was
14 stopped over three years. This is a lifelong
15 natural history so we did not really establish
16 long-term efficacy and what we realized was, in
17 a procedure that was done in sort of a
18 multidisciplinary way with majority being
19 treated in Australia endovascularly, when the
20 majority practice treated probably with
21 radiosurgery or microsurgery, which were a
22 smaller cohort, the interventional arm ended up
23 with a higher risk profile for the period that
24 was measured, so next slide. So this -- next
25 slide.

1 So what this, this is primarily to see
2 the composite from death for any symptomatic
3 stroke. Next slide.

4 And this was really the reason that
5 what they were hoping is 400 patients and to
6 measure a difference between 12 and 22 percent
7 over five years. The trial, next slide, was
8 stopped at three years with only a hundred
9 patients that really were available to be
10 treated in the trial so you can imagine, 1500
11 patients were not enrolled in this trial. Next
12 slide.

13 These were unruptured AVMs and this is
14 the key figure. At about 33 months the primary
15 outcome was ten percent in the interventional
16 arm and 30 percent -- I'm sorry, ten percent in
17 the noninterventional arm and 30 percent in the
18 interventional arm. Next slide.

19 The way we look at it is clearly the
20 risk of the treatment that was offered in this
21 particular trial for unruptured AVMs was, had
22 significant morbidity but more importantly, was
23 established even in those 33 months that there
24 was an annual rupture risk of about 2.2 percent
25 and -- next slide.

1 And I think that's really what we came
2 to. Now if you compared this small data set to
3 this much larger data set, this is the NASSAU
4 registry for radiosurgery for AVMs, a singular
5 modality treatment, next slide, you see that in
6 almost 1300 patients were treated with gamma
7 knife radiosurgery, and over a 25-year period,
8 and followed for morbidity and mortality. Next
9 slide.

10 You see that these curves clearly
11 diverge but for you to note the divergence you
12 need to follow these patients over a longer
13 period of time. So similar to aneurysms and a
14 similar theme that's developing is that we need
15 longer followup and we need registries to
16 measure these instruments rather than singular
17 freestanding trials, so we need to have a
18 registry to be able to measure these outcomes,
19 and that's what I really want my plea to be
20 today, is that it would be great to have
21 coverage for evidence development in a lot of
22 these conditions, because what we need is not
23 one-year data or three-month data, we need
24 five-year data, we need ten-year data. Next
25 slide please.

1 And so when you look at ruptured AVMs
2 and fistulae, we know there's a complication
3 rate, we believe it varies from procedure to
4 procedure, and the best way to measure it is to
5 carefully articulate it and develop registry
6 efforts like the one we have for AVMs and that
7 was presented earlier. We also want to know
8 endovascular cure rate because the AVM like
9 aneurysm can rerupture if they are not cured.
10 We need to know what the re-hemorrhage rate is,
11 we need to know what the retreatment rates are,
12 and these are rates which are not available
13 freely.

14 Now let me just caution you that we
15 are talking about less than 5,000 cases per
16 year in the United States, so this is not a
17 large population of patients, this is a small
18 population, and it's very heterogeneous and
19 it's treated in many different numbers of ways,
20 so we need registry efforts to be able to
21 correlate this data long term. Next slide
22 please.

23 And similarly for unruptured, there's
24 no in difference. Next slide.

25 And then moving on to acute ischemic

1 stroke, again, I would not go through the
2 etiologies, these were covered well by Walter,
3 but let's move forward. Next slide.

4 The goal of treatment is to try to
5 restrict this to the smallest score as
6 possible. Sometimes there is no score and
7 sometimes there is very little to salvage, but
8 there is no imaging modality that we know of
9 that can definitively identify what score is
10 most salvageable for any patient. There
11 appears to be a time dependent effect that, the
12 earlier you treat the more likely you are to
13 salvage, the later you treat the more reliant
14 you are on imaging to identify if we can help
15 these patients. Next slide please.

16 So again, we have about just shy of a
17 million patients who have strokes, we believe a
18 vast majority of these are of the ischemic
19 variety, and a substantial proportion of these
20 are because of vessels which might be amenable
21 to endovascular therapy. Next slide.

22 And so when you look at the HERMES,
23 I'm going to just briefly cover this, is the
24 meta-analysis of all the major trials. The
25 most important thing to note is the number

1 needed to treat for these trials, when you talk
2 about not having enough evidence, the number
3 needed to treat in these randomized trials was
4 2.6, so for every 2.6 patients you helped one
5 person. The last time we had something this
6 effective was when we discovered penicillin, so
7 this is the most effective surgical therapy
8 that we have ever come across at least in the
9 neuro space, probably in any space for that
10 matter. Next slide please.

11 So when you look at the meta-analyses
12 by age, by CT, by location, by severity,
13 everything is massively in favor of
14 intervention. Next slide.

15 And so these initial trials provided
16 evidence for intervention but they had no
17 evidence of what to do when patients come in
18 after six hours, we weren't quite sure about
19 what to do with imaging to see reperfusion,
20 which is an important thing to use. We weren't
21 quite sure if there was value in posterior
22 circulation or distal location, and we weren't
23 quite sure if the only thing we should use is
24 standard achievers versus these other tubes
25 where we suck the clot out. Next slide.

1 Subsequent to that we have done a lot
2 of work, again, clinical trials. Next slide.
3 This shows the COMPASS trial which showed level
4 one evidence that there was really no
5 difference between outcomes, next slide,
6 between aspiration or thrombectomy, and so
7 whether it was looking at how many vessels we
8 opened up, next slide, or what our rate of good
9 outcomes were. Next slide please.

10 DR. BACH: You have about two minutes
11 left.

12 DR. SIDDIQUI: Okay, great. Next
13 slide. So whether there were radiographic
14 outcomes, these were all quite similar. Next
15 slide. Next slide. And they were equally
16 safe. Next slide.

17 Then we found out that with imaging we
18 could treat patients up to 16 hours, and by the
19 way, the number needed to treat was still
20 between two and three. Next slide. Next
21 slide. And then we went all the way to 24
22 hours with imaging criteria and the number
23 needed to treat remained between two and three.
24 Next slide. Next slide.

25 We realized that we needed to get

1 these patients faster, so there's a lot of
2 technology being developed in terms of figuring
3 out who's got the stroke, where to take these
4 patients, how to get them opened as quickly as
5 possible, and that remains an area of really
6 great importance. Next slide.

7 So I think there's an evolution of all
8 these treatment strategies from originally IA
9 thrombolysis as Walter said, to aspiration and
10 stent retrievers. Next slide. And there are a
11 variety of different devices that have been
12 approved, most of them with randomized evidence
13 against medical therapy, and now randomized
14 evidence against other approved therapies.
15 Next slide.

16 And that includes aspiration as well.
17 Next slide. And a variety of different
18 catheters that we can get distal. Next slide.
19 I'm almost done.

20 So what is still not in the guidelines
21 is what we do about pediatric populations,
22 lower NIH Stroke Scores, poor looking CAT
23 scans, beyond 24 hours, posterior circulation,
24 distal location, these are all areas that are
25 currently being studied with clinical trials

1 and think I again, these clinical trials
2 whether they're NIH sponsored like the
3 STEPSTONE project that I'm part of which is
4 looking at more distal locations in other
5 populations compared to ongoing trials like
6 ENDOLO and TESLA which are look at other
7 populations, I think we'll have the data. But
8 again, a registry effort sponsored or supported
9 by CMS can really help provide this incredibly
10 helpful and lifesaving therapy for our
11 patients. Next slide.

12 So I think when you look at the
13 outcomes of these patients, the most important
14 thing to keep in mind is how well the vessel
15 opens up and how well these people do. I think
16 Jeff talked very well about the outcome
17 measures but I want to leave you, I think I'll
18 stop with this, if you go to the next slide I
19 think this might be the last one. Yes. No,
20 let's go back to the previous slide please.

21 So I think it's important to realize
22 that yes, we started off with very poor
23 evidence 20 years ago and that's why the FDA
24 treated this NRY code which was for
25 revascularization, but in 2021 the devices that

1 we use we use because we help patients based on
2 reduction of disability, and I don't think we
3 need to repeat natural history studies of the
4 '60s and '70s when we do our therapy. I think
5 these are very helpful therapies but we need
6 better accounting of the procedures and their
7 outcomes and that's best done through a mix of
8 clinical trials such as those being sponsored
9 by NIDS, as well as these registry efforts
10 which are being led by the interventional
11 societies, primarily NVQI-QOD to really measure
12 these longitudinal outcomes, and I recommend
13 five or ten years really to be able to come
14 back to you and demonstrate that these are life
15 changing therapies that do have value, and I'll
16 stop there. Thank you.

17 DR. BACH: Thank you very much,
18 Dr. Siddiqui, for a very interesting
19 preparation and for staying on time.

20 We are going to take a break now. We
21 are a little bit behind schedule, entirely my
22 fault. We're going to break until 10:30
23 eastern time. Please be back on time so we can
24 start with the set of scheduled public
25 comments.

1 (Recess.)

2 We are going to start again in a
3 couple minutes. Thank you, welcome back. The
4 next section of the morning is reserved for
5 scheduled public comments. We have nine
6 speakers, each will speak to us for six
7 minutes. I'll ask everyone when you are
8 speaking please turn on your camera and please
9 stay on time, I will warn you when you have one
10 minute left, but given the number of speakers,
11 I'm sure you can understand the importance of
12 trying to stay on schedule.

13 Our first speaker is Michael Chen,
14 Dr. Michael Chen from the Society of
15 Neurointerventional Surgery. Thank you,
16 Dr. Chen.

17 MS. HALL: Peter, let me interject,
18 the first speaker is going to be Dr. Katzan.

19 DR. BACH: I'm sorry, the first
20 speaker then is Dr. Irene Katzan, from the
21 Neurological Institute at Cleveland Clinic.

22 DR. KATZAN: Great, thank you. Can
23 you hear me okay?

24 DR. BACH: Yes, we can, thank you.

25 DR. KATZAN: Great, thank you. So my

1 name is Irene Katzan, I'm a neurologist from
2 Cleveland, Ohio, and I'm speaking on behalf of
3 the American Stroke Association today. I will
4 be providing the consensus of the expert
5 reviewers from the ASA to the questions that
6 are posed today. Next slide. Next slide
7 please.

8 Thank you. I have no disclosures.
9 Next slide.

10 So the first question that was asked
11 referred to specific outcome definitions
12 utilizing the modified Rankin Scale or the mRS.
13 The expert reviewers from the ASA had already
14 an intermediate level of confidence in these
15 definitions. They felt that the proposed
16 outcome that economized the mRS at three was
17 appropriate only if it was used in a trial that
18 had a population limited to severe strokes.

19 The reviewers also felt that using a
20 decrease in mRS of two or more points from
21 baseline may be reasonable as a primary outcome
22 if the term baseline refers to a premorbid or
23 pre-stroke mRS.

24 The reviewers felt that there may be a
25 rationale for comparing a post-stroke mRS to a

1 pre morbid mRS if there were future trials that
2 included patients with preexisting disability,
3 but it's important to note that the measurement
4 of a pre morbid mRS is only marginal in a rater
5 reliability.

6 We did not feel it was appropriate to
7 assess change from the initial mRS taken at the
8 time of the stroke, that was the definition for
9 baseline, as it's not possible to evaluate
10 disability in an acute setting, but the NIH has
11 traditionally been used to address the severity
12 of stroke and it was felt to be an acceptable
13 method of measurement rather than an mRS taken
14 initially.

15 We felt that the data supports 90 days
16 as an appropriate follow-up period. Next
17 slide.

18 Question two inquired about using
19 administrative data as primary outcome measures
20 and the ASA reviewers have low confidence in
21 using those as outcome measures at all. We
22 felt that there are many confounding factors at
23 both the patient and hospital level such as
24 family support, insurance data, regional
25 resources, that preclude their use as a primary

1 outcome measure. The discharge disposition is
2 considered the most useful measure from the
3 list but we still felt that discharge
4 disposition is best considered as a surrogate
5 measure of the functional status at three
6 months in studies where the direct assessment
7 of functional status is not possible. One year
8 is felt to be an appropriate follow-up period
9 for these measures of healthcare utilization.
10 Next slide.

11 The ASA does have high confidence in
12 the use of the mRS and the NIH. They are very
13 familiar to vascular neurologists being used by
14 most in clinical practice and they are commonly
15 used, of course, in acute stroke trials.
16 Regarding the mRS, like all scales it has
17 limitations. For instance, it's heavily
18 weighted towards mobility and it does not
19 include all the domains that are relevant or
20 important to stroke survivors. And because of
21 these limitations, we feel that it's important
22 to include other relevant secondary outcome
23 measures in these clinical trial or possibly
24 even use a composite measure that includes a
25 patient-reported health status measure.

1 The expert reviewers noted that using
2 shift analysis or utility-weighted analysis of
3 the mRS as mentioned by Dr. Saver this morning
4 provides more information than a dichotomized
5 mRS outcome and there was a strong preference
6 for this type of analysis over the outcome
7 definitions that were listed in question one.

8 Regarding the NIH, it is primarily
9 used as a study inclusion criteria or to detect
10 early change from the initial stroke severity,
11 and we felt that instead of using it as a
12 primary outcome it's really best used to define
13 neurological complications or perhaps to be
14 included in a composite measure.

15 The Fugl-Meyer scales are useful as
16 part of the outcomes specifically for
17 intervention trials targeting motor function
18 for patients with chronic stroke. Next slide.

19 It's important to note that the AHA
20 and the ASA have long advocated for the
21 inclusion of patient-reported health status in
22 clinical research, and there was a scientific
23 statement on this that goes back to 2013 in
24 fact. And this is because the goals of many
25 therapeutic interventions is to alleviate

1 symptoms and improve health status and optimize
2 quality of life, and these are best discussed
3 by patient report. That said, there are many
4 limitations to the use of the patient-reported
5 outcome measure as a primary outcome in a
6 clinical trial. For example, there's a lack of
7 validated assessment tools to determine the
8 premorbid patient-reported health, methods to
9 handle proxy assessments have yet to be
10 completely sorted out, and there are many
11 factors apart from medical interventions that
12 may impact patient-reported health status
13 scores.

14 So because of these limitations we
15 felt that patient-reported measures of health
16 status or quality of life should be included as
17 a secondary outcome or perhaps in a composite
18 measure when more data are available. The
19 chosen patient-reported outcome should reflect
20 whether the intervention is intended to provide
21 a narrow benefit, say a specific motor
22 function, or a holistic benefit, in which case
23 a score with more heterogenous components is
24 preferred.

25 DR. BACH: Dr. Katzan, please wrap up.

1 DR. KATZAN: Okay, one final slide.
2 These are just the variety of viewpoints on
3 PROMs that we will leave for another time, but
4 if you have any questions, I will be happy to
5 answer. Thanks.

6 DR. BACH: Thank you very much. I
7 would like to next go to Dr. Lourdes Carhuapoma
8 and please, my apologies if I didn't pronounce
9 your name directly, from the division of
10 neurosciences and critical care at the Johns
11 Hopkins Hospital School of Nursing, and the
12 University of Virginia.

13 MS. CARHUAPOMA: Thank you. This
14 presentation we were planning on jointly
15 presenting with Noeleen Ostapkovich and
16 Dr. Daniel Hanley.

17 DR. HANLEY: We want to confirm that
18 you understand that, Peter, and that we will go
19 through three presenter times; is that correct?

20 DR. BACH: That's absolutely fine.
21 You collectively have 18 minutes.

22 MS. CARHUAPOMA: Thank you.

23 DR. HANLEY: I would like to begin by
24 introducing myself as a trialist who, for the
25 NIH has investigated ICH for the last 20 years.

1 DR. BACH: Dr. Hanley, it's up to you
2 but if you want to turn on your camera, that
3 would be great.

4 DR. HANLEY: No problem, thank you for
5 reminding me. Lourdes Carhuapoma is a nurse
6 clinician who will give her own bona fides, but
7 she has been studying the area of quality of
8 life in ICH, and Noeleen Ostapkovich is a trial
9 project manager with 25 years experience in
10 running multiple large Phase II and Phase III
11 clinical trials. Lourdes, would you like to
12 introduce the area of quality of life?

13 MS. CARHUAPOMA: Sure. Next slide.
14 We have no disclosures other than research
15 support for the MISTIE III trial. Next slide.

16 Intracerebral hemorrhage is a severe
17 subtype of stroke accounting for approximately
18 ten to 15 percent of all strokes and 30 percent
19 of all stroke-related deaths. No Class I
20 interventions are currently available for
21 intracerebral hemorrhage. It is estimated that
22 50 percent of patients with intracerebral
23 hemorrhage will die within 30 days, and only 20
24 percent are expected to have a full functional
25 recovery at six months. Patients with an

1 intracerebral hemorrhage are typically younger
2 in age and have a higher burden of disability
3 than an ischemic stroke, where Class I
4 interventions are available to achieve a
5 greater level of functional recovery. For
6 these reasons the recovery trajectory from
7 intracerebral hemorrhage differs from that of
8 ischemic stroke. Recovery in ICH is prolonged
9 and unpredictable, resulting in challenges in
10 estimating long-term functional recovery and
11 health-related quality of life. Next slide
12 please.

13 Using data from the minimally invasive
14 surgery with thrombolysis and intracerebral
15 hemorrhage evacuation trial, MISTIE III, we
16 performed a matched cohort analysis using an
17 established severity index to compare ICH
18 survivors with patients who had withdrawal of
19 life sustaining treatment. We used
20 multivariable logistic regression adjusting for
21 six pre-specified variables, five of which
22 include disease severity, age, Glasgow Coma
23 Scale, deep ICH location, stability ICH and
24 intravenous hemorrhage volume. Comorbidities
25 were included to the published severity index

1 as a factors described influence, do not
2 resuscitate status in patients with
3 intracerebral hemorrhage. This resulted in a
4 modified severity index score which we will
5 refer to as MSI from here on out.

6 After matching survivors with equal
7 MSI coefficients, withdrawal by treatment of
8 patients at baseline, modified Rankin Scale and
9 EuroQol visual analog scale scores were
10 evaluated at three time points, day 30, 180 and
11 365.

12 And I'll now turn it over to my
13 colleague Noeleen Ostapkovich, who will discuss
14 the functional outcome analysis.

15 MS. OSTAPKOVICH: Good morning, and
16 thank you for the opportunity to present our
17 findings to this panel. As a senior project
18 manager I have been involved in the
19 coordination and management of several large
20 multicenter and international clinical trials
21 in ICH, SAH and IVH for 35 years.
22 Additionally, I have ten years of experience
23 working on a multicenter trial studying
24 arterial venous malformations. I have also led
25 family and survivor support groups, which has

1 led me to an interest in long-term outcomes of
2 survivors from hemorrhagic types of stroke.
3 Most of the clinical trials that I have managed
4 followed the ischemic stroke model of assessing
5 outcome at 90 days following hemorrhagic event.
6 We have in MISTIE a rare opportunity to look at
7 longer-term outcomes to see if this is a better
8 model for hemorrhagic stroke. Next slide
9 please.

10 Okay. As shown in the MISTIE III
11 CONSORT diagram, there were 379 survivors on
12 day 365. We wanted to focus on those patients
13 who based on their clinical factors were likely
14 to have poor prognosis for functional recovery.
15 Poor prognosis as related to functional
16 recovery for our purposes was considered to be
17 a modified Rankin of four to five. To
18 determine disease severity, we used the
19 methodology that Lourdes has described. For
20 calculating the MSI scores for all ICH
21 survivors and those patients who had had
22 withdrawal of life sustaining treatment, which
23 we refer to as WoLST. Using the MSI scores for
24 WoLST and survivors, a matched cohort of 263
25 survivors with poor prognosis were identified.

1 However, due to variants a second match between
2 WoLST and poor survivors was performed using
3 the individual severity coefficient from the
4 multivariable regression model, and this
5 resulted in a cohort of 104 survivors. Next
6 slide please.

7 This table shows the characteristics
8 of the final match of the 104 survivors
9 compared to WoLST. The only variable when
10 matched on the coefficients from the
11 multivariable regression model that did not
12 match was comorbidities. The matched cohort of
13 104 survivors was then followed for functional
14 recovery and disposition at 30, 180 and 365
15 days following their hemorrhagic event.
16 Functional recovery was evaluated using the
17 modified Rankin Scale. We did use the
18 dichotomized outcome of zero to three to be
19 considered a good outcome. Next slide please.

20 This slide shows the mRS distribution
21 of the cohort at each follow-up visit. At day
22 30 all patients are at a Rankin four or five
23 with only 40 percent in the acute care
24 facility, 44 percent had progressed to rehab or
25 home, and 17 percent were in a long-term care

1 facility. The biggest improvement in mRS is
2 from 320 to day 180 as seen in the reduction of
3 patients who are mRS five. By day 180, 56
4 percent of patients had transitioned to home.
5 There is continued improvement at all mRS
6 levels by day 365. Next slide please.

7 If we take a closer look at day 365,
8 72 percent or 69 of the patients who had been
9 deemed at 30 days to have a poor prognosis were
10 living at home. Of the patients living at home
11 by day 365, 56 percent had achieved an mRS of
12 zero to three, which we consider to be a good
13 outcome. An mRS of zero to three means that
14 these people are independent of ADLs, can walk
15 and are able to be left home for at least eight
16 hours a day. They require minimal assistance
17 in the long term. Our data shows that many ICU
18 patients with clinical factors that suggest
19 poor outcomes when given time of up to a year
20 can achieve a favorable outcome and return to
21 home.

22 My colleague Lourdes will now present
23 our patient-oriented health quality of life
24 data.

25 MS. CARHUAPOMA: Thank you, Noeleen,

1 next slide please.

2 As an acute care nurse practitioner in
3 neurocritical care at Johns Hopkins, I've cared
4 for patients with stroke and their families for
5 nearly 15 years. As a doctoral candidate at
6 the University of Virginia my clinical
7 experiences with this patient population have
8 inspired my research interest which focuses on
9 improving the quality of informed shared
10 decision making within the context of
11 intracerebral hemorrhage. We care about
12 health-related quality of life outcomes because
13 it matters to our patients and families. When
14 we talk about the families of critically ill
15 and intracerebral hemorrhage patients they want
16 to understand what type of quality of life
17 their loved one can expect to achieve, and
18 based on this information they make
19 consequential goal-secured care decisions to
20 continue, limit or withdraw life sustaining
21 treatment. While these decisions are highly
22 individualized, we simply do not have
23 sufficient quality of life data to provide to
24 patients and their families facing these
25 difficult decisions.

1 As opposed to an externally determined
2 score such as the modified Rankin Scale,
3 patient-reported outcomes represent the patient
4 perspective, not the clinician perspective. It
5 is for this very reason that there is a role
6 for evaluating patient-generated health-related
7 quality of life in interventions for stroke. I
8 hope by the end of this presentation that you
9 will share my perspective and will place the
10 patient narrative at the center of outcome
11 measurements in stroke trials.

12 Now referring to the CONSORT diagram,
13 using the same methodology that I previously
14 described to assess functional outcome, we
15 evaluated the EuroQol visual analog scale
16 scores and disposition of the matched survivors
17 at three time points, day 30, 180 and 365. As
18 shown here in the CONSORT diagram, there were
19 61 participants in MISTIE III who had
20 withdrawal of life sustaining treatment and 379
21 survivors. Of the survivors, 90 were matched
22 to withdrawal of life sustaining treatment
23 patients by exact MSI coefficients. Next slide
24 please.

25 Thank you. At baseline there was no

1 difference noted between patients who died of
2 causes other than withdrawal of sustaining
3 treatment, patients who had withdrawal of life
4 sustaining treatment and matched survivors,
5 with the exception of deep intracerebral
6 hemorrhage location. Next slide please.

7 This slide shows the disposition of
8 ICH survivors matched to patients who had
9 withdrawal of life sustaining treatment over
10 time. At day 30 following injury, referring to
11 the gold bars, the highest percentage of
12 matched survivors were transferred to a
13 rehabilitation facility, followed by one-third
14 remaining in an acute care facility. By day
15 180, referring to the blue bars, approximately
16 25 percent of survivors were in a long-term
17 care facility, but 65 percent of matched
18 survivors returned home. At one year, noted in
19 green, a small percentage were in a
20 rehabilitation facility, approximately 20
21 percent were in a long-term care facility and
22 73 percent of matched survivors had returned
23 home. These findings suggest that the return
24 to home takes time to achieve but it indeed
25 does occur.

1 When we have discussions with families
2 of ICH patients that we deem to have a poor
3 prognosis, we often inform families that
4 there's a high likelihood that their loved one
5 may require care in a long-term care facility
6 because of their expected severe deficits.
7 Therefore, we were interested in comparing the
8 proportion of matched survivors to patients in
9 the general population over the age of 65 that
10 were discharged to a long-term care facility
11 after a major hospitalization. Using data from
12 the Medicare Payment Advisory Commission data
13 book we demonstrated that the proportion of
14 matched survivors in MISTIE III living in a
15 long-term care facility at age 65 were nearly
16 equal to the 22 percent of Medicare recipients
17 discharged to long-term care facilities after
18 hospitalization. Next slide please.

19 Thank you. The EQ-5D instrument
20 includes a short descriptive system and a
21 visual analog scale known as the EQ-VAS. The
22 EQ-VAS is a quantitative measure of health
23 outcomes and allows the respondents to self
24 report their health state on a vertical visual
25 analog scale ranging from 100, best imaginable

1 health state, to zero, worst imaginable health
2 state. It is patient generated, it is well
3 validated, it is obtained in less than one
4 minute, minimizing patient burden.

5 We evaluated the mean EQ-VAS score of
6 matched survivors by time and disposition which
7 is recorded here. At day 30 the mean EQ-VAS
8 score of matched survivors living at home,
9 referring to the green bars, was higher than
10 those living in a rehabilitation facility,
11 long-term care facility or an acute care
12 hospital. We see a similar trend at day 180
13 and 365 with matched survivors living at home
14 having the highest mean EQ-VAS score. At day
15 365 the mean EQ-VAS score of matched survivors
16 living at home approached the U.S. population
17 norm of 74.9 for age matched individuals who
18 had never experienced an intracerebral
19 hemorrhage. It is clear from this data that
20 returning home makes a difference in
21 health-related quality of life. Next slide
22 please.

23 Please click further, thank you. We
24 took a closer -- sorry, the slide before
25 please. Thank you.

1 We took a closer look at the mean
2 EQ-VAS score across three groups at day 365,
3 and go ahead and click please. Sorry, the
4 slide before, the slide prior, slide prior
5 please. Thank you.

6 We took a closer look at the mean
7 EQ-VAS scores across three groups at day 365,
8 all of which displayed similar demographic and
9 clinical characteristics. All survivors
10 enrolled in MISTIE III had a nearly equal mean
11 EQ-VAS score to survivors matched to withdrawal
12 of life sustaining treatment patients. Matched
13 survivors living at home had a higher mean
14 EQ-VAS score. For all groups the mean EQ-VAS
15 score approached the U.S. population norm.
16 Next slide please. Thank you. Please click to
17 show the material. Thank you.

18 When we reviewed the rationale for
19 withdrawal by sustaining treatment from the
20 MISTIE III case report forms, we found several
21 factors that may have influenced decisions to
22 perform withdrawal by sustaining treatments.
23 Dependent outcome anticipated was the most
24 commonly cited reason. Please click.

25 Having anticipation of dependent

1 outcomes influenced the decision to withdrawal
2 by sustaining treatment. Please click. Among
3 patients who died as a result of withdrawal by
4 sustaining treatment, dependent outcome
5 anticipated was cited 62 percent of the time as
6 the reason to withdraw supportive measures.

7 Thank you, and now Dr. Hanley will
8 summarize our findings and conclude our
9 presentation. Next slide please.

10 DR. BACH: Dr. Hanley, you have --
11 Dr. Hanley, I'm adding a minute for injury time
12 due to the slides, so you have about three
13 minutes and 20 seconds.

14 DR. HANLEY: Thank you. This is just
15 like an NFL game, you're doing it wonderfully.

16 I think it's clear that if you follow
17 the ICH patient out to a year, and it's the
18 same story as severe ischemic stroke, you see a
19 lot more recovery. And the second thing that's
20 quite clear is that health-related quality of
21 life data is very important. We are not saying
22 anything about decision making in withdrawal of
23 care.

24 There are two major points we would
25 like to make to CMS. One, that ICH and all

1 brain bleeding groups should be evaluated by
2 CMS as a separate category since they represent
3 the most severe category of ischemic stroke.
4 Second, patient-reported outcomes utilized with
5 the well validated EQ domain, whether it's the
6 five dimensional domain or the VAS, which is
7 very simple, should be a primary outcome of
8 concern for CMS. The more detailed
9 patient-reported outcomes could be a secondary
10 outcome.

11 In terms of how confident we are that
12 using the five, that, the EQ-VAS for quality of
13 life, we believe it adequately reflects the
14 patient experience in the context of
15 cerebrovascular diseases and we would answer
16 yes, it should be included as a standalone
17 meaningful measure of health outcome research
18 and yes, it should be included as part of
19 composite and primary health outcome and the
20 measures, that the detailed quality of life
21 measures, and there are many of them, stroke
22 impact scale, the details coming from the
23 EQ-5D, all well validated, should also be
24 important to CMS and its mission for the
25 American patient.

1 So in summary, ICH is different from
2 ischemic stroke and should be treated
3 differently. Thank you very much.

4 DR. BACH: Next up we're going to have
5 Dr. Michael Chen from the Society of
6 Neurointerventional Surgery.

7 DR. CHEN: Clemens, perhaps you should
8 go ahead and start?

9 DR. SCHIRMER: Yeah, thank you, Mike.
10 So I'm Clemens Schirmer, I'm part of a group
11 presentation if the chair will allow that, just
12 confirm this. We're representing as mentioned
13 here, five societies.

14 DR. BACH: Sure, so we'll pause here
15 for a second, this is news to me. So
16 Dr. Schirmer, who else is speaking?

17 DR. SCHIRMER: We were going to split
18 this up between myself and Dr. Chen. I was
19 going to tackle the first two questions,
20 Dr. Chen the other two.

21 DR. BACH: Okay. All right, that's
22 perfect. Why don't we start with you then,
23 Dr. Schirmer, and the two of you have 12
24 minutes.

25 DR. SCHIRMER: Thank you.

1 DR. BACH: Is that okay.

2 DR. CHEN: That's fine.

3 DR. BACH: Thank you very much. So
4 first up is Dr. Clemens Schirmer, from the
5 American Association of Neurological Surgeons,
6 and the Congress of Neurological Surgeons.

7 DR. SCHIRMER: Thank you, yes. I
8 represent those societies as the chair of the
9 joint section of cerebrovascular surgery and if
10 could just go to the next slide please, these
11 are our other members of the group that weighed
12 in here but as mentioned they won't all speak,
13 and hopefully that will be to your benefit.

14 So going right along with what was
15 shown before, the questions that were posed to
16 us were about primary health outcomes. We as a
17 group after some discussion felt mostly
18 confident about using mRS more than three, as
19 well as the measure of an mRS less than three
20 or equal to the pre-stroke mRS.

21 We felt less confident about other
22 kinds of stroke and also the option that was
23 mentioned pertaining to the decrease of the mRS
24 of more than two points.

25 We want to note here that the modified

1 Rankin score is weighted and a numerical change
2 in the score is highly dependent on the
3 spectrum and where the patient falls onto that
4 spectrum. If we could go to the next slide
5 please, it has on the positive side been found
6 to be vary fairly reliable, as has been
7 mentioned, it is used in daily life by a lot of
8 people, a lot of clinicians that are highly
9 trained and have a lot of high inter-rater
10 reliability. It does improve with structured
11 interviews, that has been found as well. It is
12 not clear that structured interviews are used
13 in daily life very much, and overall the
14 construct and the convergence validity have
15 been well documented as well.

16 DR. BACH: Dr. Chen, you might want to
17 mute your microphone. Dr. Schirmer, go ahead.

18 DR. SCHIRMER: Sure, thank you, sorry
19 about that. And we do need to consider the
20 comorbidities and socioeconomic factors when
21 applying and interpreting the modified Rankin
22 score. Next slide please.

23 A couple of other points we wanted to
24 make here, as a commentary, we do think that
25 90-day length of followup seems most

1 appropriate, it is a standard length of
2 followup that aligns with some other measures
3 and ways we think about patient followups as
4 well. Of course the mRS cutoffs depend on the
5 measure being studied and it should be
6 calibrated based on the subgroup from which
7 part of the mRS less than three group for
8 example would indicate functional independence.
9 Composite endpoints do include mortality but
10 may not necessarily reflect the primary concern
11 which is in stroke the disability that the
12 patient incurs afterwards. To put that to a
13 point, you know, we have lots of patients that
14 when faced with a choice of an intervention
15 that will leave them dead versus alive, they're
16 less concerned about the dead part but mostly
17 concerned about the disability part they may
18 incur if we get them through that surgery. And
19 better choices and better endpoints
20 substantially strengthen the trial power of a
21 given trial size or may reduce the sample size
22 without loss of statistical power, and I want
23 to make a comment about that, so with the next
24 slide.

25 The mRS scores are typically not

1 normally distributed and the sample size
2 calculations are sensitive to this. And there
3 are a lot of studies that ignore this little
4 tidbit and use normally distributed statistics
5 to come up with sample size calculations and
6 other analysis, and that is a hindrance to
7 developing a valid analysis and outcomes and
8 conclusions from said analysis. Next slide.

9 Delving right onto question number
10 two, this is going to be a little bit quicker.
11 We were most confident about the discharge
12 disposition to rehabilitation or home versus
13 inpatient facility. We drew a line there with
14 our colleagues from the American Heart
15 Association, and were less confident about some
16 of these other measures that were mentioned as
17 choices, hospital length of stay for the index
18 procedure, we do believe that the length of
19 stay is highly variable depending on
20 comorbidities, hospital services, plus there
21 are things like weakened effects of physician
22 preferences. And also the number of
23 unscheduled readmissions related to
24 cerebrovascular disease, which we feel is a
25 very sparse measure, it doesn't happen that

1 often. And next slide.

2 This also has been looked at before,
3 the determination of hospital discharges and
4 discharge disposition status at an acute
5 admission is extremely important for stroke
6 management and the eventual outcomes of a
7 patient with stroke. And there's a paper cited
8 below there that looked at the discharge
9 disposition patterns in Tennessee, and it was
10 associated with the key patient characteristics
11 of selected demographics including race,
12 clinical indicators and insurance status. So
13 in other words, these measures may measure a
14 lot of things about our patients but not the
15 individual outcome related to their stroke
16 care. It is most likely to measure the effects
17 or the qualities of the local system of care,
18 the local health system of care again, rather
19 than individual systems of care.

20 With that I'll move on and let
21 Dr. Chen speak to the other questions. Thank
22 you.

23 DR. CHEN: Thank you, next slide. So
24 my name is Michael Chen, I'm currently serving
25 as the president of The Society of

1 Neurointerventional Surgery, and along with
2 five other organizations we really have a joint
3 response to the four questions posed and
4 appreciate the opportunity to voice our input.

5 Now with regards to the choice of
6 outcome measures when looking specifically
7 between modified Rankin Score and the NIH
8 Stroke Scale, I think we very much are much
9 more in favor of the modified Rankin Scale. It
10 is designed to measure disability as opposed to
11 the NIH Stroke Scale which is initially
12 designed to measure the severity of deficits.
13 This has been outlined by earlier speakers in a
14 lot of detail.

15 Suffice it to say from a perspective
16 of physicians who perform these procedures and
17 in terms of the clinical relevance to us, it's
18 important to realize that the NIH Stroke Scale
19 is, can very much not represent the degree of
20 disability. For example, you could have an NIH
21 Stroke Scale of four in somebody with a
22 complete aphasia, or somebody who has the
23 inability to swallow can have a score also less
24 than four, and so those would be, you know, not
25 well captured in terms of what meets the needs

1 of the patient. So as a standalone primary
2 outcome measure, we feel that NIH Stroke Scale
3 is not designed for this and because perhaps it
4 has been used quite, very prominently over
5 time, it may have over time created sort of a
6 life of its own in terms of the amount of
7 meaning that's attached to it, so I think
8 that's important to keep in mind.

9 So as mentioned earlier, the modified
10 Rankin Score is what we very much are aligned
11 with, and in agreement with the previous
12 speakers we do feel that it should ideally not
13 be used in a dichotomized fashion but more in a
14 weighted or utility weighted manner, to account
15 for the varying degrees of differences and the
16 distribution of modified Rankin Scores between
17 each of the, you know, zero, one, two, three,
18 four, five and six. Next slide please.

19 And so this is just a graphic
20 representation of what we were talking about
21 earlier, there's a wide variation in the
22 sensitivity of disability measures and the
23 categories are quite large just in terms of
24 their meaning and how often patients are within
25 these scores. Next slide please.

1 So as you mentioned earlier, there's
2 some significant concerns with NIH Stroke
3 Scale. Even if you were to sort of group it
4 into different categories, say zero to ten, ten
5 to 15, or greater than 20, I think even within
6 those categories, or if you want to look at a
7 delta of the NIH Stroke Scale, it may not,
8 though it may be easy to capture because it's
9 so widely measured in all sort of stroke
10 accredited hospitals, it's not something that I
11 think is as valid when the concern is for
12 measuring disability which is, you know,
13 generally the primary outcome measure we care
14 most about for stroke patients. Next slide
15 please.

16 So lastly, we just wanted to comment,
17 and mostly just reiterate what's been mentioned
18 earlier about the health outcome measure with
19 regards to patient-reported outcome measures.
20 We very much agree and support the importance
21 of patient-reported outcome measures. With
22 regard specifically to EQ-5D, we know it's very
23 widely used and very well validated, you know,
24 across the five domains. However, there seems
25 to be less attention to specific realms of

1 speech and cognition, which are highly relevant
2 to stroke patients. So if anything perhaps,
3 you know, if this is used in addition to other
4 patient-reported outcome measures, perhaps
5 those measures which can address the concerns
6 for speech and cognition would I think better
7 represent the needs of stroke patients. Next
8 slide please.

9 So additional points we would like to
10 make EQ-5D is that the norms have to be
11 established and hopefully adjust, you know,
12 have additional measures to account for the
13 potential deficits it has with regards to
14 measuring the needs for stroke patients. So,
15 next slide.

16 Okay, and that's all we have and we
17 appreciate the opportunity to present our
18 input. We do have several other speakers
19 including Dr. Jayaraman, Dr. Milburn and
20 Dr. Hirsch in case if we have a few more
21 minutes if they wanted to add any additional
22 points to what Dr. Schirmer and I mentioned.

23 DR. BACH: Thank you, Dr. Chen. We,
24 just to clarify, I have Dr. Hirsch, Jayaraman
25 and Milburn listed as speakers, so the truth is

1 they collectively have 18 minutes to speak to
2 the committee. I'm not suggesting if they
3 don't have material they shouldn't -- they
4 should feel free to use that time, but there's
5 no pressure whatsoever.

6 DR. HIRSCH: Dr. Bach, to clarify,
7 this is Dr. Hirsch from the College of
8 Radiology. We've ceded our time to
9 Dr. Schirmer and Chen for the aggregated 30
10 minutes you just identified. I have no
11 additional comments other than to fully support
12 those that they've made.

13 DR. BACH: Okay, thank you,
14 Dr. Hirsch. And also for Dr. Jararaman and
15 Dr. Milburn, there's later a period where the
16 panel can ask questions of the presenters, and
17 you should consider yourself included amongst
18 that group if you would like to participate in
19 it. Dr. Jayaraman or Dr. Milburn, feel free,
20 do you have additional comments, or not?

21 DR. JAYARAMAN: This is Mahesh
22 Jayaraman and similar to Dr. Hirsch, I conceded
23 my time to the joint presentation by Doctors
24 Chen and Schirmer. I don't have any additional
25 comments at this time. Thank you.

1 DR. BACH: Okay.

2 DR. MILBURN: This is Dr. Milburn.
3 Similarly, I'm representing the American
4 Society of Neuroradiology, and thanks for being
5 inclusive of all these neuro societies, and I
6 also cede my time to Doctors Chen and Schirmer,
7 and agree with their comments.

8 DR. BACH: Okay, wonderful, and thank
9 you very much for the clear presentation and
10 the organization that clearly went into it.

11 This means we get to break for lunch
12 early so everyone will have time to order the
13 souffle. I propose we break now even though it
14 is only 11:10 eastern right now, and we will
15 take one hour, actually let me propose we take
16 50 minutes, five-zero minutes, and we come back
17 at noon eastern time.

18 Is there any issue with that, that is
19 a change in the schedule. CMS, do you have any
20 issue with a shift in the schedule in that way?
21 That would bring us back at noon eastern to
22 begin questions to presenters 45 minutes early.
23 Do any of the MEDCAC panelists, you can text me
24 privately if that messes you up in some way and
25 I can reconsider, or if it doesn't mess you up,

1 we'll just add it on the schedule.

2 MS. HALL: That's fine, Dr. Bach.

3 DR. BACH: Okay, thank you very much,
4 I will see everyone at -- oh actually, I'm
5 sorry, we have a panelist who cannot come back
6 on time, can't come back ahead of schedule, so
7 we're going to go back to our originally
8 scheduled schedule, pardon me for saying that
9 twice. At 12:45 Eastern we will reconvene for
10 questions to presenters.

11 (Recess.)

12 Good afternoon, everyone, I hope
13 everyone had a good lunch break, were able to
14 catch up on emails and things like that if that
15 was needed.

16 The next period of time which will be
17 approximately one hour but is as needed, gives
18 an opportunity for the MEDCAC panel members to
19 discuss with the presenters issues that arose
20 during the presentation, or any other questions
21 that are relevant to the later discussions this
22 afternoon. I encourage the panelists to ask
23 questions that will help them eventually answer
24 the, do the voting that's going to be required
25 or otherwise flush out the discussion.

1 This is more difficult to do in a Zoom
2 environment than it is in person where it's
3 easy for me to identify who would like to ask
4 questions, but for the panelists, we can do a
5 couple of things here. If you'd like me to
6 call on you, I think the easiest thing is to
7 chat towards me, either towards me or toward
8 everyone, whichever you prefer, but just so I
9 know. You can try raising your hand as well.
10 I found that sometimes forget to unraise their
11 hand which can confuse me, but I'm just going
12 to take questions in the order that they
13 appear. I do not ask questions, I'm just here
14 to moderate.

15 In terms of the presenters, it's my
16 strong preference that you address questions to
17 presenters, to specific presenters. In this
18 case we had a couple of public speaker kind of
19 groups who spoke and so in that context, those
20 groups, there was a group of three and then
21 there was a group of five I believe, in those
22 contexts the group can select whom they would
23 like to answer or address the question, but I
24 think that will work well enough if everyone's
25 okay with that.

1 So the floor is open if you would like
2 to chat with me now or whenever that you would
3 like to ask a question, or raise your hand and
4 I can call you on you. And I don't have, by
5 the way I don't have a full, I can't tell if
6 all the presenters are on line here, I think I
7 see most of them, and I'll go through and take
8 a census here.

9 MS. HALL: Peter, I'm taking roll
10 right now.

11 DR. BACH: Okay, thanks, Tara.

12 If you're struggling to think of
13 questions, I'd encourage you to look at the
14 voting questions and see if they are sparking
15 interest in questions or things you would like
16 clarity on.

17 DR. THOMAS: Peter, Greg Thomas here,
18 I have a question.

19 DR. BACH: Sure, go ahead, Dr. Thomas.

20 DR. THOMAS: For Dr. Saver, thanks for
21 your excellent presentation. One of your
22 discussion items was using weighting utility
23 ordinal analysis and as I recall you had some
24 proportions potentially for weighting. How
25 does that work, is there multiplication there

1 that goes on.

2 DR. SAVER: Yes, thank you,
3 Dr. Thomas. Each level is given a, or each
4 patient is given a score and then that score is
5 averaged across the treatment group, so you
6 have an average utility weighted score in the
7 treatment group and in the control group and
8 you compare those, and to some extent you can
9 then switch to using continuous statistics if
10 you have 15 or more many samples, which gives
11 you more, it may give you a bit more power than
12 ordinal statistics would. In addition, it at
13 least weights the levels according to a patient
14 and provider preference rather than just the
15 simple order of the ranks.

16 DR. THOMAS: So you mentioned earlier
17 that continuous analysis, and I would concur,
18 you get more power and as I read the material,
19 sample size is an issue here, so it seems like
20 a continuous variable would allow one to use a
21 lesser sample size, so is that, is the
22 continuous variable a futuristic model or is
23 that particularly to the weighted ordinal
24 analysis, shift analysis, or is there another
25 way to use a continuous variable to get more

1 power?

2 DR. SAVER: Sure. Well, the weighted
3 ordinal analysis I think is, part of the way
4 toward a continuous analysis but because each
5 patient score is discrete, it becomes
6 semi-continuous or continuous at the group
7 level but not the individual level. There are
8 individual measures that are continuous, for
9 example the academic medical center linear
10 disability scale and other measures that have
11 tended to use item response banks to be able to
12 cover the entire spectrum of outcomes with
13 enough precision, you don't want to ask 150
14 questions of every person, so with item
15 response banks you're able to iteratively focus
16 in where the person roughly is and then narrow
17 them down there, but those are a little more
18 impractical at the bedside because you need
19 computerized responses in real time to guide
20 the patient or informing, so they've not had
21 wide uptake in clinical trials.

22 DR. THOMAS: Thank you.

23 DR. BACH: Thank you, Dr. Thomas, you
24 can put your hand down. Dr. Lahey, you're next
25 and you can also put your hand down.

1 DR. LAHEY: Thank you very much. I
2 just had a question for the group of
3 Ms. Carhuapoma, Ms. Ostapkovich and Dr. Hanley.
4 I think one of you talking about the
5 intracerebral hemorrhage and the quality of
6 life as a result of that, you gave us the
7 impression that returning home is very very
8 important in reaching a high quality of life as
9 if it were an option or a decision, clinical
10 decision whether you would send, as a physician
11 taking care of a patient, whether I sent the
12 patient to some inpatient facility or home.
13 Isn't it rather that that's not an option, any
14 patient who is well enough to be discharged to
15 home already is in a much more favorable group,
16 they're going to do a lot better? In other
17 words, sicker patients go to inpatient
18 facilities than less sick patients.

19 DR. HANLEY: Maybe I should answer
20 that. Yes, that's true. We did not, and we're
21 not suggesting that pushing people to the home
22 improves either their function or their
23 health-related quality of life, but in the data
24 we showed and in other data that comes from the
25 MISTIE and the CLEAR trials, when they are home

1 there is less depression and the quality of
2 life that they self report improves. And I
3 would point out that that quality of life is
4 different than the utility values that we saw
5 in ischemic stroke and have been established in
6 ischemic stroke. That was the main point, not
7 that going home alone makes somebody better,
8 this is as you suggest, driven by the condition
9 of the patient.

10 DR. LAHEY: Thank you.

11 DR. HANLEY: But let me say one other
12 thing. The reason we focused on that is the
13 first two questions, that the family of a brain
14 hemorrhage patient, because the brain
15 hemorrhage patients almost never can
16 communicate, ask will the patient live, and
17 then the second one is will they be able to go
18 home, and the third one is the quality of life
19 issues that are addressed by the first
20 questioner, who correctly identified that
21 continuous is better. The weighting with
22 utility is probably quite different in
23 hemorrhagic stroke than it is in ischemic
24 stroke.

25 DR. BACH: Thank you very much.

1 Dr. Speir has a question.

2 DR. SPEIR: Yes, thank you. I'd like
3 to address this to Dr. Ansari and then all the
4 neurosurgeons if they have any thoughts about
5 it. I was particularly appreciative of the
6 focus you had on the development of the
7 clinical registries within the neurosciences
8 and how that has expanded over these years. As
9 many of you may know, that's, the registry has
10 been one of the mainstays in my specialty in
11 cardiac surgery since 1987 and we now have 7.3
12 million patients or thereabouts that we analyze
13 twice yearly by both providers of practice and
14 then as our entire specialty. We were part of
15 the language for the QCDRs in the MACA Bill in
16 2016, but the paradox is despite the support
17 we've had toward others expanding the
18 registries we're now finding that support
19 waning and are pulling back in support of the
20 QCDRs through our public policy arm,
21 predominantly because of the administrative
22 cost and how bulky it is within the individual
23 institutions to maintain such a registry.

24 The question to you, and to all of you
25 is as you see the registry grow within your

1 specialty and in others, how do you anticipate
2 handling the size of the volume of data and the
3 coexistent costs that are individually borne by
4 the practices and by the hospitals as these are
5 not made up and supported by CMS, and is there
6 any appetite for seeking to have that? One of
7 the goals as we're developing our
8 recommendations here that these data points,
9 particularly around these new and evolving
10 technologies, can be followed as Dr. Siddiqui
11 was making the case for over the coming years
12 to see the success of the treatments, and can
13 that be additionally supported by CMS,
14 particularly in the climate where at least for
15 the surgical practices they're seeking to
16 decrease the reimbursement, as many of you
17 know, between five and 10 percent.

18 I know that's a multiheaded dragon
19 that I just asked, but I'd be interested to
20 know what your thoughts are.

21 DR. ANSARI: Thank you for your
22 question. Yeah, we have the same concerns,
23 it's hard to get traction. I think as I noted
24 in my talk, we have about 20 percent
25 penetration of the stroke centers and I think a

1 lot of the resistance is the cost, not just the
2 cost to the practices but even the hospitals,
3 in developing enough or requiring enough staff
4 with expertise to fill in these pretty
5 extensive registry data points. You need, for
6 a registry to be valuable you really need the
7 granularity and the explicit data required as
8 well as the followup, so it can be quite a
9 tedious task and an expensive task as you
10 mentioned.

11 And then for a lot of these practices
12 being hospital based they don't really need to
13 report in the mixed form because they are large
14 group practices or they're institutional
15 through the hospital, and so the financial
16 benefit is not really, you know, is not really
17 applicable to many of them.

18 So we saw from our sister registry,
19 the EQI, that they had a QCDR but they stopped
20 that as well. And so are there other roles for
21 these registries, can they be tied to
22 reimbursement through some type of payment
23 additions through CMS or the government that
24 would support this endeavor, because we know
25 the value is there, we know that these can be

1 highly valuable, and with enough funding or the
2 appropriate funds flow towards this type of
3 true quality data, I think you can make an
4 impact. But yeah, I think monetarily the
5 question, I don't have any answers either.

6 DR. SPEIR: Just one additional point,
7 in the Commonwealth of Virginia we took our 19
8 centers that perform open heart surgery in
9 lengthy EVO-4 discharge financial data and
10 linked it to the episode of care reported
11 within our STS database, and we now have about
12 150,000 patients where we're able to see the
13 cost benefit for improvement of the clinical
14 initiatives that we had and whether it's in
15 atrial fibrillation or transfusion or early
16 extubation. Within the MACA Bill there was
17 language that directed CMS to provide the cost
18 data and make it available so we could link it
19 to our STS clinical data. The problem is CMS
20 couldn't do it or wouldn't do it, so we're
21 trying to continue to urge them to make that
22 available so we can show what is the real holy
23 grail and that's value, it's showing the cost
24 benefit for the quality improvements both for
25 the technology as well as our clinical

1 outcomes, but I hope that you will be
2 supportive of us all as we're moving that
3 initiative forward.

4 DR. SIDDIQUI: Alan, I'd like to chime
5 in there a little bit about the fact that you
6 guys are certainly the trailblazers with the
7 STS registry or more recently the structural
8 heart, the way structural heart has been
9 transformed by the work that's been done with
10 the TVT registry, or the Society of Vascular
11 Surgeons and how that's allowed procedures like
12 TCAR to really become part of the mainstay. I
13 think registry efforts are a critical component
14 of our ability to be able to interpret data
15 that's garnered through trials which have
16 specific selection criteria and see how it
17 applies to the broader populations.

18 I think the fundamental question about
19 financing these data gathering exercises is a
20 pivotal one, and that's where I believe CMS can
21 really come into play the way that the TBT
22 registry was covered for evidence development.
23 I think the NVQI-QOD, which is a singular
24 registry by all your surgical,
25 neuroradiological and neurological

1 interventional societies, they all have signed
2 on, they all contribute to it, they have a
3 patient safety organization. If we could get
4 support with coverage for evidence development,
5 you would be able to gather data in a lot of
6 these conditions longitudinally.

7 Because while I completely subscribe
8 to Jeff Saver's position with mRS at 90 being
9 really appropriate, I do believe CMS has
10 concerns, should have concerns about what
11 happens a year later or five years later, and
12 the way to do that is with these registries.
13 And the way to do that --

14 DR. BACH: Dr. Siddiqui, I'm sorry,
15 just some ground rules. I appreciate the
16 comments of course. If possible, I'd like to
17 keep it that a single presenter answers the
18 question and that if another presenter wants to
19 add, that's terrific, but please try and be
20 quite curt, brief in your remarks.

21 The other thing I just want to
22 clarify, it's a subtle distinction, but the
23 work of this committee is around evidence and
24 coverage, not about payment policy. Everybody
25 knows that in the real word these things

1 interact, including the people at CMS, but
2 we're very much today focused on a large set of
3 complicated questions regarding measurement and
4 evidence, so if we can all stay focused on kind
5 of sifting through that complicated area, that
6 would be great.

7 DR. SIDDIQUI: Sure, Peter. I did, I
8 believe Alan specifically mentioned my pitch.
9 The point is that the data gathering exercises
10 which are what we're talking about, trying to
11 gather evidence, it is costly, but the best --

12 DR. BACH: No, I'm not disagreeing,
13 and I'll chastise Dr. Speir later for getting
14 us off topic.

15 Dr. Thomas, I don't know if you still
16 have your hand up, or are you putting it up
17 again?

18 DR. THOMAS: It's my left hand now,
19 not my right.

20 DR. BACH: Sorry, it's hard to tell.

21 DR. THOMAS: A question for
22 Dr. Ansari. So some of the panelists and such
23 have, and speakers have talked about using the
24 modified Rankin Scale at 90 days, but it looks
25 like there's a challenge in the registries that

1 you mentioned trying to get that data, and
2 maybe related to some of the comments earlier,
3 but I think it's about 35 percent of patients
4 not having that, and there might be a selection
5 bias for sicker or less sick patients having
6 that, so how do we handle that challenge?

7 DR. ANSARI: Thank you for the
8 question. Yeah, I think there's two real
9 methodologies to improve that. We've been
10 taking a lot about that's going to be our
11 second quality project, to actually report back
12 to the sites, and the registry's job to
13 identify for reporters, try to augment their
14 participation in identifying an mRS at the
15 90-day mark and longer if possible, we try to
16 recommend up to a year worth of mRS outcomes.

17 But the other part is certainly that,
18 you know, a lot of institutions don't record
19 that, even at high academic centers when stroke
20 patients will come back and it's just not in
21 their chart. And so again, it comes back to
22 how we modulate that behavior at the clinical
23 level and you know, I think actually going back
24 to the last question really, if we had a
25 methodology where data was important to an

1 institution and a practice whether private or
2 public and that that data had to be reported
3 for an incentive, whatever that may be, a
4 penalty or an incentive, that you will find
5 institutions supporting that data and having
6 that available where in the future these
7 registries will be provided through EMR and
8 direct access through EMR, an ability to
9 extract that information, and if it's in the
10 EMR there will be an incentive for institutions
11 to provide that.

12 DR. THOMAS: Thank you.

13 DR. BACH: Thank you. I need to
14 interrupt also. I see discussion, I apologize
15 for this, I see discussion going on amongst the
16 panelists in the chat with the presenters. I
17 don't, Tara, you can weigh in on this, but I
18 don't think those chats are publicly available
19 and the rule --

20 MS. HALL: No, you're right, all
21 conversations about the MEDCAC needs to be done
22 in the open forum.

23 DR. BACH: Sorry, we're all very
24 comfortable chatting with each other, I hate to
25 be a cop here again, but if you're going to

1 discuss the contents of the meeting in any way
2 of substance it needs to be done verbally in
3 this Zoom environment. Please reserve the chat
4 for logistical things like complaining that I
5 missed your hand being up or things like that.

6 So, there were important comments that
7 I just saw, so I'd like to give a chance for
8 people to make them. We will have a chance for
9 the panelists to discuss things amongst
10 themselves after this discussion with the
11 presenters, we'll start to then just sort of
12 speak amongst ourselves so I'll ask you to save
13 it until then, although you can certainly weave
14 it into questions or comments.

15 Dr. Stephens, I believe you're next.

16 DR. STEPHENS: Thank you. So this
17 question is for Ms. Carhuapoma. I understand
18 that you were very much a proponent of
19 listening to the individual and their family or
20 caregiver but I did hear, I was very struck
21 that across all the other presentations there
22 seemed to be a consensus that there is sort of
23 an inherent flaw with relying on that
24 information, either that individuals would be
25 unable to assess their pre-stroke abilities or

1 disabilities, or that it just simply introduces
2 a bias. So I wanted to understand from your
3 perspective, one, is that the case, and two,
4 does that in any way, if it does introduce a
5 bias, is it mitigating some of the other biases
6 that people are experiencing as patients in the
7 system, so I'd like to understand your
8 perspective and your response to that.

9 MS. CARHUAPOMA: Thank you for that
10 question. I think, you know, hopefully quality
11 of life is significantly important to patients
12 and to families and it really informs the
13 decision making process. So whether or not
14 there is a baseline in terms of health-related
15 quality of life, what really matters is post
16 stroke, and to the comparison in terms of the
17 general population. You know, I think that we
18 can all agree that the EQ-5D is well used, it's
19 well described and it is well validated,
20 perhaps not within the stroke population.
21 However, what people really want to know post
22 stroke is how they're going to compare in terms
23 of the general population, and I think that,
24 you know, sure, that certainly introduces a
25 bias in terms of not being able to get baseline

1 data in terms of health-related quality of
2 life, but what really matters is post stroke
3 and what their trajectory is going to look like
4 post stroke. I hope that answers your
5 question.

6 DR. BACH: Thank you very much.

7 Dr. Miller.

8 DR. MILLER: Yes, thank you. I have
9 several questions actually if that's all right.

10 DR. BACH: That's fine. Brian, why
11 don't we do it, I don't know if they're all for
12 the same person, but we'll ask a question to a
13 person, allow for an answer, and then we'll go
14 back to you for the next one.

15 DR. MILLER: Sounds good. I have one
16 quick question first for Dr. Hanley. I heard
17 discussions of course about embolic stroke and
18 lacunar stroke, and then you talked about
19 intracerebral hemorrhage and hemorrhagic
20 stroke. Do you think that perhaps, and
21 obviously those are distinct clinical
22 populations and they have slightly different
23 although maybe somewhat related time courses,
24 but different also clinical outcomes. Do you
25 think that perhaps different outcome measures

1 for those different populations would be
2 helpful for Medicare beneficiaries, as in we
3 should maybe look at patients with embolic
4 strokes slightly different from patients with
5 lacunar stroke as someone mentioned, fine motor
6 movement being more important there versus,
7 says a modified Rankin Scale which of course,
8 you know, fine motor movement for intracerebral
9 hemorrhage is probably less relevant compared
10 to a modified Rankin Scale, so I would be
11 interested in your thoughts on that.

12 DR. HANLEY: The short answer to the
13 question is yes, and that is what Lourdes and
14 Noeleen and I were trying to show with a small
15 bit of our trial data. Slightly longer and I
16 know not too long, stroke patients rightly
17 because of the data you showed and their
18 families, want to know will I live, will I go
19 home, what will I function at home, and the
20 real question is moved to the fourth question
21 that all patients ask, and this gets to
22 Dr. Stephens' question earlier. The fourth
23 question is how well will I function, and the
24 ordinal mRS done at 90 days with or without
25 utility weighting works very well for that

1 question for a device or a treatment.

2 For brain hemorrhage where 50 percent
3 of all patients are likely to die, the
4 questions one wants to ask of both the
5 healthcare system and the patients are
6 different. You want to ask will I be well
7 enough to go home, and what will I be like at
8 home. The modified Rankin threes in the data
9 that Lourdes showed were functioning
10 independently with Barthel scores of 90. The
11 modified Rankin fours were not fully
12 independent as Jeff Saver said, they have
13 Barthel scores in the 40-plus range, the range
14 is 70 to 20. We need more data there and we
15 need it specified by the actual type of
16 disease, and although from a public health
17 perspective lumping ischemic and hemorrhagic
18 stroke together I think is very good, from a
19 data-driven decision making, whoever is making
20 it, the family, CMS, medical people, we need
21 data about the specific subtypes.

22 DR. MILLER: Thank you.

23 DR. BACH: Dr. Miller, we can go back
24 to you. Dr. Stephens, you still have your hand
25 up. I don't know if that means you still have

1 a question or not. Dr. Miller, another
2 question, and then Dr. Brewington, and then I
3 can come back to you for more.

4 DR. MILLER: Thank you. This is a
5 question for Dr. Saver and I apologize if I
6 missed this in the presentation. So we were
7 talking about various scales and your
8 presentation was very helpful, it was very
9 detailed and I appreciate that. We talked
10 about how often, you know, when people stroke
11 in the ER, they have a stroke on the floor of
12 the hospital, we use the NIH Stroke Scale, and
13 specifically you mentioned that this is
14 relevant obviously at the time of the stroke
15 but less relevant later because it doesn't
16 clearly measure disability as well as the
17 modified Rankin, and the beneficiaries are
18 appropriately concerned about their functional
19 status at home and in the world.

20 And this might be reflecting my lack
21 of knowledge on this, but I don't believe, and
22 I have ever seen when we do a stroke that we're
23 doing a modified Rankin Scale, and so the
24 question is, is it feasible from a trial
25 perspective you think to collect, or how would

1 we collect that data, or like say other
2 therapeutic areas like psychiatry where there
3 are established conversions across scales for
4 multiple diseases for a single disease, and is
5 it possible to convert from the NIHSS to the
6 modified Rankin to some degree or not, and if
7 not, or if there is, you know, is it a
8 validated measure, or validated conversion,
9 pardon me.

10 DR. SAVER: Sure. It is a case that
11 the modified Rankin can't reliably be scored in
12 the first minute or hour after onset because we
13 haven't had enough time to assess a patient's
14 functionality as opposed to deficit in
15 impairment. And the NIH stroke scale can be
16 mapped to the Rankin, and our group actually
17 did that but it is an imprecise mapping, and
18 what instead is generally the standard in the
19 field is to, it is recommended to compare the
20 treatment groups using an analysis adjusted for
21 the patient's baseline NIH Stroke Scale so it's
22 not unaddressed in the analysis, and that takes
23 into account without formal mapping but in much
24 the same way it takes into account their
25 baseline status versus their outcome.

1 And I will mention, one type of
2 endpoint analysis at the time that I didn't put
3 in the slide is a sliding dichotomy analysis,
4 where if the patients comes in, say with a mild
5 initial deficit, they count as a win if they
6 have a Rankin of zero to one at three months.
7 A moderate initial deficit, they count as a win
8 if they have a Rankin of zero to two at three
9 months. And a severe, they count as a win with
10 the Rankin zero to three after three months, so
11 that's another way of handling it.

12 DR. MILLER: Thank you.

13 DR. BACH: Thank you. I'm going to go
14 on to Dr. Brewington. And Dr. Miller, do you
15 have additional questions?

16 DR. MILLER: Not at this time.

17 DR. BACH: Okay, go ahead and put your
18 hand down please. Dr. Brewington?

19 DR. BREWINGTON: Yes. My question is
20 for Dr. Hanley and I'm apologizing for Lourdes
21 because I don't know your last name, I'm sorry,
22 I'm looking at it on the agenda, and several of
23 our other panelists, speakers. Several of you
24 have mentioned that there is a bias when you
25 look at the outcome measures for quality of

1 life and it's been acknowledged, yet I'm not
2 sure how we feel about it in the question. So
3 when it comes to socioeconomic factors and
4 diversity in the quality of life not being
5 addressed in those quality scores, if we're
6 going to use that as a measure for outcomes to
7 determine whether a patient should be treated or
8 not treated, have any of you addressed that in
9 what you're presenting? So in the MISTIE study
10 did you try to mitigate that? I don't, I
11 haven't heard anyone speak to the demographics
12 of the studies.

13 DR. HANLEY: Lourdes, do you want to
14 go, or do you want me to go?

15 DR. CARHUAPOMA: I'll let you go ahead
16 and go first.

17 DR. HANLEY: Sure. Patient-reported
18 outcomes overall correlate with functional
19 measures but they correlate with correlation
20 coefficients of .5 to .7 so there's unexplained
21 variance, and that's why Lourdes and Noeleen
22 and I think it's very important to ask the
23 patient, and the data you saw came from asking
24 the patients at 365 days. You can then if you
25 have that data answer the very important

1 questions you're asking, which is the
2 demographics groups, do older people behave
3 differently, do African Americans behave
4 differently than Caucasians or Hispanics, you
5 can ask all of those questions. We have not
6 seen major demographic differences in the four
7 major questions that I told you drive our
8 thought process, will I live, will I go home,
9 what will I be like at home, can I have all of
10 my functions back. There don't appear to be
11 major demographic differences there. One that
12 we have seen, not in MISTIE but in the CLEAR
13 trial, is that African American families put a
14 greater emphasis on continuous care and less
15 emphasis on withdrawal of care and in that
16 situation in a small subgroup, the likelihood
17 of achieving a modified Rankin zero to three
18 level was doubled in African Americans versus
19 those who withdrew care, that's the one that
20 we've seen. Remember, though, the MISTIE and
21 CLEAR trials each are 500 patients, 250 exposed
22 to an intervention so when you go to subgroups,
23 the data becomes thin, which is why we wanted
24 to present to CMS because you have a much
25 greater set of data and I think something

1 simple just like getting an EQ-VAS on the
2 patients might better answer your question.
3 Did I miss anything, Lourdes?

4 DR. CARHUAPOMA: No. Dr. Brewington,
5 did you have a question about the baseline data
6 in terms of quality of life, the pre-stroke
7 data?

8 DR. BREWINGTON: I did, but again, it
9 comes back into play, you know, if you're not
10 looking at the demographics when you measure
11 the baseline then that could be a variable
12 that's affecting the outcomes data. So all of
13 this comes into play and even with the
14 registries, if we're not looking at
15 socioeconomic factors and capturing that, which
16 I know some of the registries do not, our data
17 is going to be -- I mean, no data is perfect
18 but we should take that into consideration, and
19 I don't know if you did in your baseline data.

20 DR. CARHUAPOMA: So we actually did
21 not capture baseline EQ-5D data in MISTIE or
22 CLEAR, it was only captured at 30 days, 180 and
23 365.

24 One comment to that is that even if we
25 had baseline data, we're not really able to

1 capture how individuals reframe in the context
2 of stroke, and we framed their perspective on
3 life and what's inherently valuable to them as
4 individuals in a social setting, so that's one
5 thing that even if we had baseline data, there
6 would be no way to be able to capture how
7 people reframe in the context of stroke, and I
8 think that's of significant importance, and
9 when you talk to individuals with even severe
10 disabilities, that is always a topic that comes
11 up, is this innate ability to reframe your
12 value system, even with severe disabilities.

13 DR. BREWINGTON: All right. Thank you
14 both for your presentation.

15 DR. BACH: Thank you. Dr. Brewington,
16 you can put your hand down. I only ask for
17 that so I don't get confused. Dr. Kazerooni,
18 you had a question?

19 DR. KAZEROONI: My question's been
20 answered, thank you.

21 DR. BACH: Okay, Dr. Thomas, do you
22 have a question? I'm not sure, which hand is
23 this now?

24 DR. THOMAS: So the right, thank you.
25 The question is for Dr. Hanley. So looking at

1 the PROMs, which is also a part that's new,
2 evaluating the EQ-5D, it looks like there's
3 five measures evaluating mobility, self care,
4 usual activities, pain and anxiety/depression.
5 So I'd expect the precision to evaluate
6 disability to be hindered by the pain and the
7 anxiety/depression aspect. How do we handle
8 that, are there better ways to measure it that
9 are more precise to disability perhaps?

10 DR. HANLEY: It is an important
11 question and it needs to be answered and I'm
12 not sure that it is well answered yet, but
13 EQ-VAS, which is the simplest to administer and
14 can be administered in less than a minute,
15 integrates all the domains and asks the single
16 how is your quality of life question that can
17 be baselined against the normal population, and
18 if we had enough data could be baselined
19 against all of the various socioeconomic and
20 demographic information that we have. That's
21 why we think that the visual analog scale which
22 is continuous, a zero to 100 scale and it's
23 simple, it's easily administered by a
24 nonmedical person is the way to go, and we
25 think it handles the problem that you're

1 talking about.

2 DR. THOMAS: Thank you.

3 DR. BACH: Okay. Dr. Miller?

4 DR. MILLER: Thank you. I have
5 another question for Dr. --

6 DR. BACH: Brian, hold on a second.
7 Dr. Siddiqui, I wrote to you in the chat, if
8 you want to respond.

9 DR. SIDDIQUI: Yes. I just wanted to
10 finish the stroke side of the question that
11 Dr. Brewington asked, which is we actually have
12 done the data on the major stroke trials, in
13 fact multiple meta-analyses of all seven major
14 thrombectomy trials have looked at
15 demographics, and the two public papers that
16 I'm aware of, one looked at patients who were
17 over 80 years of age, so elderly, to see if
18 their results compared favorably with those
19 that were under 80. While there were
20 discrepancies between IVTP and mechanical
21 thrombectomy, there was no difference in
22 between so this was equally efficacious therapy
23 even for elderly populations.

24 The other population that was looked
25 at were women compared to men and there was no

1 difference in the benefit of the therapy for
2 acute ischemic stroke in either sex, and
3 comparatively they were both effective.

4 Now racial disparities, it's not been
5 specifically looked at in the U.S. populations
6 but know that the seven trials were done in
7 Australia, France, Netherlands, U.S., Canada,
8 and so this included large populations of all
9 demographics and the results were incredibly
10 similar between the different trials in terms
11 of the value of thrombectomy for mRS at 90
12 days.

13 DR. BACH: Thank you very much. Now
14 Dr. Miller, sorry about that.

15 DR. MILLER: That's all right, thank
16 you. Dr. Saver, another question for you. I
17 think you were looking at, I believe it's slide
18 16 through 18, where you talked about the
19 modified Rankin score and you had an excellent
20 table looking at the different ways of
21 assessing it, and you noted importantly that
22 inter-rater consistency varies depending on how
23 the metric is assessed. I imagine that for a
24 lot of them, a lot of these measures that that
25 is the case. Are there, do you think more

1 accurate or preferred ways of accuracy in
2 assessing this and other stroke measures in a
3 trial, for example, you could imagine that at a
4 90-day outcome that a patient goes to a clinic
5 and they're assessed by their neurologist or
6 whomever, but also a video is taken and that is
7 sent remotely to be reviewed later by a blinded
8 neurologist who doesn't know the patient or the
9 data to score them for example, so it's sort of
10 two questions.

11 DR. SAVER: Yeah. You know, in
12 clinical trials I think it is generally the
13 case that one of the formal methods of
14 assigning a Rankin grade is employed that is
15 known to have better inter-rater reliability
16 than the intuitive method. Often in clinical
17 practice they are intuitively assigned and that
18 introduces some noise but the clinical trial
19 data is stronger. The two approaches to
20 insuring, especially in device trials, that
21 unmasking doesn't lead to the rating of the
22 outcome, one has been to send videos of the
23 patients to a central scoring panel who have
24 had no other contact with the patient, and that
25 helps to give a uniform method of scoring

1 across all sites that's completely blinded.
2 However, that does have the drawback of the
3 raters having an impoverished amount of
4 information compared to a rater who has the
5 patient in front of them and has done the exam
6 themselves.

7 So, the other approach has been to
8 have an onsite blinded observer who's had no
9 prior contact with the patient do the rating in
10 person and that has worked well. It's been
11 shown that central audiotape readings are
12 imperfect and have not held up, central
13 videotape or blinded onsite assessments both
14 work well.

15 DR. MILLER: Thank you.

16 DR. BACH: I have Dr. Lahey next and
17 please, if I'm missing you, please chat with
18 me.

19 DR. LAHEY: Thank you. I have a
20 question for Dr. Hanley and your group. I
21 guess I'm asking a rather simplistic question,
22 being a cardiac surgeon we can't get too
23 complex, but I just want your opinion on what
24 you think, EQ-VAS, do you think that healthcare
25 consumers or patients are better served by

1 EQ-VAS being a primary outcome, or would it be
2 more appropriate to think of it as a secondary
3 outcome or part of a composite? I'm not saying
4 we minimize the importance of it but just in
5 your opinion, would you push very hard for it
6 to be a primary outcome, standalone as it is,
7 or adjunctive with other measures?

8 DR. HANLEY: I think it's of equal
9 value to the modified Rankin, it correlates
10 with it but it captures other dimensions as
11 several of the questioners have asked. It
12 would be, as you suggest, it could become a
13 composite as well.

14 DR. LAHEY: Okay.

15 DR. HANLEY: And I can say as a
16 patient, I would much rather have that than
17 have a healthcare professionally derived
18 utility value generalized to my situation to
19 measure the value.

20 Can I make one clarification to what
21 Jeff said? I agreed completely with how he
22 answered the question. Within the MISTIE and
23 CLEAR data where we use a blinded international
24 committee who didn't know the patient and a
25 scripted five to ten-minute modified Rankin,

1 this appeared to be more precise if you took
2 the committee's coherence than the Rankin as
3 obtained by a skilled physician or nurse
4 examiner who was trained in the Rankin, and
5 there's about a 30 percent scatter in the
6 onsite obtained Rankin with 15 percent rating
7 the patient higher by one Rankin level and 15
8 percent lower. There was, only two percent
9 were people off by two levels in the Rankin, so
10 that is one measure of accuracy.

11 DR. BACH: Thank you. I don't see any
12 other hands up. Dr. Lahey, I still see your
13 hand up, but I assume that's -- I do see,
14 Dr. Saver, do you want to make an additional
15 comment?

16 DR. SAVER: Yes, I'll follow up on
17 Dan's comment, and please know that Dan and I
18 are very collaborative and have the same
19 general sense, but we are proponents of
20 different ways of rating the Rankin for the
21 ultimate level, even though we like each other.
22 And a problem with the central interview method
23 is it converts the Rankin to a patient-reported
24 outcome because the raters are not examining
25 the patient, they're looking at the medical

1 record, and the comparison that Dan mentioned
2 was to, not to the best of the onsite measures,
3 and I think in another trial, in the RABASCA
4 trial, that there was equal or better
5 specificity and precision with the onsite, but
6 minor technical point.

7 DR. BACH: Thank you very much, very
8 helpful. Are there other questions for the
9 panelists? Dr. Waldren, do you have your hand
10 up?

11 DR. WALDREN: Yeah, thank you.
12 Dr. Saver, you had mentioned in your kind of
13 response in this Q&A talking about using the
14 NIH score to kind of, I don't know if this is
15 the right term, but more or less stratify
16 people based on the severity of the impairment
17 and then the outcome being different for the
18 different types of modified Rankin score, and
19 then we heard Dr. Hanley talk a little bit
20 about the EQ-5 being more granular and more
21 patient oriented than maybe the mRS. And then
22 lastly, sorry about all this sort of context
23 here, but lastly there was a conversation about
24 intracranial hemorrhage versus ischemic versus
25 embolic as being different.

1 So with all that context, one thing
2 I'm wondering about is would you think it makes
3 more sense to, if you could only stratify
4 patients for stroke, would you do something
5 like the NIH stratification or would you do it
6 by pathogenesis of the stroke as a way to say
7 like, that's the one way more likely to think
8 about how you would then figure out what
9 outcome measure goes with what category, if
10 that makes sense?

11 DR. SAVER: Sure. I do think this is
12 an important distinction on the front side of
13 stratification versus the back side on the
14 outcome, and on the front side one of the
15 stroke subtypes, subarachnoid hemorrhage, has a
16 very different clinical presentation than
17 ischemic stroke and the intracerebral
18 hemorrhage, much more present with diminished
19 consciousness, coma and a paucity of focal
20 deficits, whereas ischemic stroke and
21 intracerebral hemorrhage is more focal, ICH
22 somewhere in between the two. And so you can
23 say better initial severity instruments for use
24 in the subarachnoid hemorrhage are the Hunt and
25 Hess Scale that the World Federation of

1 Neurological Surgeons provides, and the NIH
2 Stroke Scale is not really appropriate for
3 them. The NIH Stroke Scale works pretty well
4 for intracerebral hemorrhage although that only
5 was found fairly recently, there was another
6 scale developed for intracerebral hemorrhage,
7 the ICH score and several others that are more
8 widespread in use. And so I think it is
9 important to make sure that the stratification
10 test is appropriate to the nature of the
11 disease.

12 For the outcome it's a little
13 different. You know, we're assessing, the
14 outcome is driven by what you're trying to
15 assess, is the patient back in the world, how
16 are they functioning, and it doesn't matter if
17 they have bleeding in the brain and they can't
18 work, or if they had a bland infarct in the
19 brain they can't work. It is important if they
20 have a minor motor deficit at day ten and
21 you're trying to improve that with a recovery
22 intervention that you want a fine motor skill,
23 but again, it doesn't matter if that happens
24 initially because of hemorrhage or ischemic
25 stroke, so I think the outcome measures should

1 be topic, should be focused on the domain
2 you're trying to measure.

3 And let me also mention one other item
4 that has been alluded to but not, a question
5 hasn't been put about it and that is -- or
6 partially put about it, that is the, that we
7 are not getting the Rankin at the 90 in
8 clinical practice and in clinical trials we do
9 try to get these patients down but in clinical
10 practice sometimes even with three calls the
11 patient was moved and it's hard to find the
12 patient, but the multiple imputation of a
13 90-day Rankin based on the patient's status at
14 discharge and other factors is pretty good at
15 predicting what the 90-day Rankin is, so a
16 90-day Rankin, missing this can be pretty well
17 handled with that, but Medicare with its
18 knowledge of whether patients went to skilled
19 nursing facilities or acute rehab, can do that
20 imputation even better.

21 DR. BACH: Thank you, Dr. Saver.
22 Dr. Hanley, do you have more to add?

23 DR. HANLEY: Yeah, just one. I think
24 it's a great question and as Jeff said, we
25 agree on almost everything. I think he

1 precisely described the difference between a
2 baseline and late, but I would answer the main
3 part of that question slightly different. You
4 should segregate by disease because the
5 treatments are different and the treatments
6 have different effectiveness, and I don't think
7 the current supportive care and investigative
8 treatments for ICH want to be evaluated in
9 terms of the benefit they do provide or not in
10 the same way that treatments for ischemic
11 stroke are evaluated, because the goals of the
12 patients and the families are often very
13 different.

14 DR. BACH: Thank you, Dr. Hanley.
15 Dr. Speir also has a question for Dr. Saver.
16 Dr. Saver, I think you get to charge more for
17 your per diem for this meeting at this point.

18 DR. SPEIR: If I'd known that I would
19 have been a lot more vocal. Dr. Saver, I
20 wonder if you could clarify please what you
21 said regarding the word domain because I was
22 trying to keep here, but it seems like with all
23 of the variations of the different outcome
24 measures and the fact that they are looking
25 both at time and at functionality in subsequent

1 outcome, it's cumbersome. Does it make any
2 sense to subdivide these into the etiology of
3 the pathology that's being measured? Because
4 the 30, 60, 90 days, 180 days for a modified
5 Rankin may be vastly different in a
6 subarachnoid hemorrhage and intracranial
7 hemorrhage or embolic stroke perhaps than it
8 may be for some of the other etiologies. But
9 it's hard to differentiate this, particularly
10 with prognosis, except including the etiology,
11 is it not?

12 DR. SAVER: For baseline
13 stratification the etiology is very important
14 to include, absolutely, and it is the case that
15 stroke severity is a driver of what parts of
16 the outcome scale is going to be informative.
17 If you have a severe hemorrhagic stroke you're
18 going to be at the lower Rankin scores, three,
19 four, five, six, and movements among them are
20 going to be very important. But if you have a
21 major ischemic stroke and have to have a
22 hemicraniectomy, that's also where your
23 endpoint is going to be, and the same if you
24 have a severe subarachnoid hemorrhage.

25 On the other hand, some intracerebral

1 hemorrhages are quite small and those patients
2 are going to end up at the, especially if we
3 are treating them early as in blood pressure
4 lowering trials, those patients are going to
5 end up more likely moderate to mild in the
6 Rankin Scale, just like the mild ischemic
7 stroke. So it's vitally important to include
8 etiology in the stratification and then also to
9 design your outcome measures around the
10 expected degree of disability and treat what's
11 appropriate for each population.

12 DR. SPEIR: Thank you.

13 DR. BACH: Dr. Miller, you have a
14 question as well and then we're going to, after
15 this we're going to wrap up this section of the
16 discussion. If anyone else has a question,
17 please text me or please chat with me.

18 DR. MILLER: Thank you. A quick
19 question for Dr. Saver just to try and see if
20 I'm bridging correctly between his and
21 Dr. Hanley's thinking. It seems like you're
22 saying splitting by etiology matters in that
23 the clinical condition is different, their
24 expected course is different, but if we're
25 going to measure a domain even across different

1 etiologies, we should have the same scale but
2 just expect a different performance for the
3 populations on that scale.

4 DR. SAVER: Yes, at a first pass I
5 think that is my perspective. You know, if we
6 have some very fine aspects having more
7 differentiation per etiology may matter, but
8 for the first general measure of how patients
9 are doing, the broad disability, global
10 disability and generic health-related quality
11 of life instruments are designed to measure all
12 of these sources and work well once you focus
13 in on where they can be informative for each
14 patient subset.

15 DR. MILLER: Thank you.

16 DR. BACH: Thank you. I think,
17 barring any other questions, I think we'll draw
18 this section to a close. At this point the
19 presenters will no longer, I believe you are
20 free to stay in the environment, of course, but
21 the rest of the discussion will be amongst the
22 panelists. We're going to discuss, we're going
23 to have a discussion about the questions. I'd
24 like to, it's not scheduled right now, but I
25 would like to propose a no more than

1 five-minute break. It is now 1:43 right now,
2 we're going to start again at 1:48.

3 (Recess.)

4 Okay, we're going to get started again
5 now please. Thank you, everyone, I hope
6 everyone appreciated having a moment.

7 We're now switching to the discussion
8 among the panelists, Joe Ross is going to help
9 me guide this discussion. The first thing,
10 just to bring the panelists back to the task at
11 hand, which is very much focused now around the
12 voting questions and the discussion that goes
13 in with it, I would like to propose that
14 everyone takes a moment, maybe two minutes here
15 just to read through the voting questions that
16 we will be expected to discuss to get
17 reoriented, and then we can have a discussion
18 around those questions and the topics that have
19 come up today.

20 DR. ROSS: Peter, this is Joe. If I
21 could make a suggestion, which is to start
22 actually with the agenda, the three paragraphs
23 above the voting questions, for the context in
24 which we're voting.

25 DR. BACH: Yes, that's great, Joe,

1 thank you.

2 Okay, with some context then, I would
3 like to open up the discussion regarding the
4 questions that are in front of everyone and the
5 context as Joe has pointed out, and so we can
6 voice, or so that you can all collectively
7 interact over your thinking regarding the
8 presentations from this morning and the other
9 materials. And the floor is open to panelists.

10 Dr. Lahey, you can just go ahead, you
11 can raise your hand and I can call on you, or
12 you can just speak up.

13 DR. LAHEY: Okay. I have a little
14 problem with question number 1.D and I wonder
15 if people could help me understand this. It's
16 referring to other kinds of stroke such as
17 ipsilateral stroke or morbid stroke. I'm not
18 sure I understand what you mean by morbid
19 stroke, it seems to me that every stroke is
20 morbid, and what are you trying to get at by
21 saying an ipsilateral stroke? Is this a second
22 stroke after the initial index stroke that
23 you're looking at?

24 DR. KAZEROONI: I have an additional
25 question that's related to that other kind of

1 stroke. Is this where hemorrhagic stroke comes
2 into play, or not?

3 DR. BACH: CMS, Dr. Chin, we can go
4 either way here, we can have the panelists seek
5 to define that collectively, or we can get
6 input from CMS if CMS has it. Your preference,
7 Joe.

8 DR. CHIN: I think at this point given
9 the discussion that we have been having over
10 today, it may be more helpful for the panel to
11 reinterpret that and whether it's an
12 appropriate distinction or not given the
13 presentations that we heard.

14 DR. BACH: Okay. Then the floor is
15 open, and this happens periodically, in fact
16 with some regularity during MEDCAC committee
17 meetings. The questions are written honed to
18 the questions that CMS anticipates are, you
19 know, that are properly stratified and are
20 relevant to their decision making, and then as
21 information comes in and presentations present
22 information, different categorizations, we
23 sometimes, we don't rewrite the questions, but
24 the discussion around them allows us to
25 interpret them and if you will, kind of

1 re-weight them.

2 So with that in mind, I think
3 Dr. Lahey, you started the ball rolling here.
4 Do you have more, do you essentially have
5 advice to the panel regarding how to interpret
6 or how the panel should collectively answer
7 these questions, or in this case that bullet D?

8 DR. LAHEY: No, I truly don't know
9 what that question means and I was asking for
10 somebody to help, I need some clarity on that.
11 So I have nothing to offer, other than help.

12 DR. ROSS: Peter, this is Joe Ross,
13 maybe I can jump in here. Because I think we
14 can all understand what a major disabling
15 stroke would be, I think what came up a lot
16 during the panel from the presenters and
17 speakers was whether we should be considering
18 different stroke types differently in terms of
19 outcomes. There was a little bit of discussion
20 of ischemic versus hemorrhagic, but more often
21 it was the lacunar versus the other types. And
22 so should we be thinking about the use of the
23 modified Rankin scale differently by stroke
24 types, that's how I think we might want to
25 reinterpret it. I'm a general internist so I

1 defer to you all who are more specialists in
2 this area, but I think that may be a way to
3 think about reinterpreting the question.

4 MS. XIUFEN: This is Ms. Xiufen. We
5 are looking to define the morbid stroke as a
6 stroke with a worsened mRS.

7 DR. ROSS: Right, that I think is what
8 we would consider a major disabling stroke, any
9 stroke with a worsening mRS. The question is,
10 should we be thinking about that measure
11 differently if it's a lacunar stroke versus an
12 ischemic or hemorrhagic stroke.

13 DR. MILLER: My answer would be yeah.
14 I mean if you think about it, a modified Rankin
15 is probably not a sensitive enough tool to
16 detect some of the deficits from a lacunar
17 stroke, nor would that have enough diagnostic
18 performance to measure between various patient
19 populations with lacunar strokes, so it's
20 probably not a great measure for that.

21 DR. SPEIR: This is Alan Speir. I
22 really appreciated that perspective because in
23 essence there were probably four of us who were
24 asking the same question that you just posed
25 and just phrased it differently, but I really

1 appreciated the presenters that were clear and
2 concise and laid it all out, but conversely,
3 they were pretty quick to shift back and forth
4 between the different etiologies as you just
5 said. I'm not going to waste everybody's time
6 in repeating what you said, but I was struck in
7 reading through the supportive literature the
8 plea to make the definitions more granular and
9 to clarify those better. And I think this is
10 an example of trying to extrapolate our answers
11 across each of these questions, because I was
12 interpreting everything people were saying in
13 preparation for answering the questions, but
14 yet the answers were differently viewed
15 dependent upon etiology, which is in essence
16 what I just hear you say, unless I
17 misinterpreted it.

18 DR. KAZEROONI: Well, involved with
19 that, are we saying that if we identify
20 subcategories of strokes that we will be rating
21 each of A, B and C against, for those specific
22 stroke types, because the way D is written
23 really doesn't even talk about how to rate the
24 outcome measures above, it just simply says
25 other. So Peter, maybe that is a point of

1 order, is it our intention to identify
2 subgroups like within the other and rate them
3 separately for these measures?

4 DR. BACH: So there's two
5 opportunities on the kind of point of order
6 kind of issue, there's two opportunities,
7 there's the vote and then there's the
8 discussion. CMS will consider those two things
9 in tandem, so I don't know, Ella, if this
10 solves the problem, but you can vote and then
11 you can, also if you recall, I will poll each
12 of you and when you explain your vote you can
13 also give clarification there, so there's two
14 opportunities to provide more granularity, at
15 least two. And this discussion is also being,
16 you know, is part of, is going into CMS's
17 thinking as well.

18 DR. SPEIR: Peter, given the charge to
19 review those three paragraphs before the
20 questions, the underlying indications for use
21 of the new technologies are going to be also
22 different and then trying to anticipate the
23 usefulness or what the indications for use are
24 going to be will be different in the embolic
25 large vessel versus the microvascular

1 thrombolytic type of approach, so that weighs
2 in as well, does it not? So we've got etiology
3 into the anticipated usefulness of these new
4 technologies.

5 DR. BACH: Yeah, there are, I don't
6 think, I mean throughout the morning, I don't
7 think there's any question that there are
8 several dimensions to be considered here, and
9 this is why I hope through a combination of
10 voting and discussion that it can be conveyed.

11 DR. LAHEY: Yes, I would -- I
12 generally always defer to my colleague
13 Dr. Speir, who is always right on the money, he
14 always is, I always follow his lead, and I
15 think he is touching on a very very important
16 and unavoidable topic, and that is the
17 different etiologies, and everything changes.
18 In our world what we think of is, for example
19 looking at mitral regurgitation, there's mitral
20 regurg and there's mitral regurg, and sometimes
21 when I'm at the RUC, at the update committee,
22 it's hard to convince people that there's
23 complete difference in mitral regurgitation,
24 there's quick grab mitral regurgitation or a
25 person with Barlow syndrome with a faulty

1 mitral valve, that's one thing and it's a
2 fairly straightforward case for us to do if you
3 have to do a mitral repair or replacement, but
4 then there is the ischemic mitral regurgitation
5 with somebody who has had multiple, multiple
6 infarcts and their ventricle has just dilated
7 to a complete bag, the mitral valve itself is
8 quite normal but it's stretched out. So it
9 really, I think when I'm thinking of
10 intracerebral hemorrhage versus ischemic
11 strokes, it seems to me that they are quite
12 analogous to talking about the two types of
13 mitral regurgitation where the treatment and
14 the prognosis is wildly different. Maybe I'm
15 being too simplistic.

16 DR. BACH: No, I think it's a useful
17 analogy.

18 DR. ROSS: Peter, this is Joe. I
19 would agree with that. I would just remind us
20 that we're trying to help CMS determine what
21 types of measures they should be looking for in
22 clinical trials or registry of data that's
23 going to help them make evidentiary and
24 coverage decisions. And so while obviously
25 there may be nuance depending on the etiology

1 or the stroke type, we're attempting to help
2 them make these types of decisions. We're not
3 designing a trial, we're just helping them
4 essentially justify whether or not an endpoint
5 should be included.

6 DR. CHIN: Right, I guess to give some
7 context to that is when, in some instances we
8 may actually not know some of the background in
9 terms of patients, and then if we were
10 presented with an outcome such as a major
11 disabling stroke or an ipsilateral stroke or
12 something that actually worsens with treatment,
13 how do you capture that and is that relevant.
14 So sometimes it's not necessarily what the
15 patient initially starts with, and it may be,
16 you know, getting to is it an adverse event or
17 a harm that occurs with the treatment that you
18 really can't characterize.

19 DR. SPEIR: Dr. Chin, as an expansion
20 of that, in the second paragraph that we were
21 rating, there's a little bit of a disconnect
22 and almost a plea that we're not looking at the
23 short and intermediate goals as was requested
24 by the FDA, rather the longer-term follow-up
25 results of such therapeutic interventions. And

1 so in our, and this goes back, Peter, to what
2 you were alluding to, does it not make some
3 sense in our discussions that we have
4 clarification on the length of followup, is
5 that appropriate?

6 DR. CHIN: I think length of followup
7 is an important consideration and we welcome
8 your input onto that factor. I think -- has it
9 been captured in the other questions? Because
10 I mean, that is an important consideration as
11 to really when we do the measure, and I think
12 during some of the presentations this morning
13 there was some reservation as to at what
14 timeframes.

15 DR. BACH: Let me just throw in that
16 the discussion around some of the metrics does
17 include length of followup as one of the
18 dimensions that's to be discussed.

19 DR. KAZEROONI: So I was just going to
20 say, I was a little confused by some of the
21 discussion about timing of outcome measures,
22 measurements, because it's not a specific
23 rating question that we are ranking on.

24 And my other point of confusion, and
25 even just looking back at it again now, I don't

1 see time in any of the specific questions for
2 the recommendations, but certainly it's
3 important to discuss.

4 In the presentation earlier this
5 morning that looked at the outcomes and motor
6 dysfunction, I think it was Dr. Saver,
7 presented a paper where he drew a line on the
8 graph and said, you know, we're trying to focus
9 on the three-month outcomes. But there is
10 definitely a subcategory of patients that
11 longer-term outcomes showed recovery closer to
12 those other first outcome recovery groups. So
13 my question is to try and ask, is to understand
14 better that particular group and is there, are
15 there features of that group that require
16 longer-term outcome assessments, because that
17 benefit that we're seeing, that outcome
18 improvement would not be captured at the
19 three-month mark. I'm going back to the paper,
20 I pulled that paper actually out and read it
21 over the break.

22 DR. WALDREN: I saw that same thing,
23 but then I also heard when they were talking
24 about the registries and you know, this gets
25 into kind of my area, that the longer you go

1 out the more difficult it is to get a good data
2 set for that too. So for me it almost seemed
3 like, you know, 90, 180 and 365 were kind of
4 just going to have to be the ones that we
5 capture, because there's the large change up in
6 the first 90 days, but then you have to capture
7 later but you may not be able to.

8 And I missed, Dr. Saver mentioned too,
9 there was some proxy measure for that
10 functional status at 90 days that was shorter
11 too, but I missed that.

12 DR. SPEIR: The only thing,
13 Dr. Waldren, it looked like there was about a
14 30 percent drop-off on the data that they were
15 tracking, and let's not miss all the different
16 studies, there was relevant lost information,
17 unless I missed it.

18 DR. MILLER: The other thing I wanted
19 to point out as Dr. Saver noted, which
20 Dr. Hanley I think talked about more
21 extensively, is that for intracranial
22 hemorrhage that a year, six months or a year is
23 more relevant. So it sounds like for some
24 subtypes, 90 days captures most but not all
25 patients, whereas for other types you

1 absolutely have to go at the 180-day or 365-day
2 mark.

3 DR. CHIN: A suggestion to actually
4 incorporate the timing of measurements, in
5 question one as we talked about the outcomes
6 themselves, if during the discussion you have
7 identified what you believe would be the most
8 important particular timeframes to capture, and
9 incorporating it specifically in question one.

10 DR. MILLER: I think at least me, it
11 was relatively clear that for intracerebral
12 hemorrhage you have to go out as far as a year.
13 I think it's probably similar for subarachnoid
14 hemorrhage. It sounds like our debate is about
15 embolic and thrombotic strokes, and also noting
16 lacunar strokes as a specific subpopulation.

17 DR. WALDREN: I have the same
18 thoughts. Dr. Siddiqui, though, also talked
19 about clipping versus the coil and that the
20 outcomes were very similar at five years, but
21 in the shorter period of time there was
22 differences between the two too, so as we think
23 about registries and stuff, do we need to think
24 about a longer term? I don't think it's
25 primary, but would that be a secondary type of

1 outcome that we'd want to consider?

2 DR. BREWINGTON: I thought he actually
3 said that as you went further out with the
4 clipping versus the less invasive that they
5 ended up being more similar as you got further
6 out, right, or is that what you were saying?

7 DR. WALDREN: Yeah, that's what I
8 would say, so I think again, if you think about
9 coverage and you know, if you looked at shorter
10 you may say okay, I want to cover the one that
11 has the better outcome in that shorter period
12 of time because we didn't look at the five-year
13 outcome, but if there were significant costs
14 and other considerations you may decide that
15 well, you know, I do want to cover clipping
16 more than I want to cover the other because of
17 that longer term. I don't know if that example
18 is a great example clinically, but that's what
19 I was thinking.

20 DR. MILLER: I think what you're
21 saying is if they clip it and it doesn't hold,
22 you find it doesn't hold after two years
23 whereas coiling did -- I mean this is not the
24 case, but say it did, that that would be
25 meaningful to the Medicare population, because

1 that's a catastrophic event.

2 DR. BREWINGTON: Well, I think they
3 actually went into that, because they said
4 coiling you have a higher risk of rebleed and
5 so you could have a worse outcome, but with
6 clipping they didn't see that outcome, that's
7 what I thought I heard.

8 DR. CINQUEGRANI: I think you're
9 right, I think that clipping requires a, more
10 likely requires a separate procedure.

11 DR. MILLER: So it sounds like we're
12 talking about a multiyear outcome for that
13 specific population, it may be an initial
14 one-year outcome and then a secondary outcome
15 like Dr. Waldren said with multiple years out.

16 DR. THOMAS: Joe, before we go too
17 far, though, you know, we start getting into
18 competitive cause of death and regression to
19 the mean, it's kind of like over a period of
20 time we lose that therapeutic look. And also
21 particularly in registries and even in clinical
22 trials, a loss to followup can be a big deal
23 and if it's a death loss to followup, that can
24 skew the data one way or another.

25 DR. KAZEROONI: One of these things

1 could be a call for administrative data, so
2 these procedures are things that should be
3 captured in other ways.

4 DR. MILLER: Yeah, specifically
5 thinking about clipping versus coiling, I was
6 thinking about a re-procedure,
7 rehospitalization for a rebleed, so many people
8 have comorbidities and that could be caused by
9 so many other things.

10 DR. LAHEY: Yeah. Isn't one other
11 issue that with clipping you're talking about
12 craniotomy, whereas in coiling it's an
13 intravascular procedure and that's a whole
14 other level of complexity, and how the patient
15 is going to feel or do well or whatever,
16 because they've had a major procedure.

17 DR. TYAGI: Yeah, I think those
18 observations of clipping and coiling are very
19 common to what I see as a vascular surgeon
20 doing these kind of procedures. One thing I
21 would say is we followed aneurysm patients and
22 I wrote a paper on this several years ago just
23 looking at long-term surveillance and followup
24 and maybe patients with stroke may be a
25 different population, but I think there would

1 be some overlap with patients with
2 cardiovascular disease, and the three-year
3 compliance with surveillance and followup was
4 pretty poor. So whenever we talk or look at
5 following patients, I would say beyond one
6 year, the true capture rate of that I think
7 would be poor.

8 DR. ROSS: This is Joe Ross again, I
9 just want to in terms of the steering, you
10 know, obviously this conversation we're having
11 has a lot of relevance to the question two that
12 CMS has posed to us around the best use of
13 administrative data. They've asked us to
14 consider unscheduled readmissions but from the
15 conversation I can already hear sort of more
16 direction towards that, towards, you know,
17 re-procedures of sorts, so it's just for us to
18 be thinking in terms of the comments we are
19 providing to CMS as they're requesting.

20 DR. STEPHENS: Yeah, I actually had
21 some comments about that. You know, one of the
22 things that always makes me hesitate when it
23 comes to length of stay or readmissions, that I
24 think there are so many other intervening
25 factors. I also think that there are so many

1 incentives related to payment policies that
2 we're not going to discuss here, and so it
3 makes it challenging. But one of the things
4 that I heard in the presentation today that
5 gave me pause was the idea that including those
6 items, it really focuses more on the system of
7 health care overall versus the actual medical
8 procedure and I actually, I don't know, I found
9 that kind of surprising because I don't know
10 how you can separate your clinical outcomes
11 from the system within, you know, the system
12 that they received the care at. And so I think
13 that the two are always linked and I don't know
14 how you get to equity ever if you don't
15 consider, you know, who and where you're doing
16 these procedures, so I'm kind of at a loss on
17 this one, because initially my thought was
18 there's so many other things that could
19 influence those numbers, but in hearing them it
20 really caused me some concern to think, well,
21 we want to just evaluate this in a complete
22 vacuum. I mean, I get clean data but people
23 don't have clean outcomes, so that if you
24 really want to understand what the outcome is
25 for a person you have to look at things within

1 the context.

2 DR. BREWINGTON: So let me ask a
3 question of the panel. Your question might be
4 answered in the fact that the centers that are
5 performing these should be certified stroke
6 centers, and by virtue of that they should have
7 met certain qualifications and be capable of
8 performing at a certain level that's audited
9 with frequency, so hopefully that normalizes
10 somewhat some of those factors with geography.

11 DR. MILLER: A couple thoughts. I
12 will say that a lot of the certification
13 designations, not specifically stroke per se,
14 but some of them are maybe not as rigorous as
15 we always think they are, so I'm a little
16 hesitant to use that as a gauging mechanism.

17 In terms of length of stay, I think
18 that's probably less relevant because I mean,
19 it's just, it's harder to measure, it's harder
20 to replicate, and then in the real world there
21 are all kinds of things that can drive length
22 of stay that are unrelated as multiple of our
23 colleagues have pointed out, totally unrelated
24 to the technology intervening on the disease.
25 Rehospitalization specifically for

1 cerebrovascular disease probably is relevant
2 because that suggest potentially a failure or
3 flaw in the initial therapy or something else,
4 but more likely related to the initial therapy.
5 And then discharge disposition, I mean, I know
6 we haven't discussed that but just to bring it
7 up, I imagine that that is very high on
8 everyone's radar, whether someone is going
9 home, home with services, going to a SNIF or
10 going to an inpatient rehab facility.

11 DR. LAHEY: I agree, I think that
12 discharge disposition is a surrogate for the
13 really important stuff, and you can get an idea
14 if this patient is going to do well or not.
15 The patient that goes to a SNIF in any
16 discipline, you know that those people are very
17 very sick and they're not, they're totally
18 different from the patient going home.

19 I would say as far as length of stay
20 and readmissions, there are so many confounders
21 that it almost is, I won't say it's worthless,
22 but it seems to me that with all the pressures
23 that clinicians are under nowadays, a lot of
24 external pressures, there's a lot of incentives
25 for not readmitting patients when they should

1 be readmitted, there's instances where patients
2 have, well, they're readmitted inappropriately,
3 length of stay same thing, get the patient out,
4 get the patient out, or oh no, it's Friday,
5 keep the patient until Monday and then send the
6 patient out. And the other thing of course is,
7 I don't know how good they are at censoring out
8 deaths for length of stay data, but all this
9 stuff, many of you know this already but we
10 have volumes of papers in the STS database
11 addressing each one of these particular issues
12 and all the confounders.

13 DR. ROSS: So can I pick up on that
14 comment that Stephen just made, because I want
15 to say specifically in the language from CMS
16 says around standalone measures, and I want to
17 just raise for the group, if we're talking
18 about discharge disposition as being a key
19 outcome for patients who have undergone
20 treatments with these technologies, whatever
21 the technologies may be, is it sufficient as a
22 standalone without the context of who actually
23 survives to discharge?

24 DR. MILLER: I don't particularly view
25 those as standalone measures, I view them as

1 partner or secondary measures.

2 DR. LAHEY: Yeah.

3 DR. MILLER: And then also adding on
4 the readmissions, you can also avoid
5 readmissions by having them classified as an
6 observation stay when they come back. So there
7 are lots of games that make that metric
8 challenging.

9 DR. TYAGI: I would make two points
10 kind of from my anecdotal experience. I would
11 agree on the readmissions being not a very
12 clear outcome point. I mean, there's so many
13 patients I see that, you know, had a stroke two
14 months ago, two weeks ago, not two weeks ago,
15 two years ago, that sort of thing, that doesn't
16 play into the fact of what I'm doing. I mean,
17 if 20, 25 percent of ischemic thrombotic
18 strokes are from carotid disease, they
19 inherently have coronary artery disease and may
20 be having work done for that, or peripheral
21 vascular disease. And let's say I do an
22 operation, the patient had a stroke three
23 months ago and now they have gangrene and I do
24 an operation and they're admitted for a wound
25 infection that I caused, you know, how did that

1 affect the outcome for their initial stroke
2 treatment because that was six months later.
3 You know, I think maybe if there was a length
4 of time, readmission is a stroke-related
5 readmission versus not that might have some
6 value, but I think in general it doesn't.

7 And another thing I would also make a
8 point of is if we are really going to focus on
9 disposition status of the patient on discharge
10 and if that becomes an important metric, what
11 does that do to help people, you know, what
12 drives clinical care, you know, like there's
13 going to be a drive, you know, maybe to push
14 somebody home that maybe could require a SNIF,
15 you know, that could be just biased by
16 outcomes, you know, as opposed to what is best
17 for the patient, you know, so that's another
18 thing I just want to throw out there, you know,
19 like the patient who gets a transplant and
20 stays in the ICU for 30 days, you know, when
21 they should have had a goal of care discussion
22 three weeks prior, you know what I mean.

23 DR. STEPHENS: I was just going to
24 say, that's what I was thinking of, I
25 understand there's these perversions of the

1 system that are built within the system that
2 really significantly alter these factors.

3 DR. BREWINGTON: I agree with that, I
4 think that, I agree wholeheartedly with that.
5 So going back to the question, the question is
6 do we see it as a meaningful primary health
7 outcome, so it sounds like the group consensus
8 is that, you know, these with high variability
9 dependent on other factors are really more
10 secondary at most, so I think we all agree on
11 that.

12 DR. THOMAS: I would agree, this is
13 Greg, and I'm concerned also that CMS kind of
14 suggested earlier, STS status determining
15 whether someone is going home or to an
16 inpatient facility, we already have the
17 challenge with some of our safety net hospitals
18 being penalized for the quality of care that
19 may be related to other factors, and I wouldn't
20 want to see this here as we look at the
21 science.

22 DR. SPEIR: I think the only caveat to
23 that is the term inpatient facility because of
24 the differentiation, particularly mortality,
25 around a SNIF versus a rehab facility, because

1 we know at least that the mortality is much
2 higher in the SNIFs, they could skew some of
3 these follow-up results as opposed to going to
4 rehab, which I think Dr. Brewington, you
5 alluded to, and I think your point that I still
6 haven't gotten away from is the sophistication
7 of, you used the stroke centers, and I think
8 that that, is that an unrealistic expectation
9 with a lot of this technology, that it's not
10 going to be, the differentiation between stroke
11 centers I would assume, while we wish it was
12 not going to be the case, is going to be much
13 broader across many centers, and the only thing
14 in my experience that I've seen in this
15 limitation was in our transcatheter valves
16 where they had a much more rigorous restriction
17 on the rollout of that technology that had to
18 do with volume training and number of
19 facilities down to about 40 across the country.
20 In the technologies that we're anticipating, is
21 it going to be that strident? I'm not sure
22 that that doesn't fall into what Allison was
23 saying before, it would be more influenced by
24 the real world than the limitation. I didn't
25 say that very well, but you'll get what I'm

1 saying.

2 DR. ROSS: This is Joe Ross and I
3 don't mean to divert the conversation. I do
4 just want to make sure, CMS is a large
5 organization, we are not speaking up to the
6 group that's in charge of quality and payment
7 to hospitals, we're speaking to the coverage
8 and evidence group, and we're talking about the
9 measures that they can use to understand the
10 safety and effectiveness of the technology,
11 right, and how well they work and whether to
12 provide coverage for them. I just want to make
13 sure that we're focused on that, not what's
14 sort of fair or appropriate. I heard somebody
15 bring up the readmission measures that CMS uses
16 around hospital payment and quality
17 measurements. This is very distinct from that,
18 this is whether specific types of readmissions
19 may be a measure of the technologies' safety or
20 the technologies' benefit, not of the hospitals
21 providing the care.

22 DR. KAZEROONI: So Dr. Ross, I just
23 want to ask you for a clarification of what you
24 just said. So are we trying to evaluate the
25 technologies in their purest sense, in which

1 case you want to get rid of all these things
2 that could be providing variables toward
3 outcomes, are we trying to evaluate these
4 technologies when administered in clinical
5 care? So are we trying to evaluate them for
6 the purpose of an ideal research trial, are we
7 trying to evaluate them for the purpose of a
8 clinical trial in real world practice which
9 cops with all these variabilities that people
10 have been talking about?

11 DR. ROSS: That's a great question. I
12 don't know how CMS would answer that and I
13 don't know if Joe Chin wants to jump in. I
14 would guess that they are making decisions on
15 what type of evidence they want to see
16 collected either as part of a coverage decision
17 or after deciding to cover the product and
18 looking for secondary, so all of these
19 surrounding things matter, but it's a little
20 bit of a knock-knock thing.

21 DR. BACH: Joe, I'm going to dive in
22 on that one.

23 DR. ROSS: Please, save me.

24 DR. BACH: Well no, I don't know if I
25 can. I'm going to first of all postulate that

1 CMS won't answer that question as precisely as
2 you've asked it, so I'm going to take a shot
3 here.

4 The general approach to coverage
5 focuses more, I think what most of us would
6 traditionally refer to as effectiveness rather
7 than efficacy, and I think the distinction
8 you're making, Ella, is that exact one. So
9 this is a question of kind of what will, if
10 covering this item or service for Medicare
11 beneficiaries will improve their health, their
12 health outcomes or net outcomes, whatever you
13 want, so it is, all the real world elements
14 need to be incorporated.

15 We've had a number of questions about
16 variability by age, by sex, by race or
17 ethnicity. I think all those things are real
18 world contemplations for the Agency. The other
19 dimension of this which has come up a number of
20 times, a number of the panelists raised these
21 kinds of general points, it is not outside the
22 Agency's purview to limit the scope of the
23 delivery of services, just like they did in CT
24 screening for lung cancer for example, and so
25 those are dimensions where if there are, if

1 there's evidence of important variability by,
2 you know, site of care, type of provider,
3 experience, whatever it is, those are all
4 things that they would like to hear from the
5 panel regarding. And so that was a very long
6 answer, I know, but I hope it was useful.

7 DR. MILLER: I may -- go ahead.

8 DR. BREWINGTON: I go back to the
9 questions the way that they are posed. You
10 know, the questions are asking about primary
11 outcomes and then what we've been able to agree
12 on is a lot of these ones with variables should
13 be put into a bucket of secondaries, and I
14 think if we keep going down that pathway it
15 will guide us into what we think is more
16 subjective and what's objective, with the
17 objective being those things that have a scale,
18 so going back to the Rankin score as being more
19 objective measures, and I think that might help
20 us as we go through these questions if we think
21 of it that way.

22 DR. TYAGI: I'd like to if I can share
23 with you guys kind of an analogy from the
24 vascular world where I come from, just to give
25 an example. So for peripheral artery stenting,

1 essentially every industry person that comes up
2 with a stent to place in a superficial femoral
3 artery, their outcome is they'll put on a
4 poster that will be in a magazine or whatever,
5 will be target lesion revascularization, TLR,
6 which means did this stent fix the lesion.
7 That is not a clinical outcome that any of us
8 use really. We want to know, is the life saved
9 or what is, you know, the limb salvage rate,
10 you know. And so that has been, and these
11 studies are one and two-year studies for
12 patients who have, you know, five to ten years.
13 So the entire industry is every company has put
14 out stents and their main outcome measures
15 they'll put TLR, and you have to dig into the
16 papers to find out what is the primary Phase
17 II, secondary Phase III, or the limb salvage
18 rate, and you look at the heterogeneity of the
19 population. So I think having a real
20 functional outcome be an emphasis is really
21 important, and I've seen that go and you know,
22 we've seen millions of dollars going in the
23 wrong direction without I think a true outcome
24 measure. So I think really, thanks for putting
25 us back on the question, and I think having a

1 measurable outcome measure is what matters the
2 most. I don't know what that outcome measure
3 is, though.

4 DR. MILLER: I was going to say, my
5 general comment is I think about these
6 questions in terms of mapping efficacy in a
7 trial onto real world effectiveness and this is
8 for the Medicare population, this is CMS asking
9 us how to look at what comes from FDA trial
10 data and interpret it in a clinically
11 meaningful context for Medicare beneficiaries
12 to help them be, you know, meet their goals be
13 it, you know, preventing additional diagnostic
14 testing, improving functional status, extending
15 lives, arresting decline and those sorts of
16 general framing.

17 DR. KAZEROONI: So in that sense, I
18 can (inaudible, multiple speakers) they're all
19 measurable, they're objective. I think what
20 we're discussing is whether they're primary or
21 secondary and how important they are, are they
22 when it comes directly to evaluating the
23 outcomes related to a specific intervention.

24 DR. MILER: Right, and the question
25 specifically as we've mentioned, if framed as

1 primary, and we all think that they should be
2 secondary, there's three that we've listed.

3 DR. STEPHENS: One thing I wanted to
4 just clarify is there needs to be, and I know
5 historically there's been a focus on disability
6 related to physical health and I guess if
7 that's the historical, you know,
8 interpretation, I don't know if that's the only
9 interpretation that we should be looking at,
10 and so I know there was some questions during
11 the presentations about like okay, well that's
12 just a depression/anxiety. When I think about
13 functioning and disability, that would include
14 both, and I guess I wonder if we're talking
15 about CMS, are they using the federal
16 definition of disability which would include
17 both, you know, from SSA or ADA, and how do we
18 integrate that to the conversation, do we
19 really generally know at that time that's
20 relevant? I would think it is considering it's
21 your brain, but their mental health might be
22 impacted in some way, right?

23 DR. BACH: I'll weigh in on that one.
24 CMS is not in the context of measuring a health
25 outcome using a categorical definition of

1 disability, it has to do with eligibility
2 requirements which, I think that's your
3 question, Dr. Stephens.

4 DR. SPEIR: I think Ella did give a
5 pretty good direction and then with, Dr. Bach,
6 your answer, in terms of we spent all this time
7 looking at the subcategories of stroke and
8 etiology but none of that matters, it's a
9 matter of how do we perceive the technology in
10 its purest form and what could we perceive,
11 again forgetting all of those things that just
12 cloud our judgment as providers on a day-to-day
13 basis, and try to just stick to the question in
14 its purest form, which I think is an
15 unrealistic ask, at least for me to be honest,
16 because I'm so influenced by what I see and how
17 I'm trying to respond. Dr. Ross, you're sort
18 of, you know, think without using your brain
19 for a minute, you know, and just answer the
20 question. So it's, the directive is pretty
21 challenging to honest, I'm trying to stay on
22 course here, but we can't help but try to give
23 you back our best guess as to what is going to
24 be beneficial.

25 DR. BACH: I can't understate the

1 value of the discussion throughout the day,
2 including the presentations, the questions of
3 presenters and even the discussion that you
4 will be free to engage in in the context of a
5 vote or after each question. So I get,
6 Dr. Speir, I don't think anyone promised you
7 this would be easy, but I certainly get that
8 the challenges are considerable. So flesh out
9 your answers and we're just going to try and
10 provide some useful information to CAG.

11 DR. MILLER: Maybe in that vein we
12 should move to the discussion of the third
13 question and then the fourth too, looking at
14 our time?

15 DR. ROSS: I think that's a great
16 idea. Joe Chin, you had your hand up. Did you
17 want to clarify something before we move on?

18 DR. CHIN: Yes, I wanted to add a
19 comment that hopefully may be helpful to some
20 of the discussion that we have just been
21 having. I think perhaps taking the view of an
22 item, device or technology if it was a little
23 earlier in the developmental cycle, you know,
24 would be one way to pose it. I think many of
25 these types of interventions, devices are new

1 technologies, so you know, while I think many
2 of the questions about how they actually work
3 is a little bit, in actual practice outside of
4 clinical trials are extremely relevant to what
5 we would actually consider, sometimes we don't
6 even get to that point in our considerations.
7 The question is if you were developing a device
8 and designing a trial, you know, what would be
9 the outcomes that would be important in that
10 context, which is, I think shifts your thought
11 process, I mean, it shifts the way I think
12 about it a little bit differently to what, you
13 know, perhaps more of an initial question about
14 benefits of the device itself or the
15 intervention itself.

16 DR. ROSS: I was just going to say, I
17 think that actually sets up well discussing
18 questions three and four around functional
19 assessment and quality of life, the discussion
20 of EQ-5D, mRS and the NIH Stroke Scale as
21 functional measures. But I'm sorry, Michael,
22 you were going to say something?

23 DR. CINQUEGRANI: I was going to say
24 that, you know, questions, you know, if we're
25 talking about new device development, those are

1 really issues that are solved by the FDA
2 approval process, are they not? And so I have
3 a little bit of difficulty reconciling the
4 questions we're posing here as it relates to a
5 device that might be approved by the FDA
6 through the usual mechanisms of clinical trials
7 that are vetted, that are approved under the
8 auspices of the FDA for their execution, and
9 then the presentation to the FDA and subsequent
10 approval by FDA, the question then is how CMS
11 uses that data I suppose for payment purposes.
12 And I know that's not the direction here, but
13 it's a little hard for me to understand the
14 answers to these questions in the context of
15 approval processes that are under the auspices
16 of the FDA.

17 DR. CHIN: You mentioned, I think you
18 actually highlighted a distinction there, so I
19 think perhaps the example that Dr. Siddiqui
20 mentioned earlier might be helpful in that
21 context where we look at the, and we don't have
22 a coverage decision on these devices, but as an
23 example the drug eluting percutaneous stent,
24 how they were actually approved with sort of a
25 functional or an outcome that looked at

1 patients that did nothing versus, you know,
2 something that, in the questionnaire that gets
3 back to really outcomes that would be important
4 for, health outcomes that would be important
5 for the Medicare population and in that
6 context, and I think typically when we approach
7 interventions, I think more of an outcome in
8 our thinking would be amputations, mortality,
9 or in that context. So I think there is a
10 distinction that you've highlighted and I've
11 tried to, I guess tried to use that example as
12 something that may help in discussing the
13 answers and how we actually might consider what
14 a health outcome is.

15 DR. MILLER: If I may, the way I look
16 at it is FDA clearance or approval of a device
17 is based upon standards FDA sets for safety and
18 efficacy for market entry. Our specific
19 question is what is useful for the Medicare
20 population and what's most effective in the
21 Medicare population, which could help
22 potentially by informing CMS about that, that
23 could also inform device manufacturers as they
24 design trials for FDA approval and clearance,
25 so that way a trial could be designed to meet

1 FDA standards and also potentially meet what
2 CMS is looking for, rather than getting a
3 device approved and cleared and then having the
4 Medicare program say oh, these are very
5 different things that we're looking for, sorry.
6 So the idea is to make this distinction clearer
7 for device manufacturers in this particular
8 space, at least that's how I see it.

9 DR. CINQUEGRANI: The question you
10 raise --

11 DR. SPEIR: There are different
12 processes but in order to clear the FDA there
13 had to have been both clear outcome measures
14 that do show safety and efficacy that were,
15 those hurdles were already cleared. This isn't
16 a peripheral stent or a coronary stent, so how
17 many of the measures that we're looking at that
18 answer these questions may have been already
19 used and looked at through the FDA process that
20 rather than reinventing the wheel, we're
21 raising something that is perhaps conflicting
22 that we're going to be measuring it
23 differently, does that not have a role here
24 that we could use in our decision?

25 DR. MILLER: Go back to the prompt

1 before the questions where it talks about
2 devices specifically through the
3 investigational device introduction pathway,
4 which is a shorter pathway to market.

5 DR. CHIN: I guess I would suggest in
6 general that we don't specifically address the
7 safety and effectiveness, which is really the
8 FDA, and focus onto what actually you believe
9 would be important for the Medicare population
10 in terms of the outcomes that we are typically
11 looking at.

12 DR. KAZEROONI: It sounds like, Joe,
13 from your comments and others, it's a step
14 towards effectiveness from FDA efficacy that we
15 may be looking for here?

16 DR. CHIN: Yeah, I think so, and I
17 think there could be synergies and actually
18 ideally there would be synergies there with
19 outcomes. I would like to take the FDA factor
20 out of the question as much as possible.

21 DR. MILLER: So I guess maybe onto
22 question three where we're looking at the
23 modified Rankin Scale and the NIH Stroke Scale,
24 it sounds like from our guest speakers that the
25 NIH Stroke Scale might not be a great measure

1 because it doesn't measure disability and
2 that's more of an immediate measure?

3 DR. BACH: Brian, you're raising that
4 for discussion instead of an assertion?

5 DR. MILLER: Yeah, there was a
6 question mark at the end of that sentence.

7 DR. BACH: Right, I added it.

8 DR. BREWINGTON: There were a couple
9 conflicting statements when the presenters were
10 talking about the modified Rankin Scale and the
11 NIH. I wrote in my notes and then I drew
12 arrows because they were in conflict. On the
13 NIH Stroke Scale there was a statement that it
14 was widely accepted as a measure of preventing
15 severity, and then when they talked about the
16 modified Rankin Scale they said it was the most
17 common used in acute stroke, but then there was
18 a statement that it can't be used immediately
19 in acute stroke. So can someone reconcile
20 those statements for me?

21 DR. CINQUEGRANI: I went back over
22 Dr. Saver's slides during our break and what I
23 gleaned from it was that the modified Rankin
24 Scale was really applicable about, in the first
25 seven days, not day one or day two perhaps, but

1 you know, during the course of the initial
2 evaluation and treatment of somebody with
3 stroke you do a modified Rankin as an
4 assessment and then it would be applicable
5 again at a later time, say 90 days later where
6 you would measure the difference or change, the
7 improvement or worsening over time. That's
8 what I gleaned from it.

9 And that the NIH Stroke Scale, you
10 know, is really something that is of short-term
11 evaluation at the time of presentation in terms
12 of assessing the severity of the acute
13 presentation, and measuring in short term the
14 effectiveness of a therapy like thrombectomy on
15 an ischemic stroke patient, you could measure
16 improvement within a day or so based on that
17 intervention, and that's where the NIH Stroke
18 Scale would be very useful.

19 DR. LAHEY: Is this a competition? I
20 mean, which one's better, modified Rankin or
21 NIH Stroke Scale? It's not a competition, I
22 like both of them, I like both of them a lot.

23 DR. CINQUEGRANI: I think they're
24 looking at the same problem in two different
25 ways.

1 DR. LAHEY: Right. I like both of
2 them.

3 DR. MILLER: Well, the NIH Stroke
4 Scale is measuring loss of function whereas
5 Rankin is measuring disability, which is why I
6 think the modified Rankin Scale is useful for
7 like a 90 or a 180-day outcome compared to a,
8 say discharge from hospital measure or close to
9 discharge from hospital, whereas the NIH Stroke
10 Scale is determining severity when you have a
11 stroke, like I think this patient has a stroke,
12 call a stroke code in the hospital, the
13 neurology attending or resident shows up and
14 scores the patient and then drags him off to
15 the CT scanner or whatever, so it's a different
16 use.

17 DR. LAHEY: They're different but
18 neither one -- I mean, they both have enormous
19 value at different time points during the
20 course of the patient's illness.

21 DR. BACH: I don't think you're being
22 asked to choose between them, I think you can
23 rate each of them independently and give much
24 of the context that is coming up in this
25 discussion when we do the actual voting.

1 DR. LAHEY: I planned on doing that
2 independently, but I just thought that we were
3 getting into a discussion of which one is of
4 more value and I don't see that at all. I
5 mean, I thought it was interesting that one of
6 the presenters said as far as the NIH Stroke
7 Scale that there was some problems with, you
8 could have a NIH Stroke Scale of four but be
9 completely aphasic, and that kind of shook me a
10 little bit, but with the exception of those
11 individual oddities, by in large I think
12 they're both very very useful for different
13 reasons.

14 DR. MILLER: Right, one is short term
15 and one is longer term.

16 DR. LAHEY: Yes.

17 DR. THOMAS: I think the trialists in
18 terms of evaluating the efficacy of what
19 they're studying is pretty uniform, in that
20 they think that the more sensitive measure is
21 the Rankin Scale rather than the NIHSS.

22 DR. KAZEROONI: I don't think they're
23 both saying that the NIH Stroke Scale is
24 invalid but it's measuring something different,
25 it's measuring at the time of acute

1 presentation the severity of the stroke. That
2 itself is not an outcome measure, that's
3 essentially an assessment at the time before
4 the treatment is given, whereas the Rankin
5 delivered towards the end of admission and then
6 serially is looking at health outcomes over
7 time and the NIH score doesn't do that. So it
8 has nothing to do with validity, I don't think
9 we're talking about the validity of each one of
10 them, it's reproducibility, but we're talking
11 about, the question is functional assessment as
12 a standalone meaningful primary health outcome,
13 whereas NIH is really not an outcome, it's part
14 of a diagnostic assessment if this is stroke
15 and how severe it is. So I think for entry
16 criteria and stratification of patients, I
17 think it's a very important example.

18 DR. WALDREN: Yeah, I think Sam gave
19 us a cautionary tale that if we use the NIH
20 Stroke Scale, that he saw an ad of it being
21 able, the device being able to decrease the
22 stroke score by ten points, but what does that
23 really mean? So again, I don't think it's an
24 outcome.

25 DR. THOMAS: I think another issue as

1 we evaluate the Rankin Scale is the usefulness
2 of the delta. Earlier like in ISUISA I it
3 talks about a change of two being a primary
4 endpoint and I think when we're looking at, you
5 know, something as, with as many strokes as we
6 have in the United States and elsewhere that a
7 minor change I think could be helpful, so I'm
8 not sure why we're, why two is often used
9 rather than a change of one.

10 And also, I think we may well want to
11 weigh in on the measurement tool in terms of,
12 for example, the ordinal shift analysis look
13 rather than the dichotomous look, it should be
14 using that utility weighted shift analysis to
15 get more precision to find smaller changes, so
16 we can, if we add up these smaller changes that
17 can become very important for patients.

18 DR. BACH: I'm trying to be sensitive
19 to time without curtailing conversation. I
20 think there's an interest probably, I'm
21 guessing there's some interest in discussing
22 question four, and we have a couple more
23 minutes left in this section as well. And then
24 I'll remind the presenters, who I think know
25 this, that they're not to use the chat to

1 communicate with panelists at any time, but
2 certainly during this discussion. But does
3 anyone want to start a discussion of question
4 four?

5 DR. ROSS: Peter, this is Joe Ross.
6 Before we go there, can I ask one point of
7 clarification? This is just my lack of
8 experience in this, but I thought NIH Stroke
9 Scale had been used as an outcome in trials
10 like in the early TPA trials.

11 DR. KAZEROONI: Yeah, and I thought
12 one of the presenters today actually used a
13 combination of the two as being better than the
14 modified Rankin score alone, so it's not to say
15 that it's not valid and not measurable, but if
16 I were to rate the two as a primary standalone
17 healthcare outcome measure, as I read the
18 language of the question, it's just toward the
19 modified Rankin Scale.

20 DR. CINQUEGRANI: I think they're not
21 mutually exclusive, I think they are measuring
22 effectiveness, NIH I think is measuring the
23 effectiveness of an acute intervention as it
24 relates to how patients respond to
25 interventions, whereas the Rankin scale is sort

1 of the measure of how people do functionally
2 over time.

3 DR. ROSS: So that might have some
4 bearing if the technology is an acute treatment
5 technology.

6 DR. CINQUEGRANI: Yes, and the stuff
7 we're talking about, some of it is.

8 DR. ROSS: All right, that's helpful,
9 thanks.

10 DR. KAZEROONI: Thank you.

11 DR. STEPHENS: I guess I'll start with
12 number four. I think, this is a little bit
13 challenging for me but at the end of the day I
14 think that it's always important to get the
15 perspective of the individual and their family
16 or caregivers, and it sort of seems like this
17 would be the only opportunity to do that in
18 this process really, I don't think that you can
19 evaluate any outcome without asking the person
20 how he feels and, you know, are you having a
21 better quality of life based on your own
22 standard.

23 And I will bring up the issue of
24 health equity again because I do believe that
25 the concept of, you know, wife and family,

1 quality of life, things you want to do, I think
2 it's their own family culture and other
3 traditions and values that they have, and I
4 don't know how you get to that if you don't
5 ever talk to people about it.

6 DR. WALDREN: I was thinking the same
7 thing when I saw this. You know, you talk
8 about anxiety and depression and that's one of
9 the Ds in the 5Ds. What I was thinking of too,
10 one of my thoughts is the Rankin, modified
11 Rankin if it is a severe stroke, you know, a
12 two or three or above, it seemed to be more
13 germane than the EQ-5, where the EQ-5 would
14 seem more germane if it was less than three,
15 because it would need to be a little more
16 nuanced and the patient had more facility to
17 give their input, but that's kind of what I was
18 thinking.

19 DR. MILLER: I guess that directly
20 looking at the question, I agree that quality
21 of life is important as the patients, the
22 patient's the patient, they're the one we're
23 doing this all for.

24 I guess I, the questions are also
25 about the EQ-5D in particular and then also

1 whether primary, composite or secondary. I
2 guess just briefly, we can use it as a primary
3 outcome, composite outcomes have many
4 challenges, and it can be statistically
5 engineering, and so I would say I would use it
6 as a secondary outcome. I'm less certain about
7 the EQ-5B instrument itself though.

8 DR. THOMAS: I have a question
9 regarding the use of the primary health
10 outcome. When we state that it should be used,
11 is that we're thinking that it is the primary
12 endpoint, we're going to recommend that the, if
13 it's a PI statistic that's used on all EQ-5s do
14 we use that, or are we recommending that it's
15 good as a standalone with some other primary
16 endpoints but it's standalone as a secondary
17 endpoint?

18 DR. ROSS: Greg, that's a good
19 question. As I read it, I'll just say, and
20 having served on these committees before, I
21 think of it as a principal, like an important
22 health outcome as opposed to this should be the
23 primary endpoint in the trial outcome.

24 DR. THOMAS: Okay. So we can put, we
25 can use a synonym of important or principal as

1 an addition to primary then, okay.

2 DR. ROSS: Unless someone from CMS
3 wants to clarify.

4 DR. SPEIR: Really C and D are
5 competitive, it's either the primary or the
6 secondary, right? I didn't totally -- you were
7 making a point that I was waiting until the
8 end, so if, do we view this as a standalone
9 primary outcome or a standalone secondary
10 outcome?

11 DR. LAHEY: I think Joe said it could
12 be either one.

13 DR. SPEIR: I know, but they're
14 competitive.

15 DR. THOMAS: I think that we -- I
16 don't -- but on the other hand, I think that it
17 would be up to the folks putting the protocol
18 to determine where they rank it depending on
19 the type of strokes they're looking at the
20 intervention, so I think we'd want to give them
21 that flexibility.

22 DR. STEPHENS: So I'd like to, because
23 I'm not a physician, kind of understand what
24 that would look like in an example. So I'm
25 thinking as an individual, I've had a stroke,

1 I'm going to be given a treatment, and maybe
2 clinically we can look at certain outcomes to
3 say yes, I have problems, but I've got 12 other
4 things that went wrong that have made my life
5 hell, which one becomes primary? That's just
6 my kind of nonclinical and patient advocate
7 role on this committee, just putting it out
8 there.

9 DR. LAHEY: You know, I asked
10 Dr. Hanley this question, and I said in your
11 opinion, do you think it would be -- well,
12 actually it was the EQ-VAS, what do you think,
13 is it a primary or is it a secondary? You
14 know, I think we were on the same page that
15 it's extremely important but it's more
16 adjunctive, it's not -- I mean, I would like to
17 have a clinical physician assessment of the
18 patient at a certain time, but I also want to
19 know how the patient perceives his or her own
20 condition, and I'm realizing that it is going
21 to change over time, so I thought of it as more
22 adjunctive and so I didn't want to put it in
23 the primary outcome. That's not to say it's
24 not important, it's extremely important, but
25 built on other data that we're getting.

1 DR. BREWINGTON: Doesn't that place it
2 into C? I mean, C puts it in as a composite
3 but meaningful primary health outcome. So the
4 way I'm reading go that question, and someone
5 correct me if I'm misreading it, as part of a
6 composite of a meaningful primary it becomes
7 weighted, right?

8 DR. MILLER: I would look at it
9 slightly differently, so a secondary health
10 outcome you could be looking at four or five
11 different things including, you know,
12 complications, et cetera, and quality of life,
13 so those are important secondary outcomes that
14 would be assessed in a trial. A composite
15 outcome is saying like does this affect
16 disability, quality of life, plus mortality,
17 plus et cetera, and so any one of those
18 individual outcomes might not be significant
19 but the composite combination of them is, which
20 is why I'm extremely hesitant about including
21 or recommending composite outcomes in this
22 setting, because we want to know if technology
23 is useful for the Medicare population for a
24 specific primary outcome and a specific series
25 of secondary outcomes, because we need to

1 completely answer that question.

2 DR. TYAGI: I too would agree with
3 that because when it comes to composite
4 outcomes it's almost kind of in this realm of,
5 the CREST trial had a composite outcome of
6 stroke and MI, although the stroke was around
7 four percent, and two percent were cardio
8 endarterectomy, and combined it was MI with a
9 similar composite outcome, but it really wasn't
10 comparable.

11 DR. CINQUEGRANI: A lot of times
12 composite outcomes, you know, death,
13 cardiovascular death and MI, so all these
14 things are really, the positivity of the
15 measured outcome is driven by one of the
16 factors or the options, so it can be a little
17 misleading. I think, you know, this is
18 obviously very important. The question is, you
19 know, if you're designing the trial to see how
20 people do in response to some stroke therapy
21 obviously you have to have a primary outcome if
22 it works, did it work or not. But it's also
23 incredibly important given the nature of stroke
24 and its impact on peoples lives over time, how
25 does it affect their quality of life, so this

1 is a very important question. I don't think
2 it's a standalone primary outcome of the trial
3 unless the trial you're looking at here is
4 quality of life, but if it's a therapeutic
5 intervention which we're supposed to be
6 addressing, new devices or whatever, then this
7 would be a very important secondary health
8 outcome related to the impacts of stroke over
9 time.

10 DR. MILLER: And having it as a
11 secondary outcome allows it to stand cleanly on
12 its own rather than getting washed away by
13 other effects. So that way you know, you could
14 know if a device improves someone's quality of
15 life or not, versus if you mix that with other
16 outcomes, it's harder to answer that question.

17 DR. CINQUEGRANI: You can get lost.

18 DR. BREWINGTON: All right, I agree
19 with that perspective, because I think at the
20 end of the day when you do get to a
21 longitudinal review of this device, which is
22 what we're talking about, you know, if you
23 found that, hypothetically that you had an
24 improved survival rate but at the end of the
25 day all those patients that survived, this

1 being extreme, said but I wouldn't want to live
2 like this, then we'd go back and we'd change
3 whether it's this device, or this treatment
4 should continue.

5 DR. BACH: Dr. Brewington, speaking of
6 the end of the day, we're rounding out towards
7 the end of this discussion section. I don't
8 want to ignore an important points that people
9 try to make. Although, Joe Chin, I think I saw
10 your hand up but I don't know if was residual,
11 so do you have something you want to say now?
12 Otherwise I'm going to move us to a shorter
13 than scheduled break, I apologize for that.

14 Dr. Waldren, do you have something to
15 say also?

16 DR. CHIN: Not at this time, thank
17 you.

18 DR. BACH: Joe Chin, you have nothing.
19 Dr. Waldren?

20 DR. WALDREN: Yes, just one, I guess
21 one question since it's been a long time since
22 I've been really in the clinical research
23 space. So it seems like when we look at all of
24 these measures in regards to what a primary
25 measure should be, there's significant

1 limitations for all of them. So I guess, can
2 you have a study that just has a bunch of
3 secondary measures then there's no primary, or
4 do you have to have a primary measure?

5 DR. BACH: I'll try to take that. I
6 think that's probably beyond the scope of this
7 discussion, or a discussion that would be
8 particularly useful for CMS. I think sort of
9 the Stats 101 answer would be no, because you
10 have to have a power calculation for a study,
11 which means you have to have a primary outcome
12 to design it around.

13 DR. WALDREN: I'm sorry, Peter. I
14 guess one reason I was asking that is like if
15 you have to have one, I guess that's what I was
16 trying to weigh in on thinking about these is
17 like okay, the ones we've discussed, would I
18 move up my confidence because of all the ones
19 that we've listed, it's the worst least option,
20 so anyway, thank you.

21 DR. BACH: Fair enough. I think there
22 is a score for least bad options that will come
23 up in the voting.

24 Can I bring this section of the
25 discussion to a close at this point and bring

1 everyone back, is there any objection to a
2 five-minute break so we can stay on schedule?
3 I mean, we're a little behind but not bad.
4 Then we'll come back at 3:06 eastern for the
5 voting and a couple of remarks. Thank you.

6 (Recess.)

7 So, I think there's been a reasonable
8 amount of discussion regarding how the voting
9 works at this point. I'm happy to go through
10 the ranking of the answers but those have also
11 been reviewed. Are there any questions? I
12 certainly don't want to belabor the Likert
13 scale that's in front of you. Are there any
14 questions about it?

15 Okay, so the order of events is I'll
16 read the question, you will all vote. As the
17 votes are given there will be that little thing
18 where we figure out if everyone has voted. As
19 soon as that is done we'll look at the
20 distribution of scores, at that point you
21 cannot change your vote. Actually you can't
22 change your vote as soon as you enter it, you
23 won't see anyone's vote until all are entered,
24 I apologize if I misspoke. And then I'm going
25 to poll each of you, you're going to speak

1 verbally your vote. I'm going to say your
2 name, I'm just going to go alphabetically down
3 the list, you'll say what your vote is, and
4 that's a moment where you can give explanation
5 but you are under no obligation to do so. And
6 then we'll go through each of the questions as
7 it played out in the votes and we'll have a
8 discussion again, if needed, if not redundant,
9 it supplements. So again, there's no
10 requirements on any of those things except for
11 the votes themselves.

12 DR. LAHEY: What is the session ID?

13 DR. BACH: Tara, do you want to put it
14 into the chat again? I have it. For anyone
15 who hasn't --

16 MS. HALL: Please don't say the
17 session out loud. Who asked for the session
18 ID?

19 DR. BACH: Dr. Lahey.

20 DR. LAHEY: Oh, I had it on my iPhone,
21 it says hello, put in session ID.

22 MS. HALL: Okay. I'm going to send
23 you a message, I'm going to sent you an email
24 and in the chat room.

25 DR. THOMAS: This is Greg Thomas, I

1 would like the same thing.

2 DR. STEPHENS: And Allison Stephens.

3 DR. LAHEY: I got nothing.

4 DR. THOMAS: I see it on mine now.

5 DR. LAHEY: I see it, okay.

6 DR. BACH: Allison, do you have it?

7 DR. STEPHENS: I do, and yet it's the
8 same one I had before, for some reason it's not
9 letting me in, so let me try it again. Voila,
10 thank you.

11 DR. BACH: Okay. Is there anyone who
12 is not logged in?

13 DR. LAHEY: Just me, I'm trying to do
14 the user name, is that from our previous?

15 MS. HALL: It's your first name, your
16 last name and your email.

17 (Inaudible colloquy.)

18 DR. THOMAS: I got it, okay.

19 DR. LAHEY: Bingo.

20 DR. THOMAS: I've got a number
21 associated with the ID.

22 MS. HALL: There shouldn't be.

23 DR. BACH: Who is still not in the
24 system? I'm going to take it that everybody is
25 logged in; is that correct? Is there anyone

1 not logged in?

2 DR. THOMAS: I'm good.

3 DR. BACH: Okay, great. I'm going to
4 commence with the first question. Question
5 number one, how confident are you that the
6 following are standalone meaningful primary
7 health outcomes in research studies of
8 cerebrovascular disease technologies:

9 So the first question is, A, major
10 disabling stroke, defined as stroke in the
11 treated vascular territory that results in a
12 modified Rankin Scale of greater than or equal
13 to three? Please go ahead and vote.

14 (The panel voted and votes were
15 recorded by staff.)

16 DR. STEPHENS: I tried to vote but it
17 kicked me out, so I'm going to try to log back
18 in again.

19 DR. BACH: Thank you. CMS, this is
20 not, there's something wrong with our system it
21 looks like, so I'm going to ask everyone to
22 vote, while voting please don't look at the
23 screen, none of the votes are supposed to be
24 revealed until all the votes are in.

25 MS. HALL: As people vote, the number

1 show you who voted but they don't tell you
2 exactly who pressed what.

3 DR. BACH: It's still not -- but the
4 way we had it set up last time, it's not
5 supposed to show any results until all votes
6 have been collected, so I'm going to, if we
7 can't fix that I'm just going to ask the
8 panelists to do their best not to look at the
9 screen while they're voting, to complete your
10 vote before you look at what the results start
11 to come in as. CMS, if you can fix that, that
12 would be terrific. Okay. And also we have one
13 too many votes, we should have only ten, I
14 believe. Oh no.

15 MS. HALL: No, we have 11. Everyone
16 has voted.

17 DR. BACH: I didn't count Joe, thank
18 you. Okay. We collected the votes, I'm going
19 to go down and poll each of you for your, if
20 you would announce verbally, state what your
21 vote was and if you want to add clarity at any
22 time, this is an opportunity to do so.
23 Dr. Ross?

24 DR. ROSS: I voted a five, with the
25 idea that it would be used for intermediate and

1 longer-term outcomes.

2 DR. BACH: Dr. Brewington?

3 DR. BREWINGTON: I voted four.

4 DR. BACH: Dr. Cinquegrani?

5 DR. CINQUEGRANI: I voted four.

6 DR. BACH: Dr. Kazerooni?

7 DR. KAZEROONI: I voted five.

8 DR. BACH: Dr. Lahey?

9 DR. LAHEY: I voted four.

10 DR. BACH: Dr. Miller?

11 DR. MILLER: I voted four.

12 DR. BACH: Dr. Speir?

13 DR. SPEIR: Four.

14 DR. BACH: Dr. Stephens?

15 DR. STEPHENS: Four.

16 DR. BACH: Dr. Tyagi?

17 DR. TYAGI: Four.

18 DR. BACH: Dr. Thomas? Dr. Thomas?

19 DR. THOMAS: Four.

20 DR. BACH: Thank you. And

21 Dr. Waldren?

22 DR. WALDREN: Three.

23 DR. BACH: Okay.

24 DR. WALDREN: Mostly for the etiology,
25 I think these might need to be changed, but

1 three is my highest.

2 DR. BACH: Okay. Let's go on to
3 question B, 1.B. CMS, can you clear the
4 screen? And again, I'm going to ask the
5 panelists not to look at how the results are
6 coming in until you have voted. This same
7 question one would be B, the outcome is
8 decrease in the modified Rankin Scale of
9 greater than or equal to two points compared to
10 baseline. (The panel voted and votes were
11 recorded by staff.)

12 MS. HALL: Everyone has voted.

13 DR. BACH: Thank you. Dr. Ross?

14 DR. ROSS: I voted a two.

15 DR. BACH: Dr. Brewington?

16 DR. BREWINGTON: I voted two.

17 DR. BACH: Dr. Cinquegrani?

18 DR. CINQUEGRANI: I voted four.

19 DR. BACH: Dr. Kazerooni?

20 DR. KAZEROONI: Four.

21 DR. BACH: Dr. Lahey?

22 DR. LAHEY: Two.

23 DR. BACH: Dr. Miller?

24 DR. MILLER: Four.

25 DR. BACH: Dr. Speir?

1 DR. SPEIR: Three.

2 DR. BACH: Dr. Stephens?

3 DR. STEPHENS: Four.

4 DR. BACH: Dr. Tyagi?

5 DR. TYAGI: Five.

6 DR. BACH: Dr. Thomas?

7 DR. THOMAS: Four.

8 DR. BACH: Dr. Waldren?

9 DR. WALDREN: Two.

10 DR. BACH: Okay, we can go on to the
11 next, 1.C. CMS. You're capturing these mean
12 values?

13 MS. HALL: Yes.

14 DR. BACH: Okay, great. 1.C, modified
15 Rankin score of less than or equal to two, or
16 equal to the pre-stroke modified Rankin score
17 if the pre-stroke modified Rankin score greater
18 than two.

19 (The panel voted and votes were
20 recorded by staff.)

21 MS. HALL: We need one more vote.

22 DR. BACH: Great, we have all 11.
23 Dr. Ross?

24 DR. ROSS: I voted three.

25 DR. BACH: Dr. Brewington?

1 DR. BREWINGTON: I voted three.
2 DR. BACH: Dr. Cinquegrani?
3 DR. CINQUEGRANI: Three.
4 DR. BACH: Dr. Kazerooni?
5 DR. KAZEROONI: Three.
6 DR. BACH: Dr. Lahey?
7 DR. LAHEY: Two.
8 DR. BACH: Dr. Miller?
9 DR. MILLER: Four.
10 DR. BACH: Dr. Speir?
11 DR. SPEIR: Three.
12 DR. BACH: Dr. Stephens?
13 DR. STEPHENS: Four.
14 DR. BACH: Dr. Tyagi?
15 DR. TYAGI: Five.
16 DR. BACH: Dr. Thomas?
17 DR. THOMAS: Four.
18 DR. BACH: Dr. Waldren?
19 DR. WALDREN: Two.
20 DR. BACH: And if we could go on to
21 1.D? Okay, other kinds of stroke such as major
22 ipsilateral stroke or morbid stroke.
23 (The panel voted and votes were
24 recorded by staff.)
25 Great. Dr. Ross?

1 DR. ROSS: I voted a four. I
2 interpreted it as using the modified Rankin
3 Scale for other types of stroke, that's how I
4 interpreted the question.

5 DR. BACH: Great, thank you.
6 Dr. Brewington?

7 DR. BREWINGTON: I voted three.

8 DR. BACH: Dr. Cinquegrani?

9 DR. CINQUEGRANI: Four, I interpreted
10 it the same way as Dr. Ross.

11 DR. BACH: Dr. Kazerooni?

12 DR. KAZEROONI: Three.

13 DR. BACH: Dr. Lahey?

14 DR. LAHEY: Three.

15 DR. BACH: Dr. Miller?

16 DR. MILLER: Three.

17 DR. BACH: Dr. Speir?

18 DR. SPEIR: Three, for the reasons
19 noted above.

20 DR. BACH: Thank you. Dr. Stephens?

21 DR. STEPHENS: One, due to the
22 ambiguity of the definition.

23 DR. BACH: Dr. Tyagi?

24 DR. TYAGI: Three.

25 DR. BACH: Dr. Thomas?

1 DR. THOMAS: Three.

2 DR. BACH: Dr. Waldren?

3 DR. WALDREN: Two.

4 DR. BACH: Great. We can pause here.

5 Thank you, CMS. We can pause here, so we're
6 asked for each health outcome greater than or
7 equal to an intermediate confidence, please
8 discuss the appropriate length of followup post
9 intervention for assessing this outcome. So of
10 these, CMS, which ones achieved greater than
11 two-and-a-half? I think it was the first three
12 but I'm not certain of that. Tara, did we
13 have, do you have the averages from these
14 votes?

15 MS. HALL: I'm not keeping score, I'm
16 reaching out to the person who is, they can
17 answer it.

18 DR. BACH: All right, I'm confident
19 the first one had an average greater than
20 three, so if we can start with the first one,
21 which is major disabling stroke, the question
22 is the appropriate length of followup post
23 intervention for assessing this outcome.

24 And I can float the idea if we focus
25 on for example, 30 days, 90 days or one year

1 for example, as alternatives for length of
2 outcomes, since those appear to be the ones
3 that show up in the various trials.

4 DR. ROSS: Peter, this is Joe Ross. I
5 can start by saying that based on the
6 presentations we heard there was a lot of
7 confidence around using it at 90 days and
8 longer, so that's how I made my vote, that was
9 my qualifier.

10 DR. MILLER: The same, 90 days for
11 embolic-thrombotic, and then probably,
12 intracerebral hemorrhage probably a year. And
13 unclear, lacunar would probably fall under
14 embolic-thrombotic.

15 DR. KAZEROONI: I agree with that
16 statement.

17 DR. SPEIR: I agree with that
18 statement.

19 DR. THOMAS: I'd add also that for the
20 severe strokes and the nonischemic category
21 that they also be considered useful for 180 or
22 one year.

23 DR. WALDREN: I agree with Dr. Thomas
24 on the 180 just because of the follow-up
25 concerns at one year, if you have that data.

1 DR. MILLER: Agreed.

2 DR. BACH: Okay. And on the decrease
3 in mRS of greater than two points compared to
4 baseline -- all four of these scores were
5 greater than two-and-a-half by the the way so
6 we're going to discuss all four of them. The
7 decrease in mRS greater than two points
8 compared to baseline.

9 MR. MILLER: I imagine it would be
10 similar to our prior metrics.

11 DR. BREWINGTON: Agree.

12 DR. BACH: And for Item C?

13 DR. ROSS: Can I just note, Peter,
14 that there was some reluctance among the
15 presenters around using the baseline measure of
16 the modified Rankin, we didn't discuss that,
17 but I'll just raise it here so that they have
18 it.

19 DR. MILLER: Well, my thought there
20 was as long as you do a modified Rankin prior
21 to discharge and then compare it to that and
22 have that be the baseline, or the also question
23 about cross-matching the NIH Stroke Scale which
24 is done at the time of diagnosis or for
25 diagnosis, to the modified Rankin, so that's

1 another measure alternative.

2 DR. ROSS: That's helpful.

3 DR. BACH: Okay. And for the modified
4 Rankin of less than or equal to two, or equal
5 to pre-stroke modified Rankin if the pre-stroke
6 modified Rankin was greater than two?

7 DR. MILLER: I imagine they're similar
8 timeframes.

9 DR. KAZEROONI: Agree.

10 DR. BACH: And D, I don't want to lead
11 you, but the same for D for different for D?

12 DR. STEPHENS: Well, I'd like to say,
13 I would say they might be truncated a little
14 bit more for people who already were at a
15 greater than two level, because I would think
16 that, I don't know, things might be exacerbated
17 or there, you know, there just might be needs
18 to follow up on if a person is already starting
19 and walking into this, or having a stroke with
20 already having that two or greater.

21 DR. MILLER: A modified Rankin of two
22 is a slight disability, unable to carry out all
23 previous activities but able to look after
24 their own affairs without assistance, so I'd
25 say maybe for two, and then that might be

1 truncated for higher than two.

2 DR. STEPHENS: I can see that.

3 DR. BACH: Great. And for other kinds
4 of stroke such as major ipsilateral stroke or
5 morbid stroke?

6 DR. SPEIR: I'd put it the same way as
7 we did for the disabling strokes, the number
8 one, or the A.

9 DR. MILLER: I agree.

10 UNIDENTIFIED SPEAKER: Agree.

11 DR. THOMAS: Peter, is it appropriate
12 the composite outcomes here?

13 DR. BACH: Sure, you can.

14 DR. THOMAS: Sure. I would hope that
15 as raised earlier, composites are important if
16 they give a study power and again, these
17 studies are hard to do, hard to get consent,
18 et cetera, et cetera, but I would hope that
19 with composites that the trialists tried to
20 group endpoints that are fairly equivalent so
21 we don't have a, you know, a weak endpoint
22 that's not that important to the patient or the
23 clinician driving a composite being favorable,
24 for example.

25 DR. MILLER: I share that, I would say

1 that if there were a composite endpoint it
2 should have the same sort of measurements or
3 similar types of measurements as opposed to
4 sticking in combating factors to overpower the
5 trial to find the positive primary outcome,
6 that would then be less meaningful to Medicare
7 beneficiaries.

8 DR. BACH: The next bullet is for each
9 health outcome greater than two for all of the
10 outcomes above, discuss the appropriate cutoff
11 points for either modified Rankin or the NIH
12 Stroke Scale for assessing these outcomes. So
13 for major disabling stroke?

14 DR. SPEIR: Doesn't the question
15 define that cutoff?

16 DR. BACH: I think it does.

17 DR. MILLER: I think A through C,
18 correct me if I'm wrong, defined the cutoffs
19 for the modified Rankin, not for the Stroke
20 Scale, because the Stroke Scale as we discusses
21 is a diagnostic tool as opposed to an outcome
22 assessment tool primarily.

23 DR. BACH: Do you think it can be
24 applied to D?

25 DR. MILLER: You mean the NIH Stroke

1 Scale applied to D?

2 DR. BACH: Well, there's no modified
3 Rankin in the cutoff in Item D either.

4 DR. MILLER: I would posit that it's a
5 similar cutoff, but it would be either at the
6 two-point transition or a major disabling --
7 well, you wouldn't want to duplicate the
8 measurements, but it would probably be either
9 any of the -- it could also be used to classify
10 with lacunar strokes looking at other
11 functional outcomes. I think the Question, D
12 is a little unclear in this particular context,
13 to me at least.

14 DR. SPEIR: Granted the modified
15 Rankin is a whole other question, A through C
16 was for modified Rankin.

17 DR. MILLER: Right, so unclear what D
18 would be in this context.

19 DR. LAHEY: That's reasonable.

20 DR. BACH: There was, the next
21 discussion point relates to considerations when
22 using composite outcomes. I think Dr. Thomas,
23 or maybe it wasn't you, I apologize if I got it
24 wrong, already brought up some of the concerns
25 or questions about composite outcomes. Are

1 there other comments related to the outcomes in
2 this question or related ones in terms of
3 combining them in research studies of
4 cerebrovascular disease treatment technologies?

5 DR. MILLER: Again, I want to echo
6 Dr. Thomas's comments, but composites should be
7 different ways of measuring the same thing as
8 opposed to like measuring a decrease in
9 modified Rankin plus, say rehospitalization,
10 which is not necessarily, that would not be a
11 good composite outcome for example.

12 DR. BACH: Other comments?

13 DR. LAHEY: I agree, composites are
14 fraught, it's could be problematic for the
15 reasons mentioned earlier.

16 DR. BACH: Okay, I propose we move on
17 to question two, if we can bring up the survey
18 tool again. How confident are you that the
19 following are standalone meaningful primary
20 health outcomes in research studies of
21 cerebrovascular disease treatment technologies:
22 Question one is hospitalization, length of stay
23 for the index procedure.

24 (The panel voted and votes were
25 recorded by staff.)

1 Dr. Ross?
2 DR. ROSS: I voted a two.
3 DR. BACH: Dr. Brewington?
4 DR. BREWINGTON: Two.
5 DR. BACH: Dr. Cinquegrani?
6 DR. CINQUEGRANI: One.
7 DR. BACH: Dr. Kazerooni?
8 DR. KAZEROONI: Three.
9 DR. BACH: Dr. Lahey?
10 DR. LAHEY: One.
11 DR. BACH: Dr. Miller?
12 DR. MILLER: Two.
13 DR. BACH: Dr. Speir?
14 DR. SPEIR: Two.
15 DR. BACH: Dr. Stephens?
16 DR. STEPHENS: Two.
17 DR. BACH: Dr. Tyagi?
18 DR. TYAGI: I voted three. It didn't
19 really say primary or secondary outcomes so I
20 found it could be somewhat important.
21 DR. BACH: Dr. Thomas?
22 DR. THOMAS: One.
23 DR. BACH: Dr. Waldren?
24 DR. WALDREN: One.
25 DR. BACH: The next question, 2.B, the

1 number of unscheduled readmissions that are
2 related to cerebrovascular disease.

3 (The panel voted and votes were
4 recorded by staff.)

5 Dr. Ross?

6 DR. ROSS: I voted a five,
7 particularly with respect to repeat procedures.

8 DR. BACH: Dr. Brewington?

9 DR. BREWINGTON: I voted three.

10 DR. BACH: Dr. Cinquegrani?

11 DR. CINQUEGRANI: Two.

12 DR. BACH: Dr. Kazerooni?

13 DR. KAZEROONI: Three.

14 DR. BACH: Dr. Lahey?

15 DR. LAHEY: Two.

16 DR. BACH: Dr. Miller?

17 DR. MILLER: Two.

18 DR. BACH: Dr. Speir?

19 DR. SPEIR: Two.

20 DR. BACH: Dr. Stephens?

21 DR. STEPHENS: Three.

22 DR. BACH: Dr. Tyagi?

23 DR. TYAGI: I voted four. I mean, if
24 it's directly related to cerebrovascular
25 disease it should be important.

1 DR. BACH: Dr. Thomas?

2 DR. THOMAS: I voted two.

3 DR. BACH: Dr. Waldren?

4 DR. WALDREN: Two.

5 DR. BACH: And then, okay, and Item C,
6 discharge disposition to rehabilitation, home
7 versus inpatient facility, and I will add more
8 texture to this question which is, there's
9 obviously a broad range of hospital discharge
10 types, but I think the general dimensionality
11 is clear in the question.

12 (The panel voted and votes were
13 recorded by staff.)

14 MS. HALL: Waiting on one vote.

15 DR. BACH: There we go. Dr. Ross?

16 DR. ROSS: I voted a four, I would
17 recommend that CMS consider other dimensions
18 like actual death as well as socioeconomic
19 status.

20 DR. BACH: Dr. Brewington?

21 DR. BREWINGTON: I voted three and
22 agree with the socioeconomic considerations
23 that need to be put in.

24 DR. BACH: Dr. Cinquegrani?

25 DR. CINQUEGRANI: Three.

1 DR. BACH: Dr. Kazerooni?

2 DR. KAZEROONI: Three.

3 DR. BACH: Dr. Lahey?

4 DR. LAHEY: I voted four and also
5 agree that it's very important to account for
6 the socioeconomic factors.

7 DR. BACH: Dr. Miller?

8 DR. MILLER: Three.

9 DR. BACH: Dr. Speir?

10 DR. SPEIR: Three.

11 DR. BACH: Dr. Stephens?

12 DR. STEPHENS: Three. I also agree
13 with the socioeconomic factors and want to
14 highlight that there are other intervening
15 factors that are, or that may not be positive,
16 and that could change the discharge plan.

17 DR. BACH: Dr. Tyagi?

18 DR. TYAGI: I voted three. I would
19 have voted higher but for all the reasons
20 stated above I felt like there were other
21 factors than just looking at this alone, and
22 that's my vote.

23 DR. BACH: Dr. Thomas?

24 DR. THOMAS: Two.

25 DR. BACH: Dr. Waldren?

1 DR. WALDREN: Two. I was concerned
2 about the confounding factors in the steering
3 but I feel this would be a very important
4 secondary, and I would have voted five if it
5 were a secondary measure.

6 DR. BACH: Thank you. We're now going
7 to move to discussion on question two where the
8 second two measures, the B and C measures
9 qualify for discussion. So for each of the
10 health outcomes B and C were greater than or
11 equal to intermediate confidence, and please
12 the appropriate length of followup post
13 intervention for assessing this outcome
14 although this, to be clear, this only applies
15 to B in this phrasing, the number, so this is a
16 question about the duration of measurement for
17 unscheduled readmissions that are related to
18 cerebrovascular disease.

19 DR. ROSS: This is Joe Ross. I guess
20 I would say for safety-related cerebrovascular
21 disease like a complication of sorts, short
22 term would be useful within 30 to 60 days, but
23 I think the idea of needing to redo procedures
24 would be a longer time period, I'd just defer
25 to those specialists who actually do those

1 things.

2 DR. BACH: And actually an item of
3 clarification, Joe. In that answer, are you
4 starting the timing at the date of admission,
5 index admission, or the date of index discharge
6 when you say 30 days?

7 DR. ROSS: I probably would have said
8 discharge.

9 DR. BACH: Okay, fair enough.

10 DR. LAHEY: I would say discharge as
11 well and I would go to 90 days. I think beyond
12 that you have other reasons why people are
13 admitted and the data gets kind of noisy.

14 DR. WALDREN: I want to say 90 days
15 for those situations, and that's in keeping
16 with our responsibility to CMS.

17 DR. BREWINGTON: I agree with the 90
18 days as well post discharge.

19 DR. WALDREN: I actually had a comment
20 about the index, I wonder about that index, you
21 know, at the time of the intervention, just in
22 case there's a subsequent intervention that has
23 to be done before discharge.

24 DR. KAZEROONI: I guess I would add
25 the time needs to coincide with the other

1 three-month measurements that are being taken,
2 so we should be consistent across the timing.

3 DR. THOMAS: And I considered more 30
4 days because so often these measurements are 30
5 days, typical of other evaluations.

6 DR. STEPHENS: Yeah, I think in my
7 experience 30 days is typical, and I am guess
8 concerned about the idea of not going past 90
9 days, I do think that there is somewhat of an
10 obligation, there are comorbidities where
11 everything's involved, it can't just be I did
12 my small part and left out there, so I would
13 like to extend that a little bit although I can
14 understand, you know, that the obligation at
15 this point is 90 days.

16 DR. MILLER: Even though I didn't
17 support this measure, one thing I think is
18 worth pointing out is it's a question that says
19 primary health outcome, so I think as a primary
20 health outcome going longer as opposed to
21 shorter would be more appropriate. I think if
22 it were a secondary outcome, it could be
23 shorter.

24 DR. CINQUEGRANI: I think the 90 days
25 makes sense.

1 DR. BACH: Okay. And then the next
2 question is, relates to composite outcomes that
3 I think are intended to incorporate more these
4 measures of the 2.A, B, C, although we're
5 really only focusing on B and C in this case,
6 but either way because of the scoring, but the
7 question to you is to discuss important
8 considerations when assessing the merits of
9 composite outcomes in research studies of
10 cerebrovascular disease treatment technologies,
11 which include the combination of mortality,
12 stroke, healthcare resource utilization for
13 index procedures, post procedure and
14 rehospitalization, and neurologic functional
15 evaluation.

16 DR. MILLER: That sounds like you
17 would view functional evaluation as a separate
18 question from utilization of additional
19 resources or required re-procedures, so I would
20 not combine them, because they're measuring
21 different things.

22 DR. BACH: Others?

23 DR. BREWINGTON: I think we discussed
24 this, I mean for the reasons we discussed
25 before about composite scoring and how they

1 carry equal value, I mean, I think we covered
2 that in that discussion earlier before we
3 started answering questions.

4 DR. BACH: Agreed.

5 DR. THOMAS: I think there's too much
6 noise in these measurements to put them
7 together, I think we would be adding noise to
8 noise, so I'd suggest they be standalones.

9 DR. BACH: Anything else? Okay, I'd
10 like to move on to question three please, if we
11 can bring up the scoring thing. Question three
12 reads, how confident are you that each of the
13 following functional assessments are standalones
14 meaningful primary health outcome measures in
15 clinical research studies of cerebrovascular
16 disease treatment technologies, the first one,
17 A, the modified Rankin Scale?

18 (The panel voted and votes were
19 recorded by staff.)

20 Dr. Ross?

21 DR. ROSS: I voted four.

22 DR. BACH: Dr. Brewington?

23 DR. BREWINGTON: Five.

24 DR. BACH: Dr. Cinquegrani?

25 DR. CINQUEGRANI: Four.

1 DR. BACH: Dr. Kazerooni?
2 DR. KAZEROONI: Five.
3 DR. BACH: Dr. Lahey?
4 DR. LAHEY: Five.
5 DR. BACH: Dr. Miller?
6 DR. MILLER: Four.
7 DR. BACH: Dr. Speir?
8 DR. SPEIR: Four.
9 DR. BACH: Dr. Stephens?
10 DR. STEPHENS: Four.
11 DR. BACH: Dr. Tyagi?
12 DR. TYAGI: Four.
13 DR. BACH: Dr. Thomas?
14 DR. THOMAS: Five.
15 DR. BACH: Dr. Waldren?
16 DR. WALDREN: Three. Four, I'm sorry.
17 DR. BACH: That's okay, thank you.
18 Question 3.b, the National Institutes
19 of Health Stroke Scale, or NIHSS.
20 (The panel voted and votes were
21 recorded by staff.)
22 Dr. Ross?
23 DR. ROSS: I voted a four, I wasn't
24 really wasn't thinking it would be used
25 explicitly for technologies being used acutely,

1 ou know, for a rapid treatment.

2 DR. BACH: Dr. Brewington?

3 DR. BREWINGTON: I voted five for the
4 exact same reason.

5 DR. BACH: Dr. Cinquegrani?

6 DR. CINQUEGRANI: Four, for the same
7 reason.

8 DR. BACH: Dr. Kazerooni?

9 DR. KAZEROONI: I voted a four,
10 thinking more about treatment outcomes that go
11 beyond the immediate post-procedural timeframe.

12 DR. BACH: Dr. Lahey?

13 DR. LAHEY: I voted four, realizing
14 there are some limitations to it, but it's
15 still very important.

16 DR. BACH: Dr. Miller?

17 DR. MILLER: I voted one, viewing it
18 primarily as a function as a diagnostic tool
19 rather than as an outcomes assessment tool
20 based upon our prior discussions and the
21 multiple guest speakers.

22 DR. BACH: Dr. Speir?

23 DR. SPEIR: Four.

24 DR. BACH: Dr. Stephens?

25 DR. STEPHENS: Two, for the same

1 reason that it seems to be more of a diagnostic
2 tool.

3 DR. BACH: Dr. Tyagi?

4 DR. TYAGI: I voted one. I think this
5 is a poorly worded question, I think we all
6 kind of similarly are thinking it, our voting
7 is across the map.

8 DR. BACH: Dr. Thomas?

9 DR. THOMAS: Two, suggesting that it's
10 more something to stratify patients on rather
11 than an outcome measure given what the
12 trialists commented about it, and --

13 DR. BACH: Dr. Waldren? I'm sorry, I
14 didn't mean to cut you off.

15 DR. THOMAS: Imprecision of
16 measurement, with the aphasic patient with a
17 score of four for example.

18 DR. BACH: Dr Walden?

19 DR. WALDREN: Two, for the same
20 reasons that others mentioned for two.

21 DR. BACH: All right. For each of
22 these that received a score of greater than
23 two-and-a-half, so for each of those, please
24 discuss the appropriate length of followup post
25 intervention for assessing the outcome. Let's

1 start with the modified Rankin Scale.

2 MS. HALL: Could I interject real
3 quick, this is Tara Hall. When you are
4 discussing, please say your name before you
5 start speaking so we can keep track.

6 DR. BACH: I'm sorry about that, I
7 should be enforcing that. That's great, thank
8 you.

9 So this is Peter Bach, and I'm calling
10 the group, let's first discuss the appropriate
11 length of followup post intervention for
12 assessing the modified Rankin Scale.

13 DR. MILLER: This is Brian Miller. I
14 think based upon our prior discussions in
15 question one, it's sort of where most people
16 think it probably is, I agree.

17 DR. BACH: It's Peter Bach. Just to
18 clarify, the discussion about these endpoints
19 was, the length of followup was covered in some
20 detail in the discussion for question one, CMS.
21 Is that discussion satisfactory for this
22 purpose?

23 DR. KAZEROONI: This is Ella, I agree.

24 DR. LAHEY: This is Steve Lahey, I
25 think that would be sufficient.

1 DR. BACH: Okay. If there is, without
2 any objection, if there is any objection to
3 moving onto the second outcome measure, which
4 is the NIHSS, which was not discussed in
5 question one, if we could talk about the
6 appropriate duration or length of followup post
7 intervention for assessing that outcome. I
8 already heard a couple people say that it was
9 only appropriate for short time interval
10 endpoints, but I'm not trying to lead the
11 discussion, I just wanted to register that for
12 CMS.

13 DR. TYAGI: I mean that's how I viewed
14 it as but I didn't think the question made that
15 distinction, did it?

16 DR. BACH: That was Dr. Tyagi. It's
17 all right to add details to the answer, even if
18 the question doesn't prompt them specifically.

19 DR. BREWINGTON: This is Dr.
20 Brewington. I agree, I think it's mostly used
21 for short term.

22 DR. CINQUEGRANI: Cinquegrani. I
23 would say, you know, probably 90 days where
24 most of the benefits accrue from interventional
25 approaches and treatment of ischemic stroke

1 because, you know, if the intervention is
2 effective it should be durable for at least 90
3 days.

4 DR. MILLER: This is Dr. Miller
5 playing devil's advocate. The question asked
6 about using NIH Stroke Scale as a primary
7 health outcome, and it being an assessment tool
8 as opposed to a measure of disability, and the
9 issue at 90 days with the core stroke is
10 disability, I would, recognizing my extremely
11 low score, I would use this within a short
12 period within hospitalization or say a week,
13 because you don't want to measure disability
14 with something that doesn't measure disability.

15 DR. ROSS: This is Joe Ross --

16 DR. KAZEROONI: This is Ella
17 Kazerooni, I agree with Brian.

18 DR. ROSS: This is Joe Ross, I was
19 going to say the same thing, I thought 48 hours
20 may be a peak.

21 DR. STEPHENS: Allison Stephens. I
22 think that if you have a poor assessment in the
23 beginning it might affect the outcome, and so
24 maybe things show up and it might be
25 interesting to take a look at that what happens

1 at the 90-day mark, not to say that you
2 wouldn't look at it earlier.

3 DR. SPEIR: This is Speir, seven days.

4 DR. MILLER: Dr. Miller again. 90
5 days, again, I think the question is, you're
6 right that other things would show up, but I
7 don't think that this tool necessarily would be
8 the best tool to detect that.

9 DR. BACH: And just to add clarity
10 here, the question, the entire question was
11 organized around the concept that this is a
12 primary health outcome measure in the clinical
13 research study, just to help guide this
14 discussion.

15 DR. MILLER: Right, and in that since
16 this doesn't assess disability, as other things
17 pop up you want to use a different tool to
18 assess disability as opposed to this.

19 DR. LAHEY: Steve Lahey, I agree,
20 seven days.

21 DR. KAZEROONI: So I would say seven
22 days at the time of discharge, so seven days
23 from the time of discharge of less than seven
24 days.

25 DR. BACH: If we could go onto the

1 next bullet and again here, unless there's
2 objection from the committee, I would propose
3 we focus just on the NIH scale because the
4 discussion of cutoffs, which is what bullet
5 number two asks about, has been dealt with
6 extensively with regard to the modified Rankin
7 Scale. So the question for the NIH scale
8 unless people want to also discuss the mRS, is
9 please discuss the appropriate cutoff points
10 for assessing this outcome.

11 DR. LAHEY: This is Steve Lahey, I
12 would say seven days, as many of us have said.

13 DR. BACH: I think in this case,
14 Dr. Lahey, the question's of cutoff of the
15 scale, not the duration.

16 DR. LAHEY: Yep, yep, I see.

17 DR. BACH: If I'm understanding the
18 question.

19 DR. LAHEY: Yep.

20 DR. KAZEROONI: This is Ella
21 Kazerooni. If I'm remembering the discussion
22 in the presentations today, there was not much
23 focus on cut points of this variable compared
24 to the Rankin score scale.

25 DR. CINQUEGRANI: Cinquegrani. The

1 NIH Stroke Scale went zero to four for mild,
2 five to ten for moderate, 11 to 42 for severe.

3 DR. BACH: Thank you. The next bullet
4 is on composite outcomes with the same list of
5 potential outcomes that could be combined in
6 some capacity by including neurologic
7 functional evaluation, and I guess I'll ask
8 whether or not there are additional comments
9 now that we're at question three regarding this
10 topic of composite outcomes, beyond those that
11 CMS has already captured.

12 Barring that, the fourth bullet asks,
13 are there any other functional assessments and
14 there are a handful of examples given, the
15 Barthel Index, the Fugl-Meyer Upper and Lower
16 Extremity Scales, that we've not discussed,
17 whose use you believe would result in important
18 information pertaining to meaningful primary
19 health outcomes in clinical research studies of
20 cerebrovascular disease treatment technologies.

21 DR. MILLER: This is Dr. Miller. I
22 think some of those indices or measuring tools
23 might be useful for lacunar stroke. It's
24 unclear which would because it would depend on
25 what the deficit was, but having a more precise

1 measurement for lacunar strokes would probably
2 be helpful and meaningful.

3 DR. THOMAS: Greg Thomas. I concur
4 with giving trialists the opportunity to use
5 these given their granularity from, you know,
6 like the Barthel Index is one to a hundred, so
7 that gives a really good opportunity to measure
8 a change.

9 DR. SPEIR: This is Speir, I would
10 agree with that. I'm not sure I would limit it
11 to a lacunar report, rather giving our, those
12 conducting the trials the most opportunity to
13 measure depending on what their question is.

14 DR. MILLER: This is Dr. Miller and I
15 agree with Dr. Speir and Dr. Thomas. I guess I
16 was satisfying that it could be useful for all
17 particular strokes, but in particular for
18 lacunar strokes where improvement might not be
19 detected by other measurement scales.

20 DR. BACH: I think we can move onto
21 question four, CMS. It reads, how confident
22 are you that using the EQ-5D to measure quality
23 of life, Item A, is an adequate which reflects
24 the patient experience in the context of
25 cerebrovascular disease studies?

1 (The panel voted and votes were
2 recorded by staff.)

3 DR. CINQUEGRANI: I'm having to log
4 back in, just give me a moment.

5 DR. BACH: Dr. Ross?

6 DR. ROSS: I voted four.

7 DR. BACH: Dr. Brewington?

8 DR. BREWINGTON: I voted four, with
9 commentary that socioeconomic, again, should
10 be taken into consideration.

11 DR. BACH: Dr. Cinquegrani?

12 DR. CINQUEGRANI: Three.

13 DR. BACH: Dr. Kazerooni?

14 DR. KAZEROONI: Also a score of four,
15 and I agree with Dr. Brewington.

16 DR. BACH: Dr. Lahey please?

17 DR. LAHEY: Four.

18 DR. BACH: Dr. Miller?

19 DR. MILLER: Two, with the caveat that
20 this might not have the granularity that is
21 needed for this question.

22 DR. BACH: Dr. Speir?

23 DR. SPEIR: Four, agree with
24 Brewington.

25 DR. BACH: Dr. Stephens?

1 DR. STEPHENS: Four.

2 DR. BACH: Dr. Tyagi?

3 DR. TYAGI: Four.

4 DR. BACH: Dr. Thomas?

5 DR. THOMAS: Four, and I agree that
6 there may be other scales that for different
7 types of stroke maybe have more granularity and
8 precision measurement.

9 DR. BACH: Dr. Waldren?

10 DR. WALDREN: Four.

11 DR. BACH: Let's move onto 4.B, should
12 be included as standalone meaningful primary
13 health outcome measures in research studies.

14 (The panel voted and votes were
15 recorded by staff.)

16 Dr. Ross?

17 DR. ROSS: I gave it a three.

18 DR. BACH: Dr. Brewington?

19 DR. BREWINGTON: I gave it a two, I
20 think it should be a secondary.

21 DR. BACH: Dr. Cinquegrani?

22 DR. CINQUEGRANI: Two, for the same
23 reasons as Dr. Brewington.

24 DR. BACH: Dr. Kazerooni?

25 DR. KAZEROONI: Three.

1 DR. BACH: Dr. Lahey?

2 DR. LAHEY: One. I guess I really
3 think it should be a secondary.

4 DR. BACH: Dr. Miller?

5 DR. MILLER: Two, it should be a
6 secondary measure.

7 DR. BACH: Dr. Speir?

8 DR. SPEIR: Three.

9 DR. BACH: Dr. Stephens?

10 DR. STEPHENS: Four, because I do
11 think that there's quality for a particular
12 tool that's used, but I also am going back to
13 the question of needing to identify did it work
14 yes or no, and I think if someone were to say
15 what Dr. Brewington said is it may look like it
16 works but I wouldn't want to live like this,
17 then the answer is it didn't work.

18 DR. BACH: Dr. Tyagi?

19 DR. TYAGI: Three.

20 DR. BACH: Dr. Thomas?

21 DR. THOMAS: Two, it should be
22 secondary.

23 DR. BACH: Dr. Waldren?

24 DR. WALDREN: Three, for non-major
25 disabling strokes.

1 DR. BACH: Thank you. 4.C, should be
2 included as a composite meaningful primary
3 health outcome in research studies.

4 (The panel voted and votes were
5 recorded by staff.)

6 Dr. Ross?

7 DR. ROSS: I gave it a four with the
8 logic from the prior question around mortality
9 or other disability with the composite.

10 DR. BACH: Dr. Brewington?

11 DR. BREWINGTON: I gave it a two for
12 the same reason.

13 DR. BACH: Dr. Cinquegrani?

14 DR. CINQUEGRANI: Three here.

15 DR. BACH: Dr. Kazerooni?

16 DR. KAZEROONI: I'm simpatico with
17 Dr. Brewington on this one, I gave it a two for
18 the same logic.

19 DR. BACH: I think we may have to
20 review what the Likert scale is here, but
21 anyway, Dr. Lahey?

22 DR. LAHEY: I gave it a two.

23 DR. BACH: Dr. Miller?

24 DR. MILLER: A glass is half empty and
25 emptying, I gave it a one, and the primary

1 reason is that I think it should be an
2 individual secondary outcome.

3 DR. LAHEY: Dr. Bach, this is Steve
4 Lahey.

5 DR. BACH: Yeah?

6 DR. LAHEY: I read my thing wrong, I
7 gave it a one for the same reason I gave it a
8 one on the previous one.

9 DR. BACH: Got it, okay. CMS, did you
10 capture that?

11 MS. HALL: Yes, we got that, thanks.

12 DR. BACH: Dr. Speir?

13 DR. SPEIR: Three.

14 DR. BACH: Dr. Stephens?

15 DR. STEPHENS: Three.

16 DR. BACH: Dr. Tyagi?

17 DR. TYAGI: Three.

18 DR. BACH: Dr. Thomas?

19 DR. THOMAS: Three, and I think that
20 the PROMs are so new, I think they should be
21 part of an exploratory endpoint and putting
22 them together makes sense with other PROMs
23 essentially.

24 DR. BACH: Dr. Waldren?

25 DR. WALDREN: I gave it a three

1 because it said should instead of shall.

2 Sorry.

3 DR. BACH: No, thank you for making

4 that comment. Okay, home stretch, people.

5 Question 4.D, and thank you for keeping your

6 sense of humor at this hour. Should be

7 included as secondary health outcome measure in

8 research studies.

9 (The panel voted and votes were
10 recorded by staff.)

11 Dr. Ross.

12 DR. ROSS: I gave it a five.

13 DR. BACH: Dr. Brewington?

14 DR. BREWINGTON: Five.

15 DR. BACH: Dr. Cinquegrani?

16 DR. CINQUEGRANI: Four.

17 DR. BACH: Dr. Kazerooni?

18 DR. KAZEROONI: After a five, this is
19 a five, I think that's where the sweet spot is.

20 DR. BACH: Dr. Lahey?

21 DR. LAHEY: I gave it a five. I think
22 it absolutely should be a secondary.

23 DR. BACH: Dr. Miller?

24 DR. MILLER: I gave it a three. The
25 rationale is I think quality of life should be

1 a secondary outcome as a five, but this
2 particular measurement tool might not be the
3 best for this circumstance, hence a three.

4 DR. BACH: Dr. Speir?

5 DR. SPEIR: Four.

6 DR. BACH: Dr. Stephens?

7 DR. STEPHENS: Five.

8 DR. BACH: Dr. Tyagi?

9 DR. TYAGI: Five.

10 DR. BACH: Dr. Thomas?

11 DR. THOMAS: Four.

12 DR. BACH: Dr. Waldren?

13 DR. WALDREN: Five.

14 DR. BACH: Terrific, thank you for
15 your votes. The remaining discussion questions
16 relate to this general category but they are
17 not of exactly the flavor of the prior
18 discussion questions.

19 The first one is to discuss whether
20 additional patient-reported measurements such
21 as the SF-36 or the Stroke Impact Scale 16
22 should be captured burdens associated with
23 cerebrovascular disease treatment therapies
24 under study.

25 DR. SPEIR: I think the SF-36 is a

1 good tool, I'm not familiar with the Stroke
2 Impact Scale 16 unfortunately.

3 DR. THOMAS: This is Greg Thomas. I
4 took a look at the Stroke Impact Scale and it
5 looks very disease specific and I like it as
6 that because I have some concerns about things
7 that are measuring things other than
8 neurological function.

9 DR. LAHEY: And I agree, I think the
10 SF-36 is so broad, it's used so often that it
11 kind of loses a little bit of its impact and I
12 think the Stroke Impact Scale is a bit more
13 relevant for this issue.

14 DR. STEPHENS: I think it depends on
15 what you're looking for, what kind of
16 information you're trying to check.

17 DR. BACH: The next question is,
18 please discuss the minimal clinically improper
19 differences for the instruments. I think here
20 we're looking primarily at the EQ-5D, although
21 comments about the other instruments I'm sure
22 would be welcomed.

23 DR. THOMAS: I don't recall in the
24 presentations, people discussed that aspect of
25 this particular measure.

1 DR. MILLER: I don't think it was
2 discussed.

3 MS. HALL: Please remember to state
4 your names when you're speaking.

5 DR. THOMAS: Oh, that was Thomas.

6 DR. MILLER: And Dr. Miller. It's
7 going to be hard for me to describe that --

8 MS. HALL: Go ahead.

9 DR. THOMAS: Like I said, this is
10 Thomas. It's going to be hard for me to
11 describe it without the expertise of someone,
12 one of the presenters or more of the
13 presenters.

14 DR. ROSS: This is Joe Ross. I also
15 do not know the measure of specifications but I
16 would fully encourage CMS to consider the
17 minimally clinically important difference when
18 using the instrument.

19 DR. BACH: The next and final
20 discussion point is, please discuss the
21 appropriate length of followup post
22 intervention for assessing patient-reported
23 measurements such as, they don't say it here
24 but for example the EQ-5D.

25 DR. BREWINGTON: This is Brewington.

1 I think we should go back to the original
2 statement of assessing them at the same time
3 intervals for quality of life, so going all the
4 way out to a year if we go out to a year on the
5 other measures.

6 DR. BACH: Thank you.

7 DR. KAZEROONI: This is Ella
8 Kazerooni. I think we had I think recommended
9 one year for the major disabling strokes and
10 for hemorrhagic but not for the other
11 categories of changes in modified Rankin score,
12 and I would support doing that in parallel with
13 this measure.

14 DR. SPEIR: This is Speir, I would
15 make it a year.

16 DR. THOMAS: This is Thomas, I'd
17 recommend 90 days because I think you have a
18 lot of, between that and the 360, a lot more
19 reframing potentially of what's acceptable, and
20 I want to look at the measure, the acute aspect
21 of the measure.

22 DR. MILLER: This is Dr. Miller. I
23 agree with Dr. Thomas.

24 DR. LAHEY: Steve Lahey. I think it
25 should go out to a year, I think there's a lot

1 of valuable information that we could get at
2 one year.

3 DR. KAZEROONI: This is Ella
4 Kazerooni. Just for clarification, so in some
5 of the earlier measures when we were discussing
6 outcomes we said three months, and for another
7 subset we said three months and one year, so
8 would this supercede those measurements where
9 we only said three months for some of the other
10 variables? So we had said for decrease in mRS
11 greater than equal to two points per the
12 baseline and for mRS less than or equal to two
13 or equal to pre-stroke mRS, and we said three
14 months unless it was a hemorrhagic stroke,
15 where we said add one year. So we didn't use
16 one year for all of the other time points, I'm
17 just bringing that up.

18 DR. THOMAS: Yeah, we kind of said for
19 the severe strokes and the bleed strokes se
20 said a year, but for the fixed strokes a
21 typical time is 90 days.

22 DR. KAZEROONI: Right, so would we be
23 saying we recommend the EQ-5D at one year for
24 everybody, or stay with the same recommended
25 timeframe that we had for the other measures?

1 Is it important enough to add it for all the
2 others, for one year for all the subcategories
3 we discussed earlier?

4 DR. THOMAS: I'd suggest a 90 days,
5 because the study could end earlier that way if
6 there's only one measure at a year, and that
7 delays by nine months reporting the study.

8 DR. BREWINGTON: I'd say I intended
9 for it to be congruent with the other measure,
10 so if we said 90 for something, then I'd say
11 measure the quality of life out to 90; if it
12 was severe stroke and we went out to a year,
13 then I would say measure the quality of life
14 out to a year, because the assumption would be
15 that we were measuring a shorter period of time
16 because this was a, I guess a more immediate
17 fix.

18 DR. KAZEROONI: This is Dr. Kazerooni.
19 I agree with Dr. Brewington.

20 DR. LAHEY: This is Steve Lahey. I'm
21 not looking for congruence here, because I
22 think this is a PROMs as opposed to
23 clinician-generated data that, I mean it's
24 totally different. I'm very interested in what
25 the patient perceives as his or her health

1 status out to a year, which can change quite a
2 bit.

3 DR. STEPHENS: Allison Stephens, I'm
4 in agreement with Dr. Lahey.

5 DR. BACH: Okay, I think this
6 concludes the voting and discussion of the vote
7 section of the MEDCAC meeting, which is the
8 last formal part of panel input. We now have a
9 period where we can have an open discussion if
10 there are lingering issues or for whatever
11 reasons the questions or discussions didn't
12 touched on, other issues that are felt to be by
13 any of you of importance. So I would just say
14 that for the panelists, the floor is open.

15 DR. THOMAS: This is Thomas. I would
16 like to comment, in the 40 or so years since
17 internship in cardiology I've seen the risk of
18 in-hospital death for acute myocardial
19 infarction go from 25 percent to five percent
20 and that was done with research studies that
21 showed that as much as one percent decrease in
22 mortality, for example PPA versus
23 (unintelligible) the AUGUSTA trial if I got the
24 name right was just one percent. So I
25 encourage as we try to do similar things with

1 stroke to look for small improvements and use
2 the best statistics we can, the most granular
3 opportunities, the utility brain shift for
4 example to, you know, look for small changes,
5 because small changes end up being big changes
6 if we add them together.

7 DR. BACH: Thank you for that comment.

8 DR. SPEIR: This is Alan Speir. I
9 really appreciated being a part of this panel
10 and I've learned a lot today, I'm confused a
11 lot as well, but I've learned a lot. I do
12 think, Peter, and I appreciated you keeping us
13 on task, particularly your admonitions around
14 costs and around finances. I do feel that it
15 is in this day and age restrictive of CMS to
16 not include this in our conversations and in
17 our assessments, because particularly as we're
18 looking at new technology and the cost of new
19 technology and the impact it has, we ought to
20 have that as discussable points, so I found
21 that quite restrictive. But that's, I know you
22 wanted to admonish me for bringing it up, so
23 here's your chance.

24 DR. BACH: I certainly was not
25 admonishing you, I was rearticulating the

1 domain of statutory authority that the guide in
2 the Coverage and Analysis Group, and I think
3 the simpler answer is if you feel that way you
4 should tell your congressman and if enough of
5 us do that, then maybe things will change.

6 DR. LAHEY: I can assure you that Alan
7 Speir and I do speak to our congressmen quite a
8 bit.

9 DR. THOMAS: I think we might have a
10 legislative day coming up.

11 DR. BACH: I have to warn you, the
12 MEDCAC meeting would be longer if we also had a
13 section on costs, so it's something to think
14 about in terms of caring about your chair.

15 Are there any other topics? I want
16 to, I'm going to give Joe Chin a chance to say
17 something, but I want to thank you all for your
18 perseverance, this is much more difficult on
19 Zoom than it is to do in person, and it's, the
20 level of focus and seriousness with which
21 you've taken this task, which is at times quite
22 difficult, is deeply appreciated. I just want
23 to thank you all for your collegiality and for
24 your participation. Joe?

25 DR. CHIN: Thanks, and I would like to

1 echo that thought. It's been a long day, I
2 think it's a lot of information for us, it's
3 extremely helpful. I think as we heard
4 mentioned earlier this morning even though we
5 don't have an open coverage determination on
6 any of these types of devices, the discussions
7 and the presentations and the input are
8 extremely important to other aspects of our
9 work, including a review of similar files, and
10 also provide clarity, I believe, to providers,
11 clinicians, innovators as they really look at
12 these devices and try to develop them perhaps.
13 And also in that sense helps us, you know,
14 provide an opportunity for input, particular
15 input in an open transparent manner that you
16 see at MEDCAC.

17 I think much of the discussion during
18 the discussion of the questions did mirror
19 actually some of our internal discussions,
20 because it is really complex issues on some of
21 these aspects of the testing, so I think all
22 that discussion will be very helpful to us.

23 I would like to highlight one point
24 that I think has been raised a number of times
25 during the day and I think we are strongly

1 encouraging that as these studies are developed
2 that we do actually include unrepresented
3 populations in these trials of these devices so
4 that we actually do have a better sense of
5 what's going on. I think that's a priority for
6 CMS and I think that's really important at this
7 point.

8 I would like to, you know, thank of
9 course Dr. Bach and Dr. Ross for chairing the
10 meeting and getting us through the meeting on
11 time. I also want to acknowledge Tara Hall as
12 our primary point of contact and Michelle
13 Atkinson, the division director for the
14 division that organizes the MEDCAC, and I see
15 many of the names of our staff on the screen
16 that actually have been working very hard to
17 make sure things go well.

18 And in addition, I have to end these
19 with an award we presented this morning to
20 Dr. Steven Chu, who is really our primary, and
21 our subject matter experts who have provided a
22 lot.

23 So with that, really, thanks everyone,
24 I hope everyone has a nice evening, and I'll
25 turn it back over to Peter.

1 DR. BACH: I have no more housekeeping
2 really. Thank you all for your time. I do
3 want to also acknowledge CMS staff including
4 Tara Hall. It's probably apparent to you the
5 amount of work that goes into preparing for
6 this meeting and scheduling it and arranging
7 for speakers to present a diverse and educated
8 set of viewpoints in their data rich
9 presentations, and also there's a great deal of
10 work that will now come afterwards where all of
11 the input and comments will be incorporated
12 into CMS's thinking going forward.

13 So just thank you all again for all of
14 your time, and I'm going to call the meeting to
15 an end.

16 (Whereupon, the meeting adjourned at
17 4:18 p.m. EDT.)
18
19
20
21
22
23
24
25