

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CENTERS FOR MEDICARE AND MEDICAID SERVICES
Medicare Coverage Advisory Committee (MEDCAC)
Devices to Manage Tremor in Parkinson's Disease
and Essential Tremor
Clinical Endpoints
Virtual Subcommittee Meeting

March 26, 2025

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Attendees

Chairperson

Joseph Ross, MD, MHS

Vice-Chair

Sanket Dhruva, MD, MPH, FACC

Voting Members

Pooja Khatri, MD

Timothy Barreiro, DO

Patient Advocate

Amy Goldsmith, MA, CCC-SLP, RAC-CT, CMAC

Industry Representative

Laura Mauri, MD, MSc

1	TABLE OF CONTENTS	
2		
3	Welcome and Introduction	4
4	(Ms. Hall and Dr. Ross)	
5	MEDCAC Administrative Issues	6
6	(Dr. Farmer)	
7	Summary of Clinical Endpoints Review	10
8	(Ms. Rogstad)	
9	Clarification of CER by panel	22
10	Discussion Questions	44
11	Discussion/Refinement of Draft Voting	
12	Questions	75
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

1 SUBCOMMITTEE PROCEEDINGS

2 (The meeting was called to order at 10:00
3 a.m. EDT, Wednesday, March 26, 2025.)

4 MS. HALL: Good morning and welcome,
5 committee chairperson, vice chairperson and
6 panel members. I am Tara Hall, the MEDCAC
7 coordinator. The committee is here today to
8 discuss devices to manage tremor in Parkinson's
9 disease and essential tremor. The MEDCAC panel
10 will consider which clinical endpoints the
11 studies of devices to manage tremor in
12 Parkinson's disease and essential tremor should
13 be of interest to CMS. Assessments of new
14 medical technologies frequently have evidence
15 gaps with respect to essential health outcomes
16 that are clinically meaningful for CMS
17 beneficiaries.

18 The MEDCAC panel will consider a
19 review of the relevant clinical research and
20 professional guidance literature along with
21 expert commentary.

22 By voting on specific questions and by
23 their discussions, MEDCAC panel members will
24 advise CMS about the ideal clinical endpoints
25 to measure in research studies on this topic,

1 as well as appropriate measurement instruments
2 and adequate follow-up duration. This input
3 will help provide clarity and transparency in
4 future national coverage decisions.

5 For the record, members present for
6 today's meeting are Dr. Ross, Dr. Dhruva,
7 Ms. Goldsmith and Dr. Khatri, and Dr. Mauri.
8 We ask that everyone state their name each time
9 they speak, speak slow and concise so everyone
10 can understand. Speak directly into your
11 computer mics and do not use your speaker phone
12 to achieve the best audio quality, to assure
13 the transcriptionist accurately transcribes.
14 Please assure your devices are on mute if not
15 speaking, and please place ringers on silent.

16 This meeting is being held virtually
17 in addition to the transcriptionist. By your
18 attendance you are giving consent to the use
19 and distribution of your name, likeness and
20 voice during the meeting. You are also giving
21 consent to use and distribute any personally
22 identifiable information that you or others may
23 disclose about you during today's meeting.

24 Please do not disclose personal health
25 information.

1 In the spirit of the Federal Advisory
2 Committee Act and the Government in the
3 Sunshine Act, we ask the advisory committee
4 members take heed that their conversations
5 about the topic at hand take place in the open
6 forum of the meeting. The committee is
7 reminded to please refrain from discussing the
8 meeting topics during breaks or at lunch.

9 And now I would like to turn the
10 meeting over to Dr. Steven Farmer, our chief
11 strategy officer.

12 DR. FARMER: Good morning. Thank you
13 so much for joining us today to talk about this
14 topic.

15 I just wanted to give a little bit of
16 a background and framing for why we're
17 conducting these meetings and what role we hope
18 that they will play for both Medicare
19 beneficiaries and also many factions that hope
20 to develop evidence to support coverage of
21 items and services in the future.

22 So we have heard for years a desire
23 from the public to engage more extensively with
24 manufacturers in the premarket phase. Given
25 staffing limitations and the number of

1 companies and the early stage at which
2 manufacturers desire that input, we feel that
3 the most efficient and effective way of
4 conveying our expectations for manufacturers
5 when developing evidence for new products or
6 services is to conduct meetings like these
7 where we review the existing endpoints that
8 were used within therapy areas, and consider
9 their applicability and particular nuances that
10 may be appropriate to consider for Medicare
11 beneficiaries. What we have or are exploring
12 at this time is developing a library of
13 therapeutic areas so that the public will have
14 an opportunity to see what our expectations are
15 across a range of topics.

16 We've conducted one to date on devices
17 for treatment of type 2 diabetes, this will be
18 the second in the series, and we hope to
19 publish a number of others, as well as clinical
20 endpoint reviews, and post them publicly so
21 that manufacturers and the public can use
22 those.

23 We also believe that as we're
24 reviewing national coverage analyses that a
25 library of clinical endpoints that are

1 pertinent to particular therapeutic areas will
2 be useful to our review teams because we have a
3 reference that has been, that has gone through
4 an open and transparent process which
5 enumerates the available endpoints, their
6 clinically meaningful differences, and has at
7 least a discussion of what kinds of durability
8 assessments are appropriate in various
9 different contexts for those endpoints, because
10 we believe that will make our reviews more
11 consistent, more predictable and generally make
12 the whole process more effective.

13 So that is the background of what
14 we're hoping to achieve here. So what are we
15 going to do today? So firstly, you have had an
16 opportunity to review at this point a review of
17 endpoints for this topic that was conducted by
18 our contractor that identified all the major
19 research studies over a certain timeframe, and
20 looked at the endpoints that they used. And
21 then we researched what are any published
22 clinically meaningful differences for each of
23 those endpoints, and identified those in the
24 literature review where they are available.

25 We've also, we also are hoping at this

1 meeting to discuss, or at least have some kind
2 of back and forth about what might be an
3 appropriate duration of followup for those
4 endpoints in various different contexts during
5 this meeting. What this meeting is intended to
6 do is allow a wholesome discussion of the topic
7 that is not that often available to us in a
8 public meeting where the public has an
9 opportunity to comment, and we're deliberating
10 on specific voting question. So we're going to
11 have that broader discussion during the meeting
12 today and then all of these comments will be
13 made available to the public so that they can
14 review those ahead of the meeting if they want
15 to incorporate those into questioning.

16 But the other point of this meeting is
17 to identify the voting questions that we're
18 going to pursue in that public meeting. And
19 there's a balance when establishing the voting
20 questions between efficiency and specificity.
21 It's possible that you could vote on every
22 single individual endpoint, but there could be
23 dozens of those endpoints that you might want
24 to vote on and that could take a very long time
25 and be very tedious.

1 I believe that it may be more
2 appropriate for us to think about domains for
3 those voting questions because it's more
4 tractable in the sort of time frames that we
5 have available in a public meeting, but we can
6 also make use of the deliberation and the
7 comments in the transcript as we're reviewing
8 where to turn in the future, and that also
9 again, will be available to the public.

10 So this is just a framing of what
11 we're hoping to accomplish today and again, CMS
12 thanks you for your contribution and service to
13 the public here. Thank you so much.

14 MS. ROGSTAD: I will begin sharing my
15 screen and make the presentation.

16 MS. HALL: Teresa, could you turn on
17 your camera?

18 MS. ROGSTAD: Do you see my screen
19 now?

20 MS. HALL: Yes.

21 MS. ROGSTAD: Okay. Let me start the
22 slide show. Good morning. I will be
23 presenting a high level summary of the clinical
24 endpoint review report that is the basis for,
25 or will be the basis of the MEDCAC panel's

1 deliberations. I'll also give a summary of the
2 feedback we received from three external
3 subject matter experts.

4 So the primary objective of the CER
5 report was to identify the most common clinical
6 endpoints that are used in studies of devices
7 for the management of Parkinson's disease and
8 essential tremor, focusing on tremor and
9 related motor symptoms. Secondly, the
10 objective was to identify the instruments or
11 tools commonly used to measure those endpoints
12 and to look for definitions of clinically
13 meaningful differences for each of those
14 instruments.

15 First a little bit about the two
16 disorders. Parkinson's disease is a
17 progressive loss of dopaminergic and other
18 types of brainstem neurons leading to impaired
19 motor function. Patients exhibit slowness of
20 movement, muscle rigidity and tremor that is
21 worse during rest and during active use of the
22 muscles involved in that tremor. A number of
23 non-motor symptoms also characterize
24 Parkinson's disease.

25 First line treatment is pharmaceutical

1 with levodopa and other dopaminergic drugs.
2 These drugs promote, or rather stimulate
3 dopamine receptors in the brain. However,
4 long-term use of these drugs leads to motor
5 complications. Motor complications include
6 loss of or wearing off of the efficacy of the
7 drugs or what's called, those are called motor
8 fluctuations. The other thing that has
9 happened is the development of dyskinesia;
10 dyskinesia involves erratic involuntary
11 movements of various muscle groups. It's not a
12 symptom of Parkinson's disease itself, but
13 rather a complication of treatment. So for
14 these reasons, nonpharmacologic treatments or
15 devices have been developed to treat refractory
16 Parkinson's disease and essential tremor.

17 A little bit about essential tremor.
18 It is also progressive, the main symptom is
19 tremor, but unlike with Parkinson's disease,
20 the tremor occurs with voluntary movement. The
21 other types of motor systems and non-motor
22 symptoms are less extensive than they are with
23 Parkinson's disease.

24 So the goal or nonpharmacologic
25 treatment is to reduce motor complications in

1 Parkinson's disease and/or to provide an option
2 for treatment in essential tremor. The
3 examples of nonpharmacologic treatment that you
4 see on this slide were all represented in the
5 CER report. Deep brain stimulation was by far
6 the technology that appeared most frequently in
7 the research literature. Other technologies
8 include other types of stimulation, surgery and
9 external devices.

10 The CER methodology began with a
11 systematic search of the published literature
12 and also involved a search of the gray
13 literature. The report collected systematic
14 reviews of any device for the treatment of
15 tremor associated with Parkinson's disease or
16 essential tremor. The report also collected
17 professional guidance documents as a source of
18 clinical endpoints that would be considered
19 important for monitoring patients for these
20 disorders.

21 From 30 collected systematic reviews
22 the report abstracted all the clinical
23 endpoints for the included studies in each
24 systematic review. Then the total list was
25 subjected to a prioritization rule that was

1 based on a certain threshold of citation
2 volume. In other words, the report identified
3 endpoints as being important if they were used
4 frequently in the studies represented by the
5 collected systematic reviews.

6 Then looking at the professional
7 guidance documents, the report selected
8 endpoints that were emphasized either through
9 implication or by explicit recommendation. In
10 the ensuing analysis the focus was on the
11 endpoints that were implied or represented by
12 at least two of the five selected documents.

13 Then after the CER report was
14 completed, it was sent to three external
15 subject matter experts in academia, two
16 neurologists and one neurosurgeon. The purpose
17 of their review was to supplement the completed
18 CER with a clinical perspective and to fill in
19 some of the information gaps in that report.
20 The version of the CER report that was reviewed
21 by these experts is exactly the same version
22 that was sent to the subcommittee prior to the
23 March 10th pre-meeting. In other words, the
24 expert input has not yet been incorporated into
25 the CER report, but it is reflected in the Word

1 document that we provided that has a summary of
2 the CER.

3 So in the next several slides I will
4 be providing a condensed version of the
5 information in the tables that appear in that
6 Word document that I just referred to, the file
7 name is found on this slide, and as we move on
8 and the discussion begins, it might be helpful
9 to have that document open on your laptop or a
10 print copy close at hand.

11 So most of the clinical endpoints that
12 were deemed important by the CER report and/or
13 recommended by the expert reviewers fell into
14 the domain of clinician-assessed health
15 outcomes. These endpoints are either measured
16 by a clinician or they are assessed in the
17 context of a clinician-administered
18 questionnaire. The endpoints that are in black
19 font are the ones that are prioritized in the
20 CER report. The expert reviewers all agreed
21 that these were important, and they recommended
22 additional endpoints and measurement
23 instruments which appear in red font.

24 MCIDs were identified for most of the
25 instruments. One of the questions that was

1 posed to the expert reviewers was whether the
2 importance of endpoints would vary according to
3 device type or patient subpopulation. The
4 response was that it would not, the device type
5 would not matter but that for a couple of
6 patient subpopulations certain clinical
7 endpoints were especially important. So for
8 example, if the disease duration was greater
9 than five to seven years, then it would be
10 especially important to measure motor
11 complications. And then in older adults, it is
12 critically important to assess cognitive
13 function and motor symptoms involving gait,
14 balance and falls.

15 One of the reviewers pointed out that
16 positive function and mood can be adversely
17 affected not only by a Parkinson's disorder but
18 also by some treatments, so that particular
19 clinical endpoint also appears in the safety
20 domain on the next slide.

21 Just a little bit of information about
22 the UPDRS instrument. That stands for the
23 United Parkinson's Disease Ratings Scale. The
24 currently used version is a revision that was
25 published in 2008 by the Motor Disorders

1 Society, MDS. So this scale includes four
2 parts, each of which has been validated and
3 each of which has an associated definition of
4 minimal clinically important difference, MCID.
5 The first part deals with non-motor symptoms,
6 part two with motor-related activities of daily
7 living, part three motor symptoms attributable
8 to the disease, and part four motor
9 complications.

10 I can't remember if I explained -- I
11 did, never mind. I couldn't remember if I
12 explained what motor complications were.

13 In the patient-reported outcomes
14 domain the CER report identified
15 disease-related quality of life as an important
16 clinical endpoint, the expert reviewers agreed
17 with that, and also advised that sleep quality
18 being assessed and identified instruments for
19 that measurement.

20 In the device-related safety domain,
21 no clinical endpoints were prioritized in the
22 CER report. They just weren't frequently
23 discussed by these collected systematic
24 reviews.

25 The expert reviewers advised that

1 measuring or assessing adverse events related
2 to the devices being used would be very
3 important. One of the reviewers differentiated
4 between relatively serious adverse events that
5 can occur with a more intense form of
6 treatment, and less serious events attributable
7 to the noninvasive variables, and that would
8 involve mainly just comfort or skin issues.

9 So for essential tremor, the CER
10 report identified a handful of tremor-related
11 clinical endpoints with corresponding
12 instruments. No MCIDs were found for any of
13 these instruments. The expert reviewers did
14 not have anything to add to this information
15 other than to say that device-related safety
16 should be assessed in the same manner as that
17 for studies in Parkinson's disease devices or
18 studies.

19 So in addition to prioritizing
20 clinical endpoints that appeared in the
21 clinical research literature, the CER report
22 also looked at professional guidance documents.
23 No relevant documents were identified for
24 essential tremor but five were selected for
25 Parkinson's disease. These included four sets

1 of practice guidelines. The most comprehensive
2 was a set of guidelines produced by two
3 organizations in Europe. A fifth document
4 proposed ways of translating the data from
5 wearable sensor devices to clinically
6 meaningful endpoints.

7 Then moving to the right-hand side of
8 the slide, that bullet list should look pretty
9 familiar because it is almost the same as the
10 clinical endpoints that were considered
11 important in the CER report and/or recommended
12 by the expert reviewers. The one exception is
13 behavioral and mood changes.

14 The single and double asterisks refer
15 to labels that were applied by those broad
16 scope European guidelines. The European
17 guidelines produced a list of recommended
18 clinical endpoints slightly longer than the
19 list on the slide and labeled each one as
20 either critical, that's the single asterisk, or
21 important but not critical, that's the double
22 asterisk. And all of the endpoints that were
23 considered critical by those European
24 guidelines were also considered important by
25 the CER report or by the expert reviewers, so

1 there's just a lot of good corroboration there.

2 Another source of corroboration of
3 this list comes from a study that was cited in
4 the CER where participants in two upcoming
5 trials of Parkinson's disease treatments were
6 asked to specify what should be measured in
7 those trials, and the patient list also was the
8 same as the list you see on the slide, with the
9 exception of behavioral and mood changes.

10 As for cognitive decline or cognitive
11 function, it's my recall this was a clinical
12 endpoint that was considered important in the
13 CER and endorsed by the expert reviewers, but
14 it was only mentioned by the European
15 guidelines, it didn't come up in the other
16 practice guidelines.

17 So, the CER report was not able to
18 provide information on follow-up duration that
19 was specific to different clinical endpoints,
20 so that question was posed to the expert
21 reviewers, and their response was somewhat
22 inconsistent. One of the reviewers
23 differentiated follow-up intervals according to
24 the device that was being studied, and also
25 according to the clinical endpoint domain.

1 Applicability or generalizability to
2 the Medicare beneficiary population is of
3 course important to CMS. No systematic reviews
4 or professional guidance documents that were
5 covered in the CER report focused on older
6 adults per se. However, the mean age across
7 the systematic reviews were for the included
8 study populations was 54 to 77 years, so that
9 body of evidence was representative of an older
10 population.

11 There was also a mix of disease
12 severity across the systematic reviews.
13 However, there was insufficient information to
14 allow the assessment of applicability according
15 to demographics other than age.

16 So as I said before, please refer to
17 that Word document that includes the CER
18 summary and review questions that has more
19 detail than I presented here.

20 And I believe we are scheduled to go
21 on break now, but I will turn the meeting back
22 to Tara or Dr. Ross.

23 MS. HALL: I was going to say, do you
24 want us to take a break now or do you want to
25 just start with the discussion questions?

1 DR. ROSS: I just want to -- Terry's
2 camera wasn't on and I wanted to inquire if
3 it's necessary that we all have our cameras on
4 like we would in any typical public meeting, so
5 I just wanted to -- okay.

6 MS. ROGSTAD: My apologies. I just
7 noticed that myself.

8 DR. ROSS: Okay. Before we go to the
9 discussion questions, I wonder if anyone on the
10 committee, and I'm just going to introduce
11 myself briefly, and the first time that you
12 speak, please introduce yourself so everyone
13 knows who everyone it.

14 My name's Joe Ross, a general
15 internist at Yale School of Medicine, School of
16 Public Health, and I'm also the chair of
17 MEDCAC.

18 But I wanted to see if people have
19 questions for Terry on the, just on the review
20 before we go into the sort of specific
21 question, on some of the material she
22 presented.

23 And I do have one, Terry, which is
24 that I think because I'm a general internist
25 and a little bit less familiar with these, I

1 notice that these are bucketed as clinician
2 assessed, the vast majority of the endpoints,
3 and there's only a couple that were patient
4 reported. But I was wondering if you knew
5 among the clinician assessed, if they do seem
6 often that they're scaled where the clinician
7 is asking the patient for responses. So
8 there's sort of a blurry line between whether
9 they're patient reported versus what I think of
10 as a clinician-assessed outcome, in that
11 they're making the determination without the
12 patient's input, and I'm just curious if that
13 came up at all in the reviews?

14 MS. ROGSTAD: That's exactly right.
15 There are questions on those scales that were
16 in that clinician-assessed bucket that can only
17 be answered by the patients. Some of them were
18 just flat out patient reported, and in other
19 cases the instructions in the scale is such
20 that a clinician to kind of make an assessment,
21 taking the patient responses into account but
22 making their own judgments as well. It's
23 really a mixture.

24 DR. ROSS: Okay. To me that just
25 helps us get a sense of what's the direct

1 measurement of the patient's experience in some
2 of these functions.

3 Other questions, and for
4 clarification, these are questions around the
5 CER review?

6 DR. BARREIRO: Yeah, this is Tim
7 Barreiro. One of the questions that I had is
8 when I was reviewing some of them, they didn't
9 put any timeframe on any, like how long does it
10 take each one of these, and the practicality.
11 I know that it's, you know, I'm a
12 pulmonologist, so a six-minute walk assessment
13 is pretty simple to do and that's why the
14 utility of it is so helpful, and then the
15 literature supports it.

16 Some of these were interesting at
17 best. So did they put any consideration into
18 the laborious nature of it or the practicality
19 from a time and resource-related aspect of
20 people seeing multiple people in the office on
21 a regular basis?

22 MS. ROGSTAD: Not that I noticed
23 either in the report or in any of the
24 supporting literature that I looked at. Keep
25 in mind that what we're thinking about are

1 clinical endpoints to be measured in a clinical
2 study, not necessarily to be measured in every
3 patient encounter, you know, in clinical
4 medicine. But yeah, if you went through the
5 whole UPDRS, it would take a long time I would
6 think.

7 DR. MAURI: This is Laura Mauri. I
8 think that clarification is really helpful
9 since our intention here is to talk about
10 endpoints in clinical studies rather than
11 clinical practice guidelines per se.

12 I had two additional questions. One
13 was to clarify that the source documents that
14 were included in the review, while they started
15 at a certain time point, I think it was 2014 --

16 MS. ROGSTAD: 2018.

17 DR. MAURI: 2018, sorry. But they
18 referred to trials that occurred earlier than
19 that. The reviews occurred at that time but
20 they referred to trials that occurred earlier
21 than that; is that correct?

22 MS. ROGSTAD: Absolutely, yes.

23 DR. MAURI: That's helpful, because
24 there's a large body of randomized trials
25 published in high profile journals that goes

1 back at least a decade or two before that, so
2 that's very helpful to clarify.

3 There was one other comment that you
4 made in summarizing the reviews from, that
5 happened by the physicians after the CER was
6 prepared, and I noticed there was a question
7 about what if there was a variation of
8 endpoints according to device type. And I saw
9 that the responses came up, or conclusions came
10 up in multiple different categories and maybe
11 not all pooled into one direct question on that
12 topic. And so for example, the response from,
13 I think it was Dr. Samachano (phonetic) on the
14 safety endpoint pertained to both efficacy and
15 safety, and thinking about the range of these
16 devices have different expected effectiveness
17 outcomes as well as potentially different
18 safety related outcomes, as well as the
19 question of, you know, which outcomes are truly
20 safety versus efficacy.

21 I think it might be important to call
22 out some of that and maybe we will more so in
23 our discussion, so I just wanted to point out
24 that the conclusion that I drew in looking at
25 the summary of the reviews was not that there

1 was no variation across the various devices
2 aside from the age variation that you
3 mentioned, but rather that there might be
4 different mechanisms that applied for both
5 safety and efficacy. Does that fit with what
6 you, how you interpret the overall answers to
7 the questions that the clinicians provided?

8 MS. ROGSTAD: I guess I'm not sure
9 when you mean about differences in mechanism by
10 device.

11 DR. MAURI: Like for example,
12 Dr. Samachano talks about you might have a
13 sensor that's placed on the wrist that you want
14 to measure, and is there any difficulty with
15 the wrist and any potential discomfort or
16 complications related to the wrist, whereas if
17 you have an eclantic (phonetic) product that
18 goes into the brain or if there's an ablation
19 or a stimulation in the brain by other
20 mechanisms, then we need to measure the
21 difference in outcomes (inaudible, static)

22 MS. ROGSTAD: Right. Yeah. There was
23 some explicit feedback on the differences in
24 the kinds of safety outcomes that could occur
25 from different devices. And obviously the

1 example that you just pointed out, you know,
2 robotic gait training or gait split is not
3 going to lead to a difference in time probably.
4 That just didn't come out explicitly in the
5 reviewers' responses, at least from what I
6 received.

7 DR. ROSS: Sanket, I see that your
8 hand is up, so I appreciate that you're using
9 that, but Tim, you put into the chat that you
10 had a question about the a point Laura made.
11 Just for everyone to know, we should say
12 everything out loud, we shouldn't be using the
13 chat for communications. But Tim, if you want
14 to follow up on a point that Laura made, why
15 don't you go first, and then Sanket, we'll go
16 to you.

17 DR. BARREIRO: Yeah, thank you. I was
18 just reading the initial thing and that's why I
19 wanted clarification on it. So it says that
20 well-developed CMS developed guidance on
21 important clinical endpoints and studies of
22 devices for the management of tremors in
23 patients. Are we based on -- my question then,
24 are we just looking at the safety of the device
25 and what should we be approving, or if the

1 device doesn't have a clinical presence, I
2 don't know if I can separate the two. So I
3 just, and I apologize but I'm just looking for
4 clarity, because there's lot of devices of
5 which, if you're just looking for safety, they
6 can get by, but they actually have no clinical
7 endpoints to say they're improving the patient.

8 DR. ROSS: I think -- oh, Terry, do
9 you want to answer the question?

10 MS. ROGSTAD: Well, the intent was to
11 capture clinical endpoints that relate to both
12 efficacy and safety, and that's why they were,
13 you know, they were categorized according to
14 those different domains.

15 DR. BARREIRO: Okay. I don't know if
16 I'm more confused or less, but nonetheless,
17 I'll just follow.

18 MS. ROGSTAD: Well, you know, all of
19 this is to support future national coverage
20 determinations, and those of course are based
21 on both efficacy and safety.

22 DR. BARREIRO: Okay, thank you.

23 DR. ROSS: Tim, if I could help
24 perhaps, I think Steve's directive to our group
25 is to help identify the endpoints that are most

1 important that should be evaluated as part of
2 clinical studies for treatment of these
3 conditions, for medical devices treating this
4 condition. So then we can be sort of signaling
5 kind of, what are the most important types of
6 information that sponsors should be generating
7 in order to bring this sort of to CMS as part
8 of our coverage determinations.

9 DR. BARREIRO: That was helpful, thank
10 you.

11 DR. ROSS: Sanket, your hand had gone
12 up.

13 DR. DHRUVA: Thanks, Sanket Dhruva,
14 UCSF cardiology. One of the questions that I
15 had is a little bit more methodologic, Terry,
16 is the CER goes up to August of 2023 and is
17 prioritizing citations. And we know that it
18 takes time for citations to accrue, that is, a
19 paper that was published in early 2023 is
20 likely to be cited only a couple of times,
21 whereas one in 2013 or 2018 is going to have a
22 much higher rate of citations.

23 I'm wondering if -- I realize that the
24 systematic review seemed very robust, but I'm
25 wondering if that's something that we need to

1 grapple with, because there are other ways to
2 kind of get at that, you know, citations per
3 year or something to that effect. And I guess,
4 although coming in it seems pretty
5 comprehensive, we may be biasing towards older
6 instruments that have been around for some
7 time, and I'm just wondering your thoughts on
8 that, if there might be any considerations that
9 we should have as we think about that.

10 MS. ROGSTAD: That's a good point. I
11 suppose we could do a couple different things.
12 One is to request, when we start these reviews,
13 is request some sort of analysis of a trend
14 over time, you know, for clinical endpoints
15 studied a lot in the past and not recently.
16 The other thing maybe, although this is a
17 resource question, is whether it's worth doing
18 a quick update search, you know, right when we
19 get ready to post something or present it to
20 the MEDCAC panel.

21 DR. FARMER: Just one additional point
22 of clarification. While CMS might review
23 endpoints that are pertinent for particular
24 therapeutic areas and publish those and speak
25 about the various domains, but there's no

1 requirement that you must submit any or all of
2 those. If you have a relevant alternative
3 measure that you would like to submit, you
4 certainly can do that and those will be
5 reviewed on their own merits.

6 What we're trying to do is say this is
7 what we've identified in the literature as most
8 important endpoints, and have some discussion
9 of relevancy specific to the Medicare
10 beneficiary population.

11 DR. DHURVA: Got it, thanks. So Steve
12 Farmer, do I understand correctly that what we
13 are working on here as a MEDCAC is to get some
14 general guidance, here is what we're finding,
15 but by no means is that exclusive. If a device
16 manufacturer is developing a device, they do
17 not necessarily need to do one of these. This
18 is a vetted list at the end of the day, but not
19 necessarily a comprehensive or exclusive list.

20 DR. FARMER: That is correct.

21 DR. DHURVA: Thanks.

22 DR. ROSS: Terry, I was going to ask
23 you, I was trying to pull up the -- we'll get
24 into the sort of specific questions we've been
25 asked to I think pretty soon, but I just wanted

1 to ask one other question, which is, I notice
2 that this evidence review was focused on the
3 endpoints that were identified in clinical
4 studies of devices and I wondered, again more
5 out of my lack of expertise, how common would
6 these endpoints be if we were looking at
7 pharmaceutical therapies? As in, I know the
8 safety endpoints would likely be quite
9 different for a procedure versus, you know,
10 medication taken, but in terms of the
11 effectiveness of outcomes, both clinically
12 assessed and patient reported, would there be a
13 substantial amount of overlap or would there be
14 different endpoints that might have been
15 identified?

16 You may not actually know this because
17 that wasn't what the review was.

18 MS. ROGSTAD: Well, the professional
19 guidelines were introduced to that question
20 indirectly, because they were not necessarily
21 restricted to recommendations regarding device
22 treatments. So they included, you know, some
23 of them included pharmaceutical treatments as
24 well, and there didn't seem to be much
25 differentiation, much difference.

1 DR. ROSS: Okay, that's helpful.

2 MS. ROGSTAD: Of course, well, one
3 difference would be motor complications which
4 are, you know, an adverse event of the drugs,
5 but then with, if you're looking at a device,
6 you would want to see if those diminish.

7 DR. ROSS: Right, like the motor
8 fluctuation.

9 MS. ROGSTAD: Right.

10 DR. ROSS: Any other clarifying
11 questions before we go into the discussion of
12 the questions that have been posed to the
13 group? Yeah, Laura?

14 DR. MAURI: I apologize, I'm not --
15 maybe I can figure it out at a break, but I'm
16 not using the hand.

17 DR. ROSS: It's okay, it's a small
18 group. We can manage.

19 DR. MAURI: Well, this relates to a
20 couple of the questions that you asked, Joe. I
21 just wanted to follow up and it relates to, and
22 maybe we can discuss more but I'm interested to
23 hear, Teresa, your thoughts around this, and
24 it's the balance between clinician-reported and
25 patient-reported outcomes, and understanding

1 their attendant discrepancies.

2 So it's a little different than Joe's
3 original question about what's assessed by the
4 clinician, but rather for example, I know that
5 one of the endpoints that's been used in
6 studies in randomized trials is the patient
7 motor diary to look for the on time dyskinesia
8 as an outcome for DBS is one example that is
9 patient reported. And at the same time it
10 reflects the perception of the patient and it
11 seems that it could cross over into device
12 studies.

13 Two question about that I suppose.
14 One is that that's not included in the list
15 that was circulated, so I wonder if that's one
16 that we should look into because it has been
17 used in randomized trials.

18 But the second part of it is getting
19 the thoughts around how you weigh
20 clinician-reported versus patient-reported
21 outcomes in this space as we go into our
22 discussion.

23 MS. ROGSTAD: I'll have to double
24 check to make sure, but I think that those
25 European guidelines that I talked about, they

1 specifically recommend a patient diary of motor
2 fluctuations. I'll look that up and provide
3 the information, or I'll look it up during the
4 break.

5 And then as far as the relative
6 importance between clinician and
7 patient-reported outcomes, that just, that was
8 beyond the scope of the report.

9 DR. ROSS: Laura, if I can jump in,
10 this is Joe again. To absolve Terry of having
11 to answer that question, actually part of what
12 we're going to be asked to do is vote on that
13 when it comes time at the next meeting.
14 So we will be sort of looking at blocks of
15 endpoints by domain, and I haven't looked
16 forward at the way the voting questions were
17 asked, but in the last time it was, you know,
18 among the surrogate markers, how important were
19 they, which were the ones that were the most
20 important among the clinician assessed, which
21 were most of them. So we will be giving
22 feedback to CMS on our perception of the
23 relative importance of a patient-reported
24 outcome versus a clinician assessment endpoint.

25 DR. MAURI: Okay, thank you.

1 DR. ROSS: Pooja.

2 DR. KHATRI: Hi. Pooja Khatri, Yale
3 neurologist. So I just wanted to clarify one
4 thing that I'm trying to understand, that the
5 goal here is coverage decisions. So for
6 example, we might have device trials that are
7 trying to prove a concept, in which case to
8 Dr. Mauri's point, that might be something
9 that, where we want to measure the impairment
10 that's being assessed as opposed to, you know,
11 more of a patient-centered outcome that's, you
12 know, quality of life being more heavily
13 weighed. So I just wanted to make sure for my
14 own framing that I understood that overall our
15 goal here is to talk about endpoints for its
16 clinical use in sort of the development
17 pipeline necessarily.

18 MS. ROGSTAD: Actually, I'll let Steve
19 just comment on this as well, but I think we
20 are talking about clinical endpoints that would
21 be measured in evidence development, because
22 the audience for this information is
23 manufacturers and sponsors of clinical trials
24 that would then serve as the evidence base for
25 a coverage determination.

1 DR. FARMER: So just to comment on
2 that, there's an arc of development of both
3 drugs and devices where during the early state
4 of development there may be a greater emphasis
5 on surrogate endpoints because they may have
6 greater change, might have allowed for smaller
7 numbers, shorter followup. But if you rely
8 solely on those surrogate endpoints with short
9 durations of followup, historically CMS has
10 found gaps in the evidence base to find the
11 authorization relative to our assessment as
12 reasonable and necessary, which is our legal
13 obligation to assess when making coverage
14 determinations.

15 So what we're trying to do here is to
16 express the type of endpoints or RNN that would
17 be relevant. Again for manufacturers, if
18 you're thinking about the fertile public life
19 cycle in your development effort, you might
20 want to commend on both short-term surrogate
21 endpoints of developmental endpoints that are
22 emphasizing your FDA review process, but also
23 be thinking longer term as well at the same
24 time in building in those clinical endpoints
25 that demonstrate improved health outcomes so

1 that your full fertile public lifestyle
2 development is more efficient.

3 DR. ROSS: Tim?

4 DR. BARREIRO: Yes, thank you,
5 although Steve, maybe it's just me, I'm having
6 a hard time hearing you. I don't know if
7 that's your soft voice or just my bad computer,
8 but nevertheless, I think I got the gist.

9 My question, then, is around these
10 last comments. None of these papers told us
11 how much the device costs, and is that
12 something that we are considering in this
13 evaluation, or just looking at it could cost
14 whatever at a minimal output or clinical
15 benefit. Because none of this information has
16 provided the cost of the device in and of
17 itself, and I'm sure that has to weigh into a
18 coverage benefit plan.

19 DR. ROSS: No, no, we're actually
20 completely device agnostic here. We're only
21 talking about the endpoints. So whether,
22 whatever this is, we don't know which devices
23 may come to CMS for purposes of a coverage
24 decision that are being used to treat
25 Parkinson's disease or essential tremor, so

1 we're just focused on the endpoints.

2 DR. BARREIRO: Okay.

3 MS. ROGSTAD: And also, CMS is
4 prohibited by statute in considering costs in a
5 coverage decision, so we don't capture any kind
6 of, in the CERs, we don't capture any kind of
7 cost measurements.

8 DR. ROSS: Sanket?

9 DR. DHRUVA: Sanket Dhruva, UCSF. I
10 just wanted to emphasize, when I was reading
11 for example the U -- I'm forgetting the title
12 now -- the UPDRS this morning, so I just wanted
13 to emphasize, just getting to Joe's initial
14 point, a lot of the domains, although this is
15 clinician assessed, a lot of the domains say
16 for the clinician to ask the patient how often
17 in the past week have you had X, Y, Z,
18 hallucinations, non-motor symptoms, et cetera.
19 So I do think that for the clinician or the
20 observer, I don't know, the researcher I
21 suppose when conducting the research studies is
22 engaging directly with the patient, so I do
23 think that the line is a little bit more
24 blurred here where we are asking, instead of
25 having the patient directly check off a box or

1 put a number into a, into a survey for a PRO,
2 that they are telling a clinician, but the
3 lines are a bit blurred, as opposed to a
4 clinician necessarily assessing.

5 But there are some domains where the
6 clinician is actually examining the patient and
7 looking at their tremor, looking at the
8 rigidity, so it's kind of a hybrid assessment
9 tool but one that is, that has some clinician
10 and some that I would argue are a bit more
11 patient centered, but obviously that can lead
12 to potential bias, what does the patient tell
13 the observer or the researcher, and would that
14 be different than if the patient was checking
15 of a box himself.

16 I just wanted to make that point of
17 clarification.

18 MS. ROGSTAD: Yeah, and maybe when I
19 present the CER to the panel, I will make that
20 point.

21 DR. ROSS: Yeah, I do think actually
22 that could be useful, if there are just, to
23 even identify which measures are hybrid and
24 which measures are solely clinician assessed.

25 MS. ROGSTAD: Okay.

1 DR. ROSS: Pooja, I don't know if you
2 feel that you could speak to this as a
3 neurologist, in terms of have you used these
4 terms in the past, I don't know. It would
5 probably help all of us who are here about your
6 experience, you know, working with these
7 measurement tools.

8 DR. KHATRI: You know, offhand, I am a
9 stroke specialist so I remember them, you know,
10 in residency, and I actually was involved in
11 one study where I used the UPDRS maybe 20 years
12 ago, so I'm not that much of an expert. I
13 think the experts there are better, but I am
14 familiar enough with them to just speak to sort
15 of their reputation and base validity.

16 DR. ROSS: Okay. Laura, were you
17 going to say something?

18 DR. MAURI: Yes. I was going to ask
19 about the MCID topic, because I recognize that
20 the MCID was not able to be ascertained from
21 the systematic reviews, but then there are
22 specific references if you search for the MCID
23 of the different scales. And I was wondering
24 about how that could be incorporated for the
25 panel discussion.

1 MS. ROGSTAD: We did include a
2 suggestion or example of a voting question on
3 that issue, I think. That's the sort of thing
4 where discussion is particularly helpful.
5 Where there are no MCIDs, the expertise that
6 panelists have to offer on that issue is
7 helpful to CMS. I'm not sure what you're
8 asking for exactly.

9 DR. MAURI: I guess my question is for
10 example, if you look in the published
11 literature aside from the systematic review you
12 can find, for example there's a paper that
13 recommends an MCID of five, and it could be
14 something that's useful to provide to the panel
15 for discussion, so they come in with that
16 information.

17 MS. ROGSTAD: Oh, that was done. The
18 producers of the CER report did conduct focused
19 searches looking specifically for MCIDs, and in
20 those slides and also in the document that
21 summarizes the CER in the tables, there's an
22 asterisk next to the instruments for which an
23 MCID has been identified.

24 DR. MAURI: Okay, great. Thank you.

25 MS. ROGSTAD: There's also in the

1 appendix of the full report, there's a table
2 devoted to that information. Sorry I didn't
3 make that clear.

4 DR. ROSS: That's okay. It looks like
5 for people who want to pull it up, it's in
6 Table C.1 in the appendix. Does that sound
7 right?

8 MS. ROGSTAD: Yes, it does.

9 DR. ROSS: I'm just waiting for a file
10 to open.

11 MS. ROGSTAD: And in your summary
12 document, I guess I just incorporated it into,
13 not the numerical information, but I
14 incorporated it into the availability of an
15 MCID. No, I think you just need to go to
16 Appendix C.1 in the full CER.

17 DR. MAURI: Yeah, I see it now. I
18 apologize, Teresa.

19 DR. ROSS: Provided there aren't any
20 other clarifying questions, there's a list of
21 six or so discussion questions for us just to
22 go through. If people are okay, maybe we could
23 keep going and not have a break, and then break
24 for lunch maybe a little earlier if that works
25 for people.

1 But the first discussion question, and
2 we may not have much to say about any of these,
3 but the point is to elicit any discussion if
4 people want to talk about it, is did the
5 clinical evidence review capture the
6 appropriate literature. And so in our comments
7 already around clarification we talked about
8 the pharmaceutical intervention literature and
9 the different sort of dates in terms of how far
10 back it captures.

11 But is there any, do people feel like
12 this was the appropriate literature to search
13 for this question, and is there other
14 literature that you would have wanted to have
15 seen included?

16 DR. KHATRI: I found this to be quite
17 comprehensive.

18 DR. DHRUVA: I would agree, and I
19 think I felt reassured when looking through the
20 two neurologists and the one neurosurgeon
21 reviews as well, that they didn't seem to
22 identify much more. I realize there were a few
23 additions and Terry has thoughtfully included
24 those, but there didn't seem to be any big
25 misses.

1 DR. ROSS: Well, this is Joe. I guess
2 I did want to follow up with Terry on that. I
3 did think it was kind of interesting there were
4 a lot of what I perceived as a number of
5 additions, and I think that had to do with the
6 approach in the evidence review to have a
7 priority quantification, so essentially the
8 original one just had the ones that were cited
9 for reviews at the very most, whereas the
10 experts then said well yeah, those are probably
11 what was reviewed the most, but these other
12 ones are also important, and have since been
13 incorporated.

14 But I never got the sense that those
15 endpoints that were put forward by the experts
16 weren't in the reviews, it's just that they
17 weren't, they didn't like rise to the top, and
18 I just wanted to clarify that that's right.

19 MS. ROGSTAD: That is correct, and in
20 some instances like with -- well, I guess I
21 only see one example. The reviewers
22 recommended that speech function and swallowing
23 be assessed and that is, you know, that's
24 actually one item within the non-motor symptom
25 part of the PDRS, so it's a little bit

1 duplicative of something that's already been
2 mentioned.

3 DR. ROSS: Okay.

4 MS. ROGSTAD: But yeah, everything
5 that was recommended by the expert reviewers
6 did show up in the systematic reviews, it may
7 not have shown up as frequently in order to
8 meet that prioritization threshold.

9 DR. ROSS: That's fine, thank you.

10 DR. MAURI: I agree that the combined
11 prepared review with the comments from the
12 reviewers was very comprehensive.

13 DR. ROSS: This is Joe. So the second
14 question that follows from that is between the
15 clinical evidence review and the external
16 expert reviewers, do we all believe that the
17 most important outcome domains have been
18 identified and that the most important clinical
19 endpoints have been identified? And some of us
20 will have more expertise to speak to other
21 endpoints that may not have been identified,
22 but I'm curious if people, if there was
23 anything that people thought was missing in
24 terms of outcome domains or clinical endpoints.

25 DR. KHATRI: I'll just add as a

1 neurologist taking care of patients, I really
2 did think it was quite appropriate and
3 inclusive. I was glad to see the added
4 comments about dysphasia for example, trouble
5 swallowing and things like that. You know,
6 caring for patients like that, I can't think of
7 any measure that rises to the top that was
8 missed.

9 DR. MAURI: This is Laura Mauri again.
10 I made the comment and Teresa addressed it
11 about the patient motor diary, that that could
12 help complement, to call out the patient
13 perception and reporting of their motor
14 symptoms. And I recognize that it's already
15 included and available for comment of the
16 panel, and if it's raised up perhaps, it might
17 be helpful.

18 DR. KHATRI: You know, if I could just
19 add, Laura, Dr. Mauri, that I couldn't agree
20 with you more. I'm glad you brought that up, I
21 should have probably mentioned that in my first
22 comment. I think that, you know, these
23 patients go through so many changes, and then
24 on top of that they're slow and they have
25 cognitive delay in many cases, and so something

1 like a diary can really help get a grip on
2 these patients and how they're will feeling,
3 how they're really doing.

4 MS. GOLDSMITH: Hi, this is Amy
5 Goldsmith, and I thought, I agree with
6 everybody's comment. I think it was very
7 comprehensive and I think, my one question, and
8 I apologize if this was addressed somewhere and
9 I missed it, but how was it identified what was
10 sort of a priority one star and just a
11 mentionable two star? Was that based on, you
12 know, I guess I would like to see if that was
13 really from the patient's perspective, that
14 what they find most important versus what was
15 identified in the study, I was just curious
16 about that.

17 MS. ROGSTAD: I assume you're
18 referring to the critical versus important but
19 not critical designation. That was in one set
20 of practice guidelines produced in Europe, and
21 I believe that was simply our expert consensus,
22 not empirically divided.

23 MS. GOLDSMITH: Right, okay. I think
24 that's just really important to get that.

25 MS. ROGSTAD: Right, but to that point

1 as I mentioned, there was this one study cited
2 in the CER where they polled prospective trial
3 participants on what they think should be
4 included in the upcoming trial of Parkinson's
5 treatment, and their list was very similar to
6 what the experts had recommended, you know,
7 without differentiating between critical and
8 important.

9 MS. GOLDSMITH: And I also echo the
10 patient diary being so critical. I think
11 that's a very good point, especially when
12 tracking as the disease progresses. And
13 lumping in speech and swallowing, as a speech
14 pathologist I just think that's such a critical
15 piece with patients' quality of life, and such
16 a huge piece and such a driver for so many
17 patients, that I'd like to see that rise to the
18 top, and what Dr. Khatri was saying, I agree
19 with.

20 DR. ROSS: This is Joe. I also though
21 it was quite comprehensive. There were two
22 questions about endpoints that I expected to
23 see that I didn't. One I think is with sort of
24 just the traditional like ADL, IADL measures.
25 It seems though like there's just very motor

1 specific ADL measures instead, as opposed to
2 sort of the broader ADLs, IADLs, and I wondered
3 whether, you know, as disease progresses and
4 symptoms are more severe, whether those sort of
5 less specific but kind of broader measures
6 might also have been used or reported on, if
7 those were discussed.

8 And then the second is, I didn't see
9 any measures around caregiver or caregiver
10 burden, and we know that, you know, that
11 sometimes these patients with more progressive
12 disease and cognitive dysfunction is part of
13 that, and I didn't know if those came up at all
14 in any of the reviews, so I just wanted to
15 raise that and ask about it.

16 MS. ROGSTAD: Yeah. I don't believe
17 that the traditional ADL categories came up,
18 I'll double check on that. And I considered
19 not using that term, you know, replacing it in
20 the CER in my summary of it, because that's
21 where peoples' thoughts go to first, but
22 motor-related ADL is the phrase that's used in
23 that section of the UPDRS.

24 As for caregiver burden I think, and
25 I'll check on this too, I think there are some

1 items related to that in the UPDRS. I'll check
2 on that during the break.

3 DR. ROSS: Thank you.

4 DR. DHRUVA: I'll just jump in to
5 mention, one of the challenges I think is
6 safety, and I don't think that there is a good
7 solution, but I was wondering if we could
8 grapple with it for a couple of minutes, in
9 that a noninvasive device, as was clear, a
10 noninvasive device would have different risks
11 than an invasive device. A permanently
12 implanted device is going to have different
13 risks than an ablation, the procedure itself is
14 going to have different risks. And so it seems
15 to me that the adverse events, and that came
16 out in the expert reviewers, I'm forgetting,
17 one of the three reviewers had mentioned this
18 as well, but obviously it's intuitive to all of
19 us.

20 So it seems to me that the safety
21 endpoints, the direct adverse events associated
22 with potentially a procedure or device are
23 going to necessarily be different across the
24 devices, and for those devices that may be
25 developed that we don't know, you know,

1 whatever's in development that we're not aware
2 of yet, I don't think there is a right answer,
3 but it seems to me that this is one area that's
4 going to require a lot of heterogeneity and, I
5 don't know if flexible is the right term, but
6 looking at what we think could actually be a
7 device-related adverse event.

8 MS. ROGSTAD: Yeah. There was a
9 little more detail on that provided by the
10 reviewer than what I showed in the slide, so if
11 you look at Table A.1 in the summary document,
12 it is a little more detailed there about the
13 adverse events that are attributable to
14 different kinds of devices, but some of them
15 are common across all of them.

16 DR. ROSS: The next question that was
17 posed to us for discussion is, are there any
18 key differences in the clinical endpoints that
19 are appropriate for different patient
20 subpopulations and different kinds of devices?

21 And I guess, Sanket, your point gets
22 to this, which is that the safety-related
23 outcomes may differ by device type, but they
24 may also differ by subpopulation. And so I'm
25 also curious of peoples' thoughts around the

1 sort of efficacy or effectiveness endpoints, if
2 those differ and you know, if they should be
3 considered differently for different
4 subpopulations or different types of devices.
5 Sanket?

6 DR. DHRUVA: I thought it was really
7 helpful to hear from one of the reviewers about
8 the importance of thinking about older adults
9 and cognition, as well as mobility and the
10 effect on gait and the effect on falls. I
11 think to me, that is something that is
12 critically important, especially when thinking
13 about the Medicare population compared to
14 younger adults. I think similarly with regards
15 to safety, the risks and benefits may differ in
16 an older adult of a given procedure than a
17 younger person, and the effect of all time
18 morbidity.

19 But definitely, I think that cognition
20 and gait, falls, there can be so many different
21 negative ramifications in terms of a spiraling
22 lifecycle if an older adult has a bad fall or
23 has worsening cognition of course.

24 DR. MAURI: Laura Mauri. I think it
25 was really helpful content to make us think

1 about the preparation for the discussion that
2 will happen with the panel. It could be
3 helpful to segment by population, and I know
4 some of the factors there were duration, by
5 disease state, and then by device type, and to
6 segment the endpoints in the discussion along
7 those lines. That's why I think having that
8 critical clinician discussion about expected
9 benefits and risks and how they vary across
10 these different factors could be really helpful
11 in maybe preparing for that discussion with
12 segmenting information, and that would be
13 helpful for the review.

14 MS. ROGSTAD: There might be
15 information in the handful of systematic
16 reviews that said anything about adverse
17 events. I can look at those and see if
18 there's, you know, something that helps
19 differentiate what to expect from different
20 devices.

21 DR. ROSS: Other comments or
22 reflections on this one? Well then, the next
23 discussion question is, have the most important
24 measurement instruments been identified? It's
25 hard to think that beyond the review and then

1 the experts, that there weren't other
2 instruments, but -- oh, Tim.

3 DR. BARREIRO: While there is
4 palliative care issues, you know, and end of
5 life issues included in some of these long
6 questionnaires, some aren't just specific for
7 that aspect, essentially going back to the
8 comments about the older population, so I don't
9 know if that was something that the group
10 should consider.

11 And then the second part is that under
12 the sleep quality, I notice that if that was
13 the Epworth or the Berlin questionnaire were
14 not included in some of the references, which
15 are considered -- I mean, CMS demands it for
16 sleep apnea, I'm not sure why they wouldn't
17 demand it for X, Y and Z. So those are the two
18 that stood out.

19 MS. ROGSTAD: Did you say Epworth and
20 Berlin instruments?

21 DR. BARREIRO: Yes.

22 MS. ROGSTAD: Are those general or
23 specific to sleep apnea?

24 DR. BARREIRO: They are specific to
25 sleep disorder or sleepiness.

1 MS. ROGSTAD: Okay.

2 DR. BARREIRO: I do know that the
3 Epworth is proprietary, or I'm not a hundred
4 percent sure on that or who uses it.

5 And then you know, in the hospital a
6 lot of people use the STOP-Bang questionnaire
7 but that's, you know, I didn't want to get into
8 that because I deal with people in the ICU that
9 deal with these devices when they're going, and
10 again, I think we should be looking at what can
11 we do to improve people's life, and not what to
12 do after the fact, and what to do if someone's
13 in a crisis, so it's a little bit different, my
14 thought process in trying to bring that into
15 the counsel.

16 DR. ROSS: Tim, do you know, like the
17 Epworth score or the Berlin score, how
18 different they are from the PDSS, are they just
19 broadly similar or --

20 DR. BARREIRO: The Berlin is very
21 laborious and very detailed with a high
22 sensitivity. The Epworth is just sleepiness,
23 with a very poor correlation to sleep, but is
24 demanded by CMS to get someone into a sleep
25 study, which is very interesting to me. The

1 STOP-Bang is a very highly utilized tool to
2 determine high risk for operative surgeries for
3 people in the hospital, to determine whether or
4 not they're missing a sleep disorder that may
5 be a long-term quality issue or keep them in
6 the hospital for a lengthy stay. So they are
7 different across the board again, but looking
8 at, again, to this whole question that we
9 brought back, how do we judge these, who's
10 doing them, all of these things in between
11 there.

12 Simply most of them are, on, the
13 Berlin the patient does, the other ones we ask
14 them, but they can do them themselves.

15 DR. ROSS: Pooja?

16 DR. KHATRI: Yes, Pooja. It's
17 interesting thinking about what you said in
18 terms of the sleep measurements, Timothy, and
19 I -- and so daytime sleepiness is of course a
20 big part of Parkinson's, but then REM behavior
21 disorder, we would not necessarily capture that
22 with the Epworth for example. I mean, it could
23 affect a little bit the quality of sleep, but
24 it's more of a quality of life issue at night,
25 and a safety issue. So I guess it's partly

1 captured by quality of life, but I just wanted
2 to kind of share that thinking out loud, that
3 and restless leg syndrome being a big component
4 of Parkinson's disease.

5 Maybe I'll just add a related
6 comment/question to our sleep specialists which
7 is, this is something I don't know the answer
8 to, but would we expect devices that target
9 tremor to have any impact on things like
10 REM-sleep behavior disorder, restless leg
11 syndrome?

12 DR. BARREIRO: I do think that there's
13 a possibility depending on the type of the
14 device that it may mask it to some degree, or
15 reduce it, but I think in general that because
16 it's central in nature it would be easy to pick
17 up on a full study. I'm just trying to follow
18 in order, sorry.

19 The other thing that, as a
20 pulmonologist, is that they deal with a lot of
21 respiratory failure, so things that again, were
22 a little bit interesting, spirometry, again
23 depending on how the device motor functions
24 before its expiratory forces are at vital
25 capacity and declining function, and whether

1 people are acutely declining are important to a
2 pulmonary plan and that may also be something
3 to look at as part of that.

4 But again, I'm looking at that
5 specifically as a subspecialist device, not for
6 the general function, but we do know that the
7 majority of them end up with pulmonary issues
8 and mainly from aspiration or otherwise. So
9 these are things that stood out to me. I see
10 patients with advanced Parkinson's too late in
11 their course to get them into the sleep lab and
12 to get a functional assessment, and these are
13 the things that stood out to me. Thanks.

14 DR. ROSS: Amy, do you have any
15 comment on the swallow measures that were used
16 or the instruments, or do they generally
17 capture what you would have expected?

18 MS. GOLDSMITH: Yes, I didn't see
19 anything that sticks out. You know, of course
20 just from my own vantage point I just focus on
21 that and how important this is, how important
22 all of these pieces are, but yeah. And then
23 you know, I'm the only that isn't a clinician
24 here, so I will just appreciate the perspective
25 and just, you know, it's just interesting to

1 look at it from, you know, what CMS will
2 approve and use. And you know, when I see
3 these patients, I just feel like they would try
4 anything, so I feel like sometimes, you know, I
5 hope everything gets to the docket so they can
6 have that option.

7 DR. ROSS: So the next discussion
8 question goes to the point that Laura was
9 bringing up earlier around minimum clinically
10 important differences, which we didn't review
11 in much detail but they did, the question is,
12 have available MCIDs from important clinical
13 endpoints been identified?

14 Do you want to pull up appendix
15 materials, Table C.1. For each of the
16 instruments, it does appear that an MCID is
17 listed. It's a little bit hard, it's like the
18 one for the fog, it says it's three scale
19 points but it doesn't say what the MCID is.
20 The TUG, I assume it's 3.8 points, but it says
21 MCD, not MCID, so that may be a typo. But for
22 all of the UPDRS ones there seems to be a very
23 clearly listed MCID along with several of the
24 others. I don't have enough experience with
25 these instruments to know whether I,

1 quote-unquote, buy the MCID, like if I agree
2 with it or not, but if anyone has comments they
3 want to make, or even additional material that
4 they would want to see prepared for the full
5 discussion as a larger group, if there's
6 anything missing as you're looking at this
7 table.

8 MS. ROGSTAD: This is Terry Rogstad.
9 Just a word on this. MDC is minimal detectable
10 change, which is more or less a statistical
11 concept, not a good substitute for MCID, so I
12 didn't highlight that in the summary. But I
13 can say that no MCIDs were identified for any
14 of the instruments that were used in essential
15 tremor studies, just the MDC calculation.

16 MS. GOLDSMITH: This is Amy Goldsmith.
17 I didn't see the get up and go listed. Was
18 that something that is so common, is that not
19 something they use?

20 MS. ROGSTAD: It was in there I
21 thought, yeah. It might be an acronym that you
22 don't recognize right away.

23 MS. GOLDSMITH: We refer to it all the
24 time.

25 MS. ROGSTAD: Yeah. I think the full

1 name is time to get up and go, so I think it
2 begins with a T.

3 MS. GOLDSMITH: Okay.

4 DR. ROSS: That might be the TUG
5 that's listed as T-U-G.

6 MS. ROGSTAD: Let me look at the TUG,
7 let me look at the T. Yes, TUG stands for
8 timed up and go test.

9 MS. GOLDSMITH: Oh, you know what,
10 it's right here, I see it. Thank you.

11 DR. ROSS: And Terry, just because I
12 was getting to the end of the table just as you
13 were saying that, I was noticing the same
14 thing, that there aren't MCIDs listed for the
15 essential tremor related endpoints. Do you
16 think that's just because it's much less well
17 studied so there's just not as many, there
18 hasn't been as much research into the use of
19 the endpoints as characteristics?

20 MS. ROGSTAD: No. The reason, it was
21 also interesting that there were no practice
22 guidelines identified for managing essential
23 tremor, and I don't know enough to say why.

24 DR. KHATRI: You know, it's relatively
25 treatable and not progressive, and so I'm sure

1 that plays into the level of research around
2 it.

3 DR. ROSS: Sanket?

4 DR. DHRUVA: I also not being familiar
5 with these instruments or using them in
6 clinical practice, I also hung my hat on the
7 three reviewers. And I think it's great that
8 there was one from the neurosurgeon and the
9 neurologists overall, and they didn't identify
10 any, they didn't list anything additional
11 except for the MoCA, which is actually one of
12 the instruments that I am familiar with from
13 clinical practice. And the instrument that was
14 just in a publication last year was in people
15 rehabilitating from a stroke and so it was not
16 in the Parkinson's disease population. I just
17 did a quick search and could not find anything
18 in the Parkinson's population so I don't know.
19 I think I found something in my patients with
20 subarachnoid bleeds as well, so I don't, that I
21 think is just a reported widely used
22 instrument, the MoCA, and Pooja, you may ave
23 way more expertise here, so I wanted your
24 comments.

25 DR. KHATRI: Yeah. So the strip test

1 was listed and that's measuring more executive
2 dysfunction, which is the most common cognitive
3 component affected in Parkinson's disease. But
4 I did like the suggestion of the MoCA because
5 it would capture, you know, broader cognitive
6 dysfunction as well, and like you said, it's
7 widely clinically used.

8 DR. DHRUVA: That's helpful. And do
9 you have any concerns about the validation,
10 about the MCID being different in a different
11 population than from Parkinson's, or should we
12 kind of take what we have essentially, from
13 that publication.

14 DR. KHATRI: That's a good question.
15 I'm thinking about that. I think you could
16 imagine, I think we take what we have. I can
17 imagine justification for different MCIDs but I
18 think it would be pretty tough for us to decide
19 how to do that without a big formal study to
20 like take a stand on it.

21 DR. DHRUVA: Thank you.

22 DR. MAURI: This is Laura. I'll just
23 weigh in on your original question, Joe, about
24 whether there's any additional information we
25 need on the MCIDs. I think I've seen a table,

1 I think it's very helpful, and I think it
2 points to the references that are relevant that
3 could be used to guide the discussion for the
4 panel. I think that panel discussion will be
5 very interesting and important.

6 You know, one question that arises is,
7 you know, in the areas where there is a newer
8 endpoint or perhaps less validation, that may
9 be of interest to see any difference, any
10 statistical difference rather than an MCID when
11 we don't have one available, but that's just a
12 high level comment, I think, and the panel
13 discussion will really be key.

14 DR. ROSS: The last discussion
15 question that has been posed to us is what is
16 the domain specific minimum durations of
17 followup that should be observed in studies of
18 devices to manage Parkinson's and essential
19 tremor. By domain specific, I think what's
20 being implied is the clinician assessed versus
21 patient reported versus safety. And I'm
22 curious, Steve, like this to me feels more like
23 a question for the main meeting, but is it
24 more, is it intended to just elicit like our
25 initial thoughts on what durations of followup

1 we would have expected to see?

2 DR. FARMER: Yeah. I think in our
3 first subcommittee what he had tried to do was
4 be concrete so that we could have a panel vote
5 on a specific duration of followup in different
6 contexts. What was clear from the first
7 subcommittee panel was that we would have a
8 proliferation of voting questions that is not
9 practical, and so what I have concluded is that
10 it's better for us to have at least a
11 conversation around some of the considerations
12 that you might want to think through as you
13 approach various different types of technology
14 and circumstances that can be derived from the
15 subcommittee or the full panel committee.

16 So your comments here can be
17 supplemental to the full panel, so yes,
18 whatever preliminary thoughts.

19 DR. MAURI: You know, my thought is
20 that it really turns to that segmentation that
21 I was talking about earlier, this is Laura
22 Mauri. It relates to that segmentation that
23 the durations of endpoints most relevant to the
24 populations, situation, disease type and even
25 the device type of the intervention. I think

1 it's immediately apparent, some safety things
2 are obvious while others will take time to
3 occur. So that same sort of, I don't know how
4 that's going to look in terms of how I might
5 present that for discussion, but I think that
6 same segmentation could be helpful in framing
7 the discussion for the panel.

8 DR. ROSS: Thanks, Laura. I mean, I
9 think looking at what the expert reviewers
10 said, my general sense is pretty similar,
11 right? You know, when you're thinking about
12 safety, particularly for intervention devices,
13 you want to know that right away, like the
14 first 14 days or one month. But the clinician
15 assessed or patient reported outcome measures,
16 you know, somewhere around three to six months,
17 longer is better but you know, I'm less worried
18 if they're shorter term in the sense that these
19 are all, you know, actual outcome measures, so
20 not surrogates, since I feel like if we were
21 observing improvement within a six-month
22 period, that's actually pretty great, and
23 that's convincing to me. You know, whether it
24 persists is a different question, but you know,
25 there's at least good evidence. While you

1 always want longer term evidence, I think that
2 six months for benefits is pretty good.
3 Sanket?

4 DR. DHRUVA: Thanks, Joe. I certainly
5 agree with you. I think that, and this is an
6 out of what we're discussing, but I think it
7 also depends on what the control is in the
8 study, because some of these outcomes could
9 be -- for example, if there's a sham control
10 procedure, that I think then, a shorter
11 duration of followup is more appropriate. If
12 there is not a sham procedure with adequate
13 blinding, then there is the potential for
14 seeing some changes in some of the endpoints
15 that may be less objective but generally -- and
16 I think this is very tricky methodologically,
17 but generally if there are these benefits that
18 may be due to the procedure itself and not
19 necessarily the device but just the fact that
20 the patient received a procedure and we're not
21 able to ascertain that because there was not a
22 sham procedure, generally it's felt that those
23 will, that will only be an initial bump, and I
24 don't know if that's a few weeks, a few months,
25 and then after you go out a while later, you

1 probably are not going to continue to see that
2 bump in improvement. So I think study design
3 is important in thinking about what the
4 duration of followup is that I'd like to see.

5 DR. ROSS: Any other preliminary
6 thoughts on duration, or is it just appropriate
7 that we can have a full discussion in the
8 larger group?

9 Okay. Steve, are there other points
10 that you want us to address in more detail
11 before getting to the voting questions, or have
12 we covered what you had hoped we would cover?

13 DR. FARMER: I think we've largely
14 covered what we hoped to cover. What I'm
15 hearing is that there is not a dramatic amount
16 of controversy across the panel here, and
17 there's general agreement that we need to
18 capture the appropriate endpoints, that the
19 issues that we're considering are represented
20 in the review.

21 So I don't have too much more to add,
22 other than it is striking, the difference in
23 the types of devices that you might have here,
24 from a wearable to a permanent neurosurgical
25 change. It's such a substantial difference in

1 context that it merits framing specifically for
2 a wearable, what type of timeframe might be
3 appropriate for a wearable and what type of
4 timeframe might be appropriate for an
5 intervention that is a permanent implant or a
6 permanent ablation, a permanent change that's
7 irreversible.

8 DR. ROSS: Yeah. I will say
9 reflecting a bit on some of the prior meetings
10 we've had, there was more controversy, you
11 know, whether a surrogate marker should be
12 considered and what that value had, and
13 whether, you know, disease specific
14 hospitalization was a good outcome versus a
15 patient-reported outcome. And I think what is
16 making this conversation feel like there's
17 already a lot of consensus is all of the
18 instruments are measuring essentially
19 patient-reported outcomes on symptom burden and
20 function, and it's kind of like right there and
21 it's not really, there are no surrogates, we're
22 not talking about hospitalizations, we are just
23 focused on kind of like more intermediate
24 disease function related processes, you know,
25 for the eligible treatments.

1 You know, I think this is what we're
2 putting on the table, because I guess Tim did
3 raise a little bit on some of the respiratory
4 related measures, like should we be thinking
5 about later stage disease related endpoints and
6 the instruments that would be used, or are we
7 pretty comfortable in terms of the endpoints
8 that are on the table here and the measures
9 that are being used. I guess this is like a
10 last chance to come up.

11 DR. BARREIRO: This is Tim speaking
12 again. I do think it's reasonable that that be
13 brought up, for the mere fact that most of the
14 devices are in for a long period of time,
15 they're called retentions, and because of that,
16 what the long-term effects and issues that come
17 have to be part of, have to be least thought of
18 in my opinion in this disease.

19 MS. GOLDSMITH: This is Amy Goldsmith.
20 So are we saying that if we're talking about
21 these devices and a timeframe, and coupling
22 that with insuring that there is some type of,
23 you know, study or some type of, you know,
24 something that kind of goes, you know, hand in
25 hand with that as the disease progresses or as

1 we're looking at the device, you know, as sort
2 of part of that approval piece from CMS to
3 insure that those things are done in
4 conjunction with each other. So I just want to
5 say in a certain point of, you know, the device
6 I guess is what I'm asking.

7 DR. MAURI: This is Laura Mauri. I
8 guess I would think about it as two different
9 parts to this. One is you have devices that
10 vary, as we said, in terms of their mechanism,
11 what the benefit might be. And what we know
12 from the devices so far is they have different
13 mechanisms and benefits and so they act in
14 different ways.

15 To your earlier point, that's
16 important to patients to have access to those.
17 So if we -- and I think it doesn't diminish the
18 fact that all of the outcome domains that we're
19 talking about are relevant to the patients, but
20 if we were to require that all of them be
21 included for all devices at all times, then we
22 would probably be limiting the ability to have
23 interventions that were needed across these
24 domains. So I just raise that as a
25 counterbalance, not to say that addition --

1 because I completely agree that, you know,
2 everything we talked about is relevant to
3 patients, and for their care and continued
4 development of devices to treat these symptoms,
5 but we can't sort of expect every device to act
6 on every domain. Or maybe it's not that
7 apparent, I just wanted to state it.

8 MS. GOLDSMITH: That's a great point
9 and I never want it to be limiting, if anything
10 I want it to be something that would be
11 accessible, so thank you, that's true.

12 DR. ROSS: So why don't we take ten
13 minutes and come back at quarter to the hour
14 depending on the time zone. Give people a
15 chance to fill their water cups, we can think
16 about this for a second and we can see if
17 there's any other points we want to address as
18 part of the further discussion and then we can
19 probably get to the voting questions.

20 I expect, we'll see how long that
21 goes, if we need another break for lunch or if
22 we can get through that and then end early so
23 people can have lunch. Does that sound okay to
24 everyone?

25 MS. HALL: Yes, that's fine. See you

1 in ten minutes.

2 DR. ROSS: Okay.

3 (Recess.)

4 DR. ROSS: Everybody, welcome back.
5 Tara, can you stop screen sharing? I assume
6 that break slide's from you.

7 MS. HALL: We're working on getting
8 that off. There we go.

9 DR. ROSS: Great. Okay. Well, does
10 anyone want to open the floor back up again,
11 and if people have any thoughts or
12 considerations that they wanted to share,
13 moments of epiphany that cam to them as they
14 filled their water cup up? Great, okay.

15 So this has been a very good
16 discussion and I think a great setup in terms
17 of like having sort of a small group sort of
18 thinking through some of these issues in
19 advance of the larger meeting to help the team
20 at CMS get organized and have the right
21 materials prepared and to present with answers
22 to the questions that they can anticipate.

23 Now we have to turn our attention to
24 the voting questions. I always find the voting
25 at these meetings is always a little funny.

1 I'll just sort of maybe lay out kind of the
2 thinking of how it goes for people who haven't
3 participated in MEDCAC meetings before. But
4 generally, the broad idea is while we are asked
5 to answer a specific question and vote, really
6 by far the most important part of the voting
7 part of the session is the comments we make and
8 the discussion that we have that leads up to
9 those comments. So you know, if the question
10 is, is endpoint A very important, what's going
11 to be valuable is you'll say yes, somewhere
12 between not at all important to extremely
13 important, but you'll give your reasoning for
14 your vote, and that reasoning is then
15 transcribed and used by the CMS staff as they
16 come to a final decision when they list their
17 final endpoints document.

18 In this case, the other thing to keep
19 in mind is we can't vote on every measure, and
20 so the value I think that we're going to
21 provide is by providing some sense of the
22 importance of different domains and different
23 endpoints within each domain. But we're not
24 going to go through and talk about, you know,
25 is this instrument important, is this

1 instrument important. The idea would be that
2 in the context of your vote, you will then talk
3 about which of the instruments you think are
4 most important in your practice or you know,
5 best reflect the clinical symptoms and function
6 that are, you know, that you think through.

7 Steve, is there anything you wanted to
8 add before we turn our attention to the voting
9 document, or did I provide the right kind of
10 color commentary on that?

11 DR. FARMER: I think that's the right
12 framing. We put together a mockup question, a
13 question list just to get you started based
14 upon the prior subcommittee meeting, but you're
15 completely free to change it in any way you
16 want.

17 DR. ROSS: So for us today, if people
18 could pull up the possible voting questions
19 document so you have it in front of you, I
20 think that there's a couple different ways that
21 we could think about approaching the first set
22 of questions.

23 One being to vote on the domain,
24 right, specifically, like do we want as a panel
25 to go through and talk about surrogates, even

1 though there's no surrogates listed, and
2 whether they're important or not, clinical
3 assessment tools, patient-reported outcome
4 measures and safety measures, and you know, we
5 can all then sort of provide our vote.

6 Do we -- within each domain, do we
7 want to talk about specific, like clinical
8 endpoints, like this idea of a global change in
9 motor symptom severity or gait function, and
10 talk about whether those are more or less
11 important, or do we want to do both, just be
12 sort of recognizing the feasibility? I think
13 actually, the domain-related questions will go
14 more quickly because there's no surrogates.
15 But in part the clinician-assessed ones,
16 there's actually a lot of different endpoints
17 within it, so it may be helpful for us to walk
18 through them explicitly.

19 So I'm sort of opening up and want to
20 get the group's feedback in terms of what they
21 think will work the best. I will say just in
22 the past again, for people that haven't
23 participated in this, when we've voted on
24 domains, what people would then talk about in
25 their comments were which endpoints within that

1 domain they found to be most important. So it,
2 I don't know that we need explicit questions on
3 each of the domains. I'm sort of looking at
4 how many there were in the PDF and there were
5 potentially one, two, three, four, five, six,
6 seven, eight, so it's a lot of voting
7 questions, just to say it gets a little
8 tedious, for those of you who haven't done
9 this.

10 But if you hold up the slide that
11 Terry had shared, slide 11, there's the global
12 change in motor symptom, there's the gait
13 function, there's motor complications, there's
14 reduction of dyskinesia, there's cognitive
15 function, there's motor-related ADL, there's
16 non-motor symptoms, and then there's speech
17 function and swallowing. So the question that
18 I have for you guys as a group is do you think
19 we should be discussing each of those eight
20 separately, or when it comes time to voting on
21 what's important, vote on the sort of broader
22 all eight together, with people given the
23 opportunity to highlight which of those are
24 most important.

25 DR. DHARVA: I think I favor the

1 latter approach because we'll still have the
2 opportunity to mention specific ones in
3 thinking about the Medicare beneficiary
4 population. My rationale is that the different
5 devices will target different endpoints, and so
6 while I agree that we could go through, I think
7 it's eight of them, and grade one is more
8 important, or you now, sorry, vote on one is
9 more important than another, I think it will
10 also depend on the different device and what
11 they are seeking to improve, how well they do
12 that. So I think that it would be too
13 complicated, and given the different types of
14 devices, I think it would be too complicated
15 and perhaps less useful to be so granular for
16 this particular, for technologies for
17 Parkinson's disease in this case.

18 DR. ROSS: Laura, I'm curious what you
19 think, because I know you've participated in
20 these before too. Do you feel like that will
21 work?

22 DR. MAURI: Yeah, I agree with what
23 Sanket proposed. I think as you said before in
24 the discussion, that will be more granular than
25 the voting questions. I think the level of,

1 you know, examples, I'm looking at Example 1(b)
2 and how it's separated into global change in
3 motor symptoms versus gait, function, postural
4 instability, et cetera, that that sort of level
5 but not going maybe the next level deeper of
6 all the different types of skills that you
7 could break each of those down. But I would
8 hope to draw out in the conversation that same
9 segmentation, you know, that we have been
10 referring to about time courses of disease and
11 how that reflects in what might be the more
12 important symptoms for a given stage.

13 DR. ROSS: Can I just ask, because I
14 want to make sure I understand. So do you
15 prefer the 1.A or the 1.B approach, 1.A being
16 that we talk through the domains of endpoints,
17 or 1.B where we talk through the actual
18 clinical endpoints within each of the domains?

19 DR. MAURI: No, I don't know if we're
20 looking at the same document. But I was
21 thinking that it would be not the very detailed
22 of the PDRS versus all the different potential
23 endpoints, but -- oh, I see.

24 DR. ROSS: Yeah, right. So like,
25 would you want us to be talking about all, like

1 within one question, all the clinician-assessed
2 endpoints, or like 1.B where we break them
3 apart, not talking about the different
4 instruments but talking about the different
5 clinical endpoints that are measured. I don't
6 know that there's a right answer.

7 DR. MAURI: I'm leaning towards 1.B
8 and now I'm not sure if that's what you were
9 suggesting.

10 DR. ROSS: I think I would go with
11 1.A, but I do worry though, because
12 particularly for the clinician-assessed
13 endpoints, there's a lot in there, and so I
14 don't know.

15 Pooja, your hand went up. What were
16 you going to say?

17 DR. KHATRI: I was just going to
18 clarify what 1.A was again. But let me add a
19 comment too, which is, my first inclination was
20 to go with 1.B, although I've never attended a
21 MEDCAC so I hesitated to do that, the reason
22 being that like everyone said, there's a lot
23 wrapped up in there, and I think there'd be a
24 lot of value in understanding from our broad
25 range of experts the relative value of each of

1 those endpoints in a way that I don't think
2 would be as organized if we talked about 1.A,
3 but I don't know if again, that's going too
4 much into the weeds in terms of process, Joe
5 and Laura and others who have done this before,
6 so I defer to you.

7 DR. ROSS: I think we can try it. It
8 will be just be a little bit more of a, sort of
9 how to keep the conversation moving to make
10 sure we get through them all, but that's okay.
11 If we feel like more will come out of it in
12 detail than by going through, I think it's
13 worth it.

14 DR. MAURI: Could we find maybe a
15 happy medium of having fewer domains?

16 DR. ROSS: Oh, that's a good idea. So
17 maybe, Terry, could you put slide 11 back on in
18 front of us? Because that's where there's the
19 most clinical endpoints to discuss. For the
20 PROs there's just two.

21 MS. ROGSTAD: Sure.

22 DR. ROSS: So can we just think about
23 whether all eight need to be discussed, or
24 perhaps some categories could be collapsed for
25 purposes of the discussion questions. Oh,

1 Pooja, what were you going to say?

2 DR. KHATRI: Yeah, just for context
3 here for me. The broader group, will it
4 include several more neurologists to be able to
5 have that discussion in terms of motor,
6 non-motor symptoms, motor complications, this
7 level of detail?

8 DR. ROSS: I haven't seen the agenda,
9 so Steve, are you able to speak to that?

10 DR. FARMER: Terry, can you tell me
11 who exactly is on the panel in terms of the
12 neurology specialty? We can only empanel
13 people on our MEDCAC roster and there were a
14 limited number of neurologists and
15 neurosurgeons available.

16 DR. KHATRI: Neurosurgeons too, thanks
17 for saying that.

18 DR. FARMER: And as a consequence,
19 that's why we engaged externally and got these
20 three expert inputs in writing, so that could
21 be entered in evidence in the MEDCAC panel, but
22 the panelists will be across a breadth of
23 representation from the whole healthcare field,
24 not necessarily specialized in neurology or
25 neurosurgery.

1 MS. ROGSTAD: Yeah, I'll add to that.
2 We've had some trouble with people becoming
3 unavailable for the panel meeting or having to
4 drop off for other reasons, so I believe, and
5 I'm passing the ball to Tara, who will have to
6 confirm this, but I believe we will not have
7 any additional neurologists on the full panel.
8 But as Steve said, we did get the input from
9 three outside specialists.

10 MS. HALL: Let me interject real
11 quick, this is Tara. We are still working on
12 that, so hopefully, I'm hoping in the next
13 couple of weeks I can have a final roster, so I
14 can let you all know that as soon as I find out
15 for sure.

16 DR. FARMER: And I should also note
17 that there was one neurologist involved in the
18 original CER document itself as well.

19 DR. ROSS: That's helpful. Pooja,
20 we're relying on you.

21 DR. KHATRI: I have to admit, that
22 does make me lean a little more towards 1.A
23 because I wonder if we can get that level of
24 discussion that maybe I was gearing towards,
25 but I'm open to what you think.

1 DR. ROSS: In part because you think
2 there's not going to be enough disease-related
3 expertise to talk about importance of
4 dyskinesia versus motor complications and
5 whatnot?

6 DR. KHATRI: Exactly.

7 DR. ROSS: Does anyone else have
8 thoughts, knowing that Tara is working hard
9 trying to identify more content experts to come
10 in?

11 DR. MAURI: I think it would be -- I'm
12 wishing Tara luck, because it would really
13 enrich the conversation and it could be
14 valuable to be able to have the conversation
15 around these endpoints. My preference is that
16 with the ones listed here, we would go for 1.B;
17 however, because they are quite, maybe it will
18 be a fast vote if there's not a lot of
19 discussion, but I think it's useful input
20 because they vary so widely in terms of how
21 they're felt by patients and perceived by
22 clinicians.

23 DR. ROSS: Laura, I'm coming to your
24 side on this in the sense, I'm not sure that I
25 had either side really, but I wonder whether we

1 just limit the voting on each of these
2 individual clinical endpoints, the eight
3 domains so to speak. Sorry, I shouldn't use
4 the word domains, these eight endpoints just on
5 importance, and let people reflect on that, as
6 opposed to having people given the lack of
7 content expertise, to talk about sort of
8 clinical endpoint related MCID or followup and
9 things like that. So we'd have like sort of an
10 opportunity to talk about importance of these
11 endpoints, but then when we talk about the MCID
12 and follow-up related issues, we're sort of
13 talking about it at the domain-related level.
14 Is that sufficient?

15 And maybe it will give people the
16 opportunity, because it'll now be on the table,
17 you know, if they want to say within the
18 clinician assessed health outcomes, you know,
19 that cognitive function is really important at
20 one year, whereas the motor symptom severity is
21 really important at one month, they can say
22 that. But we're not going to have people
23 comment explicitly on each of the eight. Is
24 that okay?

25 DR. MAURI: Yeah, I would agree with

1 that.

2 DR. ROSS: Okay. I think that helps
3 us with question one.

4 DR. DHRUVA: Sorry, Joe, could you
5 explain -- I don't think I quite understood
6 your proposal at the end there. So we would
7 take all eight of these clinical endpoints in
8 the left column there and vote on a scale of
9 one to five, from not important to extremely
10 for global change of motor symptom, severity of
11 gait function, et cetera?

12 DR. ROSS: That's right. We would
13 also be voting for the other domains as well,
14 just to be clear. So, there's also two
15 endpoints within the PROs.

16 DR. DHRUVA: Oh, sorry, yeah, okay.
17 So in total it would be somewhere around 12ish
18 questions, or 12ish voting questions, okay.

19 I think that sounds, I think that
20 makes sense. I'm just wondering if, depending
21 on who Tara is ably to identify, I mean, I'll
22 certainly do some more background reading, but
23 I'm not caring for patients with Parkinson's
24 disease in practice, and so you know, I'm just
25 wondering if there's a way, I don't mean

1 assigning homework for the MEDCAC panelists
2 once they're identified, but you know, right
3 now I'm struggling with thinking about if today
4 was the end of June and we were at the meeting,
5 would I say well, you know, cognitive function
6 is a four and non-motor is a three, or -- I'm
7 struggling with how I would distinguish them
8 given my lack of expertise. I'm happy to do
9 some homework but I don't know, perhaps we
10 should all do a little bit unless we have
11 another neurologist or two on the panel, or
12 neurosurgeon with us.

13 MS. HALL: Hi, this is Tara again.
14 I'm going to interject. I just checked with
15 roster, so we will have two neurosurgeons on
16 the panel.

17 DR. ROSS: That's great, that's
18 helpful.

19 So Steve, what I was going to suggest,
20 particularly if we take this approach where we
21 talk about each row of clinical endpoints as
22 Terry has identified within each domain and are
23 voting on their importance, rather than voting
24 in subsequent questions on, which was in the
25 example sheet on instruments and MCID and

1 followup, I wonder if we can adopt the approach
2 we used for the diabetes one, which was to say
3 that when people vote and comment on their
4 rationale in discussion, to also address some
5 of those points in their vote in terms of like
6 the ideal duration of followup and which
7 instrument they're -- because we're not really
8 going into so much like instrument performance
9 here, so I don't think people are going to say
10 like this instrument versus that instrument,
11 but the duration of followup is there, and
12 there is an established MCID for an instrument,
13 like having people comment like that, would
14 that work?

15 DR. FARMER: I almost am thinking, so
16 like if you have four domains and you say you
17 may vote on the domain, but then have everybody
18 prepared to say three or four sentences about
19 that domain, and you know, and it may just be I
20 think this domain is important but I'm
21 unfamiliar with the individual instruments in
22 their clinical application, or it could be I'm
23 particularly, I feel like this one is
24 particularly important and I want to emphasize
25 that because of this reason. You know, that

1 might be the most efficient way, rather than
2 individually voting on each one, because I
3 think that would be too much.

4 But then you have, you know, just the
5 four big domain ones and then a series of 12
6 statements within the domain, something like
7 that approach, would that work?

8 DR. ROSS: So are you advocating for
9 more of a 1.A approach than a 1.B?

10 DR. FARMER: I think so, yeah.

11 DR. ROSS: I think we can make 1.B
12 work, so long as we don't have a whole set of
13 additional questions on followup, duration and
14 MCID. I think that if we had, you know, within
15 the PROs, let's talk about sleep, how important
16 is that one to five, and comment on when you
17 would want to see that measured and like how
18 important that is, I think we could go one by
19 one. That would essentially give us 11 voting
20 questions, eight clinical assessments, the two
21 PROs and the one safety, and it would allow
22 people to sort of articulate a bit around their
23 rationale around device differences and whether
24 there's particular concerns around an older age
25 population, particularly for like sleep or

1 cognition. You know, it's like Sanket
2 measured, or discussed before. I don't know,
3 maybe I'm talking myself into it, and it's
4 going to be a mess.

5 DR. FARMER: However you would like
6 to, however the panel would like to do it is
7 fine with me.

8 MS. ROGSTAD: Excuse me, Dr. Ross,
9 this is Terry Rogstad at CMS. There would be
10 two additional clinical endpoints from the
11 essential tremor set of literature.

12 DR. ROSS: Oh, of course.

13 MS. ROGSTAD: So 14 total.

14 DR. ROSS: Okay. I still think we can
15 do it, famous last words. How many people will
16 be on the panel, how many people will have to
17 vote for each question?

18 MS. ROGSTAD: 12 people on the panel.

19 DR. ROSS: Okay. If everyone keeps
20 their comments to a minute or two, I think we
21 can get through it. I think we'll have to
22 leave more time for the voting than is typical,
23 but I think there's going to be a lot of good
24 points made and we should just encourage people
25 not to be redundant, so we don't get 12 people

1 saying the same thing, they don't each have to
2 say it. Pooja?

3 DR. KHATRI: How long is the meeting
4 usually? I was just doing the math there and a
5 minute times 12 times eight, right, 96 minutes,
6 so I just wanted to know if we'd have enough
7 time for discussion.

8 DR. ROSS: Usually it's about six
9 hours if I'm remembering, Steve. So there will
10 be probably, Terry will do the presentation,
11 that will be blocked at 30 minutes or so, and
12 then there will be probably 90 minutes of
13 discussion after, kind of like what we had
14 today.

15 DR. FARMER: So for these clinical
16 endpoint review MEDCACs, we had been trying to
17 get them shorter.

18 DR. ROSS: Okay, all right. Maybe we
19 ought to do 1.A.

20 (Laughter.)

21 MS. ATKINSON: But we do have it
22 allotted from ten to four.

23 DR. FARMER: If you really want to do
24 something more exhaustive, we could do that if
25 you think it's important.

1 DR. ROSS: Well, the reason, I just
2 feel like in part because of the comments Pooja
3 and Laura have made, I feel like when we talk
4 about the clinical assessments, when people
5 vote, I'm going to be asking them to call out
6 which were the clinical endpoints that were
7 most important to them. So it's like it's
8 going to be there anyways, and I kind of prefer
9 having the structure organized around where I
10 know everyone is going to say they thought this
11 was important, they thought this was a little
12 bit less important and why. It will get
13 everybody sort of on the record. That was my
14 thinking. Sanket, what do you think?

15 DR. DHRUVA: Well, I am of both minds.
16 I think, I have definitely been swayed more
17 towards 1.A, but I think the voting generally
18 takes a lot longer. I know, Joe, you're
19 incredibly efficient, but Pooja, to your point,
20 so not only do we all vote electronically and
21 then Joe goes to each panelist and says okay,
22 Dr. Khatri, how did you vote, Dr. Dhruva,
23 Dr. Mauri, Ms. Goldsmith, Dr. Barreiro,
24 et cetera, and everyone has to then say their
25 vote at that point in time. And your back of

1 the envelope calculation makes me a little
2 worried that it might be even longer. And if
3 we want people to, because there's so much
4 juice in the comments, I'd agree with Joe that
5 that juice might then be translated instead to
6 the voting, but you know, it will just leave a
7 lot less time for comments and discussion.

8 DR. ROSS: I think we should go with
9 1.A and the onus is going to be on me to
10 extract the key information about which
11 endpoints were most important to each
12 individual within the vote, and have them
13 reflect on that in their comments.

14 And so Tara, I don't know if you're
15 the one who drafts the voting questions, or
16 maybe Michelle, but whoever is like --

17 MS. ROGSTAD: It's Terry and Steve.

18 DR. ROSS: So Steve, maybe when, you
19 know, like in the diabetes one where you said
20 like, you know, in your comments, please call
21 out, you know, and I can look at that ahead of
22 time to help with the editing, just so it's
23 clear that we're going to call out, you know,
24 like which were the clinical endpoints that
25 were most compelling, which were the clinical

1 endpoints that might have been least
2 compelling, and then sort of their relative
3 importance, but I think we'll do it and we'll
4 be able to get there.

5 DR. FARMER: I think the most crucial
6 thing here is to make sure that all the
7 panelists know in advance that they have to be
8 very tight in their comments. It will be a
9 very long long meeting.

10 MS. HALL: When we have the
11 pre-meeting, we can mention that to the panel
12 members at that time.

13 DR. ROSS: Yeah. I'll stress it also.
14 Like once we get to the point of voting, it's
15 often a bit confusing for people who've never
16 sat on this before to know how this actually
17 works. So until it's even playing out, it's
18 hard to guide people.

19 But you guys can hold me to task, so
20 if you feel like we're not getting enough out,
21 say something, just to make sure we're getting
22 the comments we need. Okay.

23 So I think we're voting, Steve, for
24 1.A.

25 DR. FARMER: Okay.

1 DR. ROSS: For endpoint domains, and
2 with like essentially asking people, so we will
3 just have those four for votes, with the prompt
4 sort of at the bottom sort of around which were
5 the clinical endpoints of greater relevance or
6 lesser relevance, and the sort of timeframe
7 within which you'd like to see them assessed
8 and those types of thing, okay?

9 DR. FARMER: Okay.

10 DR. ROSS: As opposed to separate
11 voting questions.

12 DR. FARMER: Sounds good.

13 MS. ROGSTAD: And, question. This is
14 Terry again. In the instructions that are sent
15 to the panelists, do they need to be prepared
16 to share their thoughts on the MCID information
17 that's been collected, or follow-up duration?

18 DR. ROSS: I think people will
19 probably have more to say, particularly if
20 they're not content experts on the follow-up
21 duration based on their intuitive, sort of like
22 their clinical background. Without being
23 experts on the instruments, and because we're
24 not talking about each of the instruments in a
25 lot of detail, people probably won't have a lot

1 to say about the MCID except that it's
2 important that there is a validated MCID as
3 part of the instruments that are being used.

4 DR. FARMER: If we were going to have
5 a discussion, a detailed discussion of MCIDs,
6 we really should limit that discussion to those
7 where there isn't a published value, that it
8 has been well established, and really it's
9 where there is ambiguity and there isn't a
10 clear way to interpret that information, that
11 at least some degree of conversation of how we
12 might think about this would be helpful, so
13 that it's not leaving it to the CMS review team
14 to make a judgment without any kind of context.

15 DR. ROSS: That's a really good point,
16 and so this is reminding me that actually in
17 the essential tremor voting, asking people to
18 talk about whether they had a sense of a
19 clinically important difference since there
20 aren't established MCIDs. That's really where
21 it will come out.

22 DR. FARMER: Yeah.

23 MS. ROGSTAD: Just one comment on it.
24 That would require familiarity with the scales
25 that are used and you know, their magnitude.

1 DR. ROSS: Yeah. We will probably not
2 have expert comment on that specifically.
3 Other comments or questions?

4 DR. KHATRI: I just want to say that
5 this has been incredibly helpful in preparing
6 for the next meeting too, and knowing how it
7 works, in what context and what's valuable to
8 CMS, so thank you for working through that.

9 DR. ROSS: Terry, one thing I was
10 going to suggest, and I don't know whether it
11 makes sense potentially to circulate the UPDRS,
12 the full instrument for people to see as part
13 of the materials, just because sub-domains of
14 it that are included in many of the endpoints,
15 and I think it might just help for people to be
16 familiar with it. I don't know that we have to
17 do it for all of the instruments, but that one
18 in particular.

19 MS. ROGSTAD: I agree, I think that
20 would be a good idea.

21 DR. KHATRI: And I'll just mention
22 that I believe that the MDS UPDRS is the
23 current one that's used, so that would be the
24 specific one to circulate.

25 MS. ROGSTAD: Right.

1 DR. ROSS: But Terry, seriously, we
2 are all incredibly impressed with this clinical
3 evidence review and all the work that you've
4 done to put this all together and getting the
5 response from the experts, and now in response
6 to the comments we're making, so thank you.

7 MS. ROGSTAD: Thank you.

8 DR. ROSS: Steve or Tara, any other
9 questions?

10 MS. HALL: I was just about to ask,
11 are we done, did we do this in two-and-a-half
12 hours?

13 DR. ROSS: That's my rep.

14 DR. FARMER: Well, on behalf of CMS, I
15 want to thank everybody for your thoughtful
16 contributions to this conversation. We're
17 really looking forward to the MEDCAC meeting
18 and I know it will go very well and we will
19 learn a lot from it. So thank you so much.

20 DR. ROSS: Thank you, everybody.

21 MS. HALL: All right, good bye.


22 (Whereupon, the meeting concluded at
23 12:21 p.m. EDT.)
24
25

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

TRANSCRIBER'S CERTIFICATE

I, Paul Gasparotti, hereby certify that I transcribed from audio file the proceedings to the best of my ability in the foregoing-entitled matter; and I further certify that the foregoing is a full, true and correct transcript of the audio files produced.

IN WITNESS THEREOF, I have subscribed my name on the 8th day of April 2025.



Transcriptionist

WORD INDEX

< 1 >	6 3:5	59:5 70:21 77:8 82:18 85:1	agnostic 39:20	18:20
1(b) 81:1	< 7 >	added 48:3	ago 42:12	appears 16:19
1.A 81:15	75 3:12	addition 5:17 18:19 73:25	agree 45:18 47:10 48:19 49:5 50:18 62:1 69:5 74:1	appendix 44:1, 6, 16 61:14
82:11, 18 83:2	77 21:8	additional	62:1 69:5 74:1	applicability
85:22 91:9	< 8 >	15:22 25:12	80:6, 22 87:25	7:9 21:1, 14
93:19 94:17	8th 101:10	31:21 62:3	95:4 99:19	application
95:9 96:24	< 9 >	64:10 65:24	agreed 15:20	90:22
1.B 81:15, 17	90 93:12	85:7 91:13	17:16	applied 19:15
82:2, 7, 20	96 93:5	92:10	agreement	27:4
86:16 91:9, 11	< A >	additions 45:23	70:17	appreciate 28:8
10 3:7	A.1 53:11	46:5	ahead 9:14	60:24
10:00 4:2	a.m 4:3	address 70:10	95:21	approach 46:6
10th 14:23	ability 73:22	74:17 90:4	allotted 93:22	67:13 80:1
11 79:11 83:17	101:5	addressed	allow 9:6	81:15 89:20
91:19	ablation 27:18	48:10 49:8	21:14 91:21	90:1 91:7, 9
12 91:5 92:18,	52:13 71:6	adequate 5:2	allowed 38:6	approaching
25 93:5	able 20:17	69:12	alternative 32:2	77:21
12:21 100:23	42:20 69:21	ADL 50:24	ambiguity 98:9	appropriate
12ish 88:17, 18	84:4, 9 86:14	51:1, 17, 22	amount 33:13	5:1 7:10 8:8
14 68:14 92:13	96:4	79:15	70:15	9:3 10:2 45:6,
< 2 >	ably 88:21	ADLs 51:2	Amy 2:14 49:4	12 48:2 53:19
2 7:17	Absolutely	Administrative	60:14 62:16	69:11 70:6, 18
20 42:11	25:22	3:5	72:19	71:3, 4
2008 16:25	absolve 36:10	admit 85:21	analyses 7:24	approval 73:2
2013 30:21	abstracted	adopt 90:1	analysis 14:10	approve 61:2
2014 25:15	13:22	adult 54:16, 22	31:13	approving
2018 25:16, 17	academia 14:15	adults 16:11	and/or 13:1	28:25
30:21	access 73:16	21:6 54:8, 14	15:12 19:11	April 101:10
2023 30:16, 19	accessible 74:11	advance 75:19	answer 29:9	arc 38:2
2025 1:16 4:3	accomplish	96:7	36:11 53:2	area 53:3
101:10	10:11	advanced 60:10	59:7 76:5 82:6	areas 7:8, 13
22 3:9	account 23:21	adverse 18:1, 4	answered 23:17	8:1 31:24 66:7
26 1:16 4:3	accrue 30:18	34:4 52:15, 21	answers 27:6	argue 41:10
< 3 >	accurately 5:13	53:7, 13 55:16	75:21	arises 66:6
3.8 61:20	achieve 5:12	adversely 16:16	anticipate 75:22	articulate 91:22
30 13:21 93:11	8:14	advise 4:24	anyways 94:8	ascertain 69:21
< 4 >	acronym 62:21	advised 17:17,	apart 82:3	42:20
4 3:3	Act 6:2, 3	25	apnea 56:16, 23	aside 27:2
44 3:10	73:13 74:5	Advisory 1:8	apologies 22:6	43:11
< 5 >	active 11:21	6:1, 3	apologize 29:3	asked 20:6
54 21:8	activities 17:6	Advocate 2:13	34:14 44:18	32:25 34:20
< 6 >	actual 68:19	advocating 91:8	49:8	36:12, 17 76:4
	81:17	affect 58:23	apparent 68:1	asking 23:7
	acutely 60:1	age 21:6, 15	74:7	40:24 43:8
	add 18:14	27:2 91:24	appear 15:5, 23	73:6 94:5 97:2
	47:25 48:19	agenda 84:8	61:16	98:17
			appeared 13:6	aspect 24:19

56:7	availability 44:14	49:21 51:16 85:4, 6 99:22	box 40:25 41:15	capture 29:11 40:5, 6 45:5 58:21 60:17 65:5 70:18
aspiration 60:8	available 8:5, 24 9:7, 13 10:5, 9 48:15 61:12 66:11 84:15	beneficiaries 4:17 6:19 7:11	brain 12:3 13:5 27:18, 19	captured 59:1
assess 16:12 38:13	aware 64:22	beneficiary 21:2 32:10 80:3	brainstem 11:18	captures 45:10
assessed 15:16 17:18 18:16 23:2, 5 33:12 35:3 36:20 37:10 40:15 41:24 46:23 66:20 68:15 87:18 97:7	awful 53:1	benefit 39:15, 18 73:11	breadth 84:22	cardiology 30:14
assessing 18:1 41:4	< B >	benefits 54:15 55:9 69:2, 17 73:13	break 21:21, 24 34:15 36:4 44:23 52:2 74:21 75:6 81:7 82:2	care 48:1 56:4 74:3
assessment 21:14 23:20 24:12 36:24 38:11 41:8 60:12 78:3	back 9:2 21:21 26:1 45:10 56:7 58:9 74:13 75:4, 10 83:17 94:25	Berlin 56:13, 20 57:17, 20 58:13	breaks 6:8	caregiver 51:9, 24
Assessments 4:13 8:8 91:20 94:4	background 6:16 8:13 88:22 97:22	best 5:12 24:17 77:5 78:21 101:5	briefly 22:11	caring 48:6 88:23
assigning 89:1	bad 39:7 54:22	better 42:13 67:10 68:17	bring 30:7 57:14	case 37:7 76:18 80:17
associated 13:15 17:3 52:21	balance 9:19 16:14 34:24	beyond 36:8 55:25	bringing 61:9	cases 23:19 48:25
assume 49:17 61:20 75:5	ball 85:5	bias 41:12	broad 19:15 76:4 82:24	categories 26:10 51:17 83:24
assure 5:12, 14	Barreiro 2:11 24:6, 7 28:17 29:15, 22 30:9 39:4 40:2 56:3, 21, 24 57:2, 20 59:12 72:11 94:23	biassing 31:5	broader 9:11 51:2, 5 65:5 79:21 84:3	categorized 29:13
asterisk 19:20, 22 43:22	base 37:24 38:10 42:15	big 45:24 58:20 59:3 65:19 91:5	brought 48:20 58:9 72:13	CCC-SLP 2:14
asterisks 19:14	based 14:1 28:23 29:20 49:11 77:13 97:21	bit 6:15 11:15 12:17 16:21 22:25 30:15 40:23 41:3, 10 46:25 57:13 58:23 59:22 61:17 71:9 72:3 83:8 89:10 91:22 94:12 96:15	bucket 23:16	centered 41:11
ATKINSON 93:21	basis 10:24, 25 24:21	black 15:18	bucketed 23:1	CENTERS 1:7
attendance 5:18	becoming 85:2	black 15:18	building 38:24	central 59:16
attendant 35:1	began 13:10	bleeds 64:20	bullet 19:8	CER 3:9 11:4 13:5, 10 14:13, 18, 20, 25 15:2, 12, 20 17:14, 22 18:9, 21 19:11, 25 20:4, 13, 17 21:5, 17 24:5 26:5 30:16 41:19 43:18, 21 44:16 50:2 51:20 85:18
attended 82:20	begins 15:8 63:2	blinding 69:13	bump 69:23 70:2	CERs 40:6
Attendees 2:1	behalf 100:14	blocked 93:11	burden 51:10, 24 71:19	certain 8:19 14:1 16:6 25:15 73:5
attention 75:23 77:8	behavior 58:20 59:10	blocks 36:14	buy 62:1	certainly 32:4 69:4 88:22
attributable 17:7 18:6 53:13	behavioral 19:13 20:9	blurred 40:24 41:3	bye 100:21	CERTIFICATE 101:1
audience 37:22	believe 7:23 8:10 10:1 21:20 47:16	blurry 23:8	< C >	certify 101:3, 6
audio 5:12 101:4, 8		board 58:7	C.1 44:6, 16 61:15	cetera 40:18 81:4 88:11
August 30:16		body 21:9 25:24	calculation 62:15 95:1	
authorization 38:11		bottom 97:4	call 26:21 48:12 94:5 95:20, 23	
			called 4:2 12:7 72:15	
			cam 75:13	
			camera 10:17 22:2	
			cameras 22:3	
			capacity 59:25	

94:24	88:14 95:23	clinician-	66:12 82:19	component
chair 22:16	98:10	reported 34:24	87:23 90:3, 13	59:3 65:3
Chairperson	clearly 61:23	35:20	91:16 98:23	comprehensive
2:3 4:5	Clinical 1:11	clinicians 27:7	99:2	19:1 31:5
challenges 52:5	3:7 4:10, 19, 24	86:22	comment/question	32:19 45:17
chance 72:10	7:19, 25 10:23	close 15:10	n 59:6	47:12 49:7
74:15	11:5 13:18, 22	CMAC 2:14	commentary	50:21
change 38:6	14:18 15:11	CMS 4:13, 16,	4:21 77:10	computer 5:11
62:10 70:25	16:6, 19 17:16,	24 10:11 21:3	comments 9:12	39:7
71:6 77:15	21 18:11, 20, 21	28:20 30:7	10:7 39:10	concept 37:7
78:8 79:12	19:10, 18 20:11,	31:22 36:22	45:6 47:11	62:11
81:2 88:10	19, 25 25:1, 3,	38:9 39:23	48:4 55:21	concerns 65:9
changes 19:13	10, 11 28:21	40:3 43:7	56:8 62:2	91:24
20:9 48:23	29:1, 6, 11 30:2	56:15 57:24	64:24 67:16	concise 5:9
69:14	31:14 33:3	61:1 73:2	76:7, 9 78:25	concluded 67:9
characteristics	37:16, 20, 23	75:20 76:15	92:20 94:2	100:22
63:19	38:24 39:14	92:9 98:13	95:4, 7, 13, 20	conclusion
characterize	45:5 47:15, 18,	99:8 100:14	96:8, 22 99:3	26:24
11:23	24 53:18 61:12	cognition 54:9,	100:6	conclusions
chat 28:9, 13	64:6, 13 77:5	19, 23 92:1	Committee 1:8	26:9
check 35:24	78:2, 7 81:18	cognitive 16:12	4:5, 7 6:2, 3, 6	concrete 67:4
40:25 51:18, 25	82:5 83:19	20:10 48:25	22:10 67:15	condensed 15:4
52:1	87:2, 8 88:7	51:12 65:2, 5	common 11:5	condition 30:4
checked 89:14	89:21 90:22	79:14 87:19	33:5 53:15	conditions 30:3
checking 41:14	91:20 92:10	89:5	62:18 65:2	conduct 7:6
chief 6:10	93:15 94:4, 6	collapsed 83:24	commonly	43:18
circulate 99:11,	95:24, 25 97:5,	collected 13:13,	11:11	conducted 7:16
24	22 100:2	16, 21 14:5	communications	8:17
circulated 35:15	clinically 4:16	17:23 97:17	28:13	conducting
circumstances	8:6, 22 11:12	color 77:10	companies 7:1	6:17 40:21
67:14	17:4 19:5	column 88:8	compared 54:13	confirm 85:6
citation 14:1	33:11 61:9	combined 47:10	compelling	confused 29:16
citations 30:17,	65:7 98:19	come 20:15	95:25 96:2	confusing 96:15
18, 22 31:2	clinician 15:16	28:4 39:23	complement	conjunction
cited 20:3	23:1, 5, 6, 20	43:15 72:10, 16	48:12	73:4
30:20 46:8	35:4 36:6, 20,	74:13 76:16	completed	consensus
50:1	24 40:15, 16, 19	83:11 86:9	14:14, 17	49:21 71:17
Clarification	41:2, 4, 6, 9, 24	98:21	completely	consent 5:18, 21
3:9 24:4 25:8	55:8 60:23	comes 20:3	39:20 74:1	consequence
28:19 31:22	66:20 68:14	36:13 79:20	77:15	84:18
41:17 45:7	87:18	comfort 18:8	complicated	consider 4:10,
clarify 25:13	clinician-	comfortable	80:13, 14	18 7:8, 10
26:2 37:3	administered	72:7	complication	56:10
46:18 82:18	15:17	coming 31:4	12:13	consideration
clarifying	clinician-	86:23	complications	24:17
34:10 44:20	assessed 15:14	commend 38:20	12:5, 25 16:11	considerations
clarity 5:3 29:4	23:10, 16 78:15	comment 9:9	17:9, 12 27:16	31:8 67:11
clear 44:3	82:1, 12	26:3 37:19	34:3 79:13	75:12
52:9 67:6		38:1 48:10, 15,	84:6 86:4	considered
		22 49:6 60:15		13:18 19:10, 23,

24 20:12 51:18 54:3 56:15 71:12 considering 39:12 40:4 70:19 consistent 8:11 content 54:25 86:9 87:7 97:20 CONTENTS 3:1 context 15:17 71:1 77:2 84:2 98:14 99:7 contexts 8:9 9:4 67:6 continue 70:1 continued 74:3 contractor 8:18 contribution 10:12 contributions 100:16 control 69:7, 9 controversy 70:16 71:10 conversation 67:11 71:16 81:8 83:9 86:13, 14 98:11 100:16 conversations 6:4 conveying 7:4 convincing 68:23 coordinator 4:7 copy 15:10 correct 25:21 32:20 46:19 101:7 correctly 32:12 correlation 57:23 corresponding 18:11 corroboration 20:1, 2	cost 39:13, 16 40:7 costs 39:11 40:4 counsel 57:15 counterbalance 73:25 couple 16:5 23:3 30:20 31:11 34:20 52:8 77:20 85:13 coupling 72:21 course 21:3 29:20 34:2 54:23 58:19 60:11, 19 92:12 courses 81:10 cover 70:12, 14 Coverage 1:8 5:4 6:20 7:24 29:19 30:8 37:5, 25 38:13 39:18, 23 40:5 covered 21:5 70:12, 14 crisis 57:13 critical 19:20, 21, 23 49:18, 19 50:7, 10, 14 55:8 critically 16:12 54:12 cross 35:11 crucial 96:5 cup 75:14 cups 74:15 curious 23:12 47:22 49:15 53:25 66:22 80:18 current 99:23 currently 16:24 cycle 38:19 < D > daily 17:6 data 19:4 date 7:16 dates 45:9	day 32:18 101:10 days 68:14 daytime 58:19 DBS 35:8 deal 57:8, 9 59:20 deals 17:5 decade 26:1 decide 65:18 decision 39:24 40:5 76:16 decisions 5:4 37:5 decline 20:10 declining 59:25 60:1 deemed 15:12 Deep 13:5 deeper 81:5 defer 83:6 definitely 54:19 94:16 definition 17:3 definitions 11:12 degree 59:14 98:11 delay 48:25 deliberating 9:9 deliberation 10:6 deliberations 11:1 demand 56:17 demanded 57:24 demands 56:15 demographics 21:15 demonstrate 38:25 depend 80:10 depending 59:13, 23 74:14 88:20 depends 69:7 derived 67:14 design 70:2	designation 49:19 desire 6:22 7:2 detail 21:19 53:9 61:11 70:10 83:12 84:7 97:25 detailed 53:12 57:21 81:21 98:5 detectable 62:9 determination 23:11 37:25 determinations 29:20 30:8 38:14 determine 58:2, 3 develop 6:20 developed 12:15 28:20 52:25 developing 7:5, 12 32:16 development 12:9 37:16, 21 38:2, 4, 19 39:2 53:1 74:4 developmental 38:21 device 13:14 16:3, 4 20:24 26:8 27:10 28:24 29:1 32:15, 16 33:21 34:5 35:11 37:6 39:11, 16, 20 52:9, 10, 11, 12, 22 53:23 55:5 59:14, 23 60:5 67:25 69:19 73:1, 5 74:5 80:10 91:23 device-related 17:20 18:15 53:7 Devices 1:9 4:8, 11 5:14 7:16 11:6	12:15 13:9 18:2, 17 19:5 26:16 27:1, 25 28:22 29:4 30:3 33:4 38:3 39:22 52:24 53:14, 20 54:4 55:20 57:9 59:8 66:18 68:12 70:23 72:14, 21 73:9, 12, 21 74:4 80:5, 14 devoted 44:2 Dhruva 2:7 5:6 30:13 32:11, 21 40:9 45:18 52:4 54:6 64:4 65:8, 21 69:4 79:25 88:4, 16 94:15, 22 diabetes 7:17 90:2 95:19 diary 35:7 36:1 48:11 49:1 50:10 differ 53:23, 24 54:2, 15 difference 17:4 27:21 28:3 33:25 34:3 66:9, 10 70:22, 25 98:19 differences 8:6, 22 11:13 27:9, 23 53:18 61:10 91:23 different 8:9 9:4 20:19 26:10, 16, 17 27:4, 25 29:14 31:11 33:9, 14 35:2 41:14 42:23 45:9 52:10, 12, 14, 23 53:14, 19, 20 54:3, 4, 20 55:10, 19 57:13, 18 58:7 65:10,
--	---	--	---	---

17 67:5, 13 68:24 73:8, 12, 14 76:22 77:20 78:16 80:4, 5, 10, 13 81:6, 22 82:3, 4 differentiate 55:19 differentiated 18:3 20:23 differentiating 50:7 differentiation 33:25 differently 54:3 difficulty 27:14 diminish 34:6 73:17 direct 23:25 26:11 52:21 directive 29:24 directly 5:10 40:22, 25 disclose 5:23, 24 discomfort 27:15 discrepancies 35:1 discuss 4:8 9:1 34:22 83:19 discussed 17:23 51:7 83:23 92:2 discussing 6:7 69:6 79:19 Discussion 3:10 8:7 9:6, 11 15:8 21:25 22:9 26:23 32:8 34:11 35:22 42:25 43:4, 15 44:21 45:1, 3 53:17 55:1, 6, 8, 11, 23 61:7 62:5 66:3, 4, 13, 14 68:5, 7 70:7 74:18 75:16 76:8 80:24 83:25 84:5 85:24	86:19 90:4 93:7, 13 95:7 98:5, 6 Discussion/Refinement 3:11 discussions 4:23 Disease 1:9 4:9, 12 11:7, 16, 24 12:12, 16, 19, 23 13:1, 15 16:8, 23 17:8 18:17, 25 20:5 21:11 39:25 50:12 51:3, 12 55:5 59:4 64:16 65:3 67:24 71:13, 24 72:5, 18, 25 80:17 81:10 88:24 disease-related 17:15 86:2 disorder 16:17 56:25 58:4, 21 59:10 disorders 11:16 13:20 16:25 distinguish 89:7 distribute 5:21 distribution 5:19 divided 49:22 docket 61:5 document 15:1, 6, 9 19:3 21:17 43:20 44:12 53:11 76:17 77:9, 19 81:20 85:18 documents 13:17 14:7, 12 18:22, 23 21:4 25:13 doing 31:17 49:3 58:10 93:4 domain 15:14 16:20 17:14, 20 20:25 36:15 66:16, 19 74:6	76:23 77:23 78:6 79:1 89:22 90:17, 19, 20 91:5, 6 domain-related 78:13 87:13 domains 10:2 29:14 31:25 40:14, 15 41:5 47:17, 24 73:18, 24 76:22 78:24 79:3 81:16, 18 83:15 87:3, 4 88:13 90:16 97:1 dopamine 12:3 dopaminergic 11:17 12:1 double 19:14, 21 35:23 51:18 dozens 9:23 Dr 3:4, 6 5:6, 7 6:10, 12 21:22 22:1, 8 23:24 24:6 25:7, 17, 23 26:13 27:11, 12 28:7, 17 29:8, 15, 22, 23 30:9, 11, 13 31:21 32:11, 20, 21, 22 34:1, 7, 10, 14, 17, 19 36:9, 25 37:1, 2, 8 38:1 39:3, 4, 19 40:2, 8, 9 41:21 42:1, 8, 16, 18 43:9, 24 44:4, 9, 17, 19 45:16, 18 46:1 47:3, 9, 10, 13, 25 48:9, 18, 19 50:18, 20 52:3, 4 53:16 54:6, 24 55:21 56:3, 21, 24 57:2, 16, 20 58:15, 16 59:12 60:14 61:7 63:4, 11, 24 64:3, 4, 25 65:8,	14, 21, 22 66:14 67:2, 19 68:8 69:4 70:5, 13 71:8 72:11 73:7 74:12 75:2, 4, 9 77:11, 17 79:25 80:18, 22 81:13, 19, 24 82:7, 10, 17 83:7, 14, 16, 22 84:2, 8, 10, 16, 18 85:16, 19, 21 86:1, 6, 7, 11, 23 87:25 88:2, 4, 12, 16 89:17 90:15 91:8, 10, 11 92:5, 8, 12, 14, 19 93:3, 8, 15, 18, 23 94:1, 15, 22, 23 95:8, 18 96:5, 13, 25 97:1, 9, 10, 12, 18 98:4, 15, 22 99:1, 4, 9, 21 100:1, 8, 13, 14, 20 Draft 3:11 drafts 95:15 dramatic 70:15 draw 81:8 drew 26:24 driver 50:16 drop 85:4 drugs 12:1, 2, 4, 7 34:4 38:3 due 69:18 duplicative 47:1 durability 8:7 duration 5:2 9:3 16:8 20:18 55:4 67:5 69:11 70:4, 6 90:6, 11 91:13 97:17, 21 durations 38:9 66:16, 25 67:23 dysfunction 51:12 65:2, 6	dyskinesia 12:9, 10 35:7 79:14 86:4 dysphasia 48:4 < E > earlier 25:18, 20 44:24 61:9 67:21 73:15 early 7:1 30:19 38:3 74:22 easy 59:16 echo 50:9 eclantic 27:17 editing 95:22 EDT 4:3 100:23 effect 31:3 54:10, 17 effective 7:3 8:12 effectiveness 26:16 33:11 54:1 effects 72:16 efficacy 12:6 26:14, 20 27:5 29:12, 21 54:1 efficiency 9:20 efficient 7:3 39:2 91:1 94:19 effort 38:19 eight 79:6, 19, 22 80:7 83:23 87:2, 4, 23 88:7 91:20 93:5 either 14:8 15:15 19:20 24:23 86:25 electronically 94:20 elicit 45:3 66:24 eligible 71:25 empanel 84:12 emphasis 38:4 emphasize 40:10, 13 90:24
---	---	--	---	---

emphasized 14:8	96:1 97:5 99:14	everybody's 49:6	expertise 33:5 43:5 47:20 64:23 86:3 87:7 89:8	far 13:5 36:5 45:9 73:12 76:6
emphasizing 38:22	engage 6:23	evidence 4:14 6:20 7:5 21:9 33:2 37:21, 24 38:10 45:5 46:6 47:15 68:25 69:1 84:21 100:3	experts 11:3 14:15, 21 42:13 46:10, 15 50:6 56:1 82:25 86:9 97:20, 23 100:5	Farmer 3:6 6:10, 12 31:21 32:12, 20 38:1 67:2 70:13 77:11 84:10, 18 85:16 90:15 91:10 92:5 93:15, 23 96:5, 25 97:9, 12 98:4, 22 100:14
empirically 49:22	engaged 84:19	exactly 14:21 23:14 43:8 84:11 86:6	expiratory 59:24	fast 86:18
encounter 25:3	engaging 40:22	examining 41:6	explain 88:5	favor 79:25
encourage 92:24	enrich 86:13	example 16:8 26:12 27:11 28:1 35:4, 8 37:6 40:11 43:2, 10, 12 46:21 48:4 58:22 69:9 81:1 89:25	explained 17:10, 12	FDA 38:22
endorsed 20:13	ensuing 14:10	exception 19:12 20:9	explicit 14:9 27:23 79:2	feasibility 78:12
endpoint 7:20 9:22 10:24 16:19 17:16 20:12, 25 26:14 36:24 66:8 76:10 87:8 93:16 97:1	entered 84:21	exclusive 32:15, 19	explicitly 28:4 78:18 87:23	Federal 6:1
endpoints 1:11 3:7 4:10, 24 7:7, 25 8:5, 9, 17, 20, 23 9:4, 23 11:6, 11 13:18, 23 14:3, 8, 11 15:11, 15, 18, 22 16:2, 7 17:21 18:11, 20 19:6, 10, 18, 22 20:19 23:2 25:1, 10 26:8 28:21 29:7, 11, 25 31:14, 23 32:8 33:3, 6, 8, 14 35:5 36:15 37:15, 20 38:5, 8, 16, 21, 24 39:21 40:1 46:15 47:19, 21, 24 50:22 52:21 53:18 54:1 55:6 61:13 63:15, 19 67:23 69:14 70:18 72:5, 7 76:17, 23 78:8, 16, 25 80:5 81:16, 18, 23 82:2, 5, 13 83:1, 19 86:15 87:2, 4, 11 88:7, 15 89:21 92:10 94:6 95:11, 24	enumerates 8:5	examples 13:3 81:1	exploring 7:11	feedback 11:2 27:23 36:22 78:20
	envelope 95:1	Excuse 92:8	express 38:16	feel 7:2 42:2 45:11 61:3, 4 68:20 71:16 80:20 83:11 90:23 94:2, 3 96:20
	epiphany 75:13	executive 65:1	extensive 12:22	feeling 49:2
	Epworth 56:13, 19 57:3, 17, 22 58:22	exhaustive 93:24	extensively 6:23	feels 66:22
	erratic 12:10	exhibit 11:19	external 11:2 13:9 14:14 47:15	fell 15:13
	especially 16:7, 10 50:11 54:12	existing 7:7	externally 84:19	felt 45:19 69:22 86:21
	Essential 1:10 4:9, 12, 15 11:8 12:16, 17 13:2, 16 18:9, 24 39:25 62:14 63:15, 22 66:18 92:11 98:17	expect 55:19 59:8 74:5, 20	extract 95:10	fertile 38:18 39:1
	essentially 46:7 56:7 65:12 71:18 91:19 97:2	expectations 7:4, 14	extremely 76:12 88:9	fewer 83:15
	established 90:12 98:8, 20	expected 26:16 50:22 55:8 60:17 67:1	external 11:2 13:9 14:14 47:15	field 84:23
	establishing 9:19	experience 24:1 42:6 61:24	externally 84:19	fifth 19:3
	et 40:18 81:4 88:11 94:24	expert 4:21 14:24 15:13, 20 16:1 17:16, 25 18:13 19:12, 25 20:13, 20 42:12 47:5, 16 49:21 52:16 68:9 84:20 99:2	extract 95:10	figure 34:15
	Europe 19:3 49:20		extract 95:10	file 15:6 44:9 101:4
	European 19:16, 23 20:14 35:25		extract 95:10	files 101:8
	evaluated 30:1		extract 95:10	fill 14:18 74:15
	evaluation 39:13		extract 95:10	filled 75:14
	event 34:4 53:7		extract 95:10	final 76:16, 17 85:13
	events 18:1, 4, 6 52:15, 21 53:13 55:17		extract 95:10	find 38:10 43:12 49:14 64:17 75:24 83:14 85:14
	Everybody 75:4 90:17 94:13 100:15, 20		extract 95:10	finding 32:14

fine 47:9 74:25 92:7	forward 36:16 46:15 100:17	gaps 4:15 14:19 38:10	74:21 76:2 94:21	group 29:24 34:13, 18 56:9 62:5 70:8 75:17 79:18 84:3
First 11:15, 25 17:5 22:11 28:15 45:1 48:21 51:21 67:3, 6 68:14 77:21 82:19	found 15:7 18:12 38:10 45:16 64:19 79:1	Gasparotti 101:3	going 8:15 9:10, 18 21:23 22:10 28:3 30:21 32:22 36:12 42:17, 18 44:23 52:12, 14, 23 53:4 56:7 57:9 68:4 70:1 76:10, 20, 24 81:5 82:16, 17 83:3, 12 84:1 86:2 87:22 89:14, 19 90:8, 9 92:4, 23 94:5, 8, 10 95:9, 23 98:4 99:10	groups 12:11 group's 78:20 guess 27:8 31:3 43:9 44:12 46:1, 20 49:12 53:21 58:25 72:2, 9 73:6, 8
firstly 8:15	four 17:1, 8 18:25 79:5 89:6 90:16, 18 91:5 93:22 97:3	gearing 85:24	Goldsmith 2:14 5:7 49:4, 5, 23 50:9 60:18 62:16, 23 63:3, 9 72:19 74:8 94:23	guidance 4:20 13:17 14:7 18:22 21:4 28:20 32:14
fit 27:5	frames 10:4	general 22:14, 24 32:14 56:22 59:15 60:6 68:10 70:17	Good 4:4 6:12 10:22 20:1 31:10 50:11 52:6 62:11 65:14 68:25 69:2 71:14 75:15 83:16 92:23 97:12 98:15 99:20 100:21	guide 66:3 96:18
five 14:12 16:9 18:24 43:13 79:5 88:9 91:16	framing 6:16 10:10 37:14 68:6 71:1 77:12	generalizability 21:1	Government 6:2	guidelines 19:1, 2, 16, 17, 24 20:15, 16 25:11 33:19 35:25 49:20 63:22
flat 23:18	free 77:15	generally 8:11 60:16 69:15, 17, 22 76:4 94:17	Good 4:4 6:12 10:22 20:1 31:10 50:11 52:6 62:11 65:14 68:25 69:2 71:14 75:15 83:16 92:23 97:12 98:15 99:20 100:21	guys 79:18 96:19
flexible 53:5	frequently 4:14 13:6 14:4 17:22 47:7	generating 30:6	Government 6:2	< H >
floor 75:10	front 77:19 83:18	getting 35:18 40:13 63:12 70:11 75:7 96:20, 21 100:4	grade 80:7	Hall 3:4 4:4, 6 10:16, 20 21:23 74:25 75:7 85:10 89:13 96:10 100:10, 21
fluctuation 34:8	full 39:1 44:1, 16 59:17 62:4, 25 67:15, 17 70:7 85:7 99:12 101:7	gist 39:8	granular 80:15, 24	hallucinations 40:18
fluctuations 12:8 36:2	functional 60:12	give 6:15 11:1 74:14 76:13 87:15 91:19	grapple 31:1 52:8	hand 6:5 15:10 28:8 30:11 34:16 72:24, 25 82:15
focus 14:10 60:20	functions 24:2 59:23	Given 6:24 54:16 79:22 80:13 81:12 87:6 89:8	grapple 31:1 52:8	handful 18:10 55:15
focused 21:5 33:2 40:1 43:18 71:23	funny 75:25	giving 5:18, 20 36:21	gray 13:12	happen 55:2
focusing 11:8	further 74:18 101:6	glad 48:3, 20	great 43:24 64:7 68:22 74:8 75:9, 14, 16 89:17	happened 12:9 26:5
fog 61:18	future 5:4 6:21 10:8 29:19	global 78:8 79:11 81:2 88:10	greater 16:8 38:4, 6 97:5	happy 83:15 89:8
follow 28:14 29:17 34:21 46:2 59:17	< G >	go 21:20 22:8, 20 28:15 34:11 35:21 44:15, 22 48:23 51:21 62:17 63:1, 8 69:25 75:8 76:24 77:25 78:13 80:6 82:10, 20 86:16 91:18 95:8 100:18	grip 49:1	hard 39:6 55:25 61:17
follows 47:14	gait 16:13 28:2 54:10, 20 78:9 79:12 81:3 88:11	goal 12:24 37:5, 15		
followup 9:3 38:7, 9 66:17, 25 67:5 69:11 70:4 87:8 90:1, 6, 11 91:13		goes 25:25 27:18 30:16 61:8 72:24		
follow-up 5:2 20:18, 23 87:12 97:17, 20				
font 15:19, 23				
forces 59:24				
foregoing 101:6				
foregoing- entitled 101:5				
forgetting 40:11 52:16				
form 18:5				
formal 65:19				
forth 9:2				
forum 6:6				

86:8 96:18	hope 6:17, 19 7:18 61:5 81:8	impairment 37:9	35:14 45:15, 23 48:15 50:4	90:7, 8, 10, 12 99:12
hat 64:6	hoped 70:12, 14	implant 71:5	56:5, 14 73:21 99:14	instruments 5:1 11:10, 14
health 4:15 5:24 15:14 22:16 38:25 87:18	hopefully 85:12	implanted 52:12	includes 17:1 21:17	15:23, 25 17:18 18:12, 13 31:6
healthcare 84:23	hoping 8:14, 25 10:11 85:12	implication 14:9	inclusive 48:3	43:22 55:24
hear 34:23 54:7	hospital 57:5 58:3, 6	implied 14:11 66:20	inconsistent 20:22	56:2, 20 60:16 61:16, 25 62:14
heard 6:22	hospitalization 71:14	importance 16:2 36:6, 23 54:8 76:22	incorporate 9:15	64:5, 12 71:18 72:6 77:3 82:4
hearing 39:6 70:15	hospitalizations 71:22	86:3 87:5, 10 89:23 96:3	incorporated 14:24 42:24 44:12, 14 46:13	89:25 90:21 97:23, 24 98:3 99:17
heavily 37:12	hour 74:13	important 13:19 14:3 15:12, 21 16:7, 10, 12 17:4, 15	incorporated 14:24 42:24 44:12, 14 46:13	97:23, 24 98:3 99:17
heed 6:4	hours 93:9 100:12	18:3 19:11, 21, 24 20:12 21:3 26:21 28:21 30:1, 5 32:8 36:18, 20 46:12 47:17, 18 49:14, 18, 24 50:8 54:12 55:23 60:1, 21 61:10, 12 66:5 70:3 73:16 76:6, 10, 12, 13, 25 77:1, 4 78:2, 11 79:1, 21, 24 80:8, 9 81:12 87:19, 21 88:9 90:20, 24 91:15, 18 93:25 94:7, 11, 12 95:11 98:2, 19	incredibly 94:19 99:5 100:2	insufficient 21:13
held 5:16	huge 50:16	impartant 13:19 14:3 15:12, 21 16:7, 10, 12 17:4, 15	indirectly 33:20	insure 73:3
help 5:3 29:23, 25 42:5 48:12 49:1 75:19 95:22 99:15	hundred 57:3	24 20:12 21:3 26:21 28:21 30:1, 5 32:8 36:18, 20 46:12 47:17, 18 49:14, 18, 24 50:8 54:12 55:23 60:1, 21 61:10, 12 66:5 70:3 73:16 76:6, 10, 12, 13, 25 77:1, 4 78:2, 11 79:1, 21, 24 80:8, 9 81:12 87:19, 21 88:9 90:20, 24 91:15, 18 93:25 94:7, 11, 12 95:11 98:2, 19	individual 9:22 87:2 90:21 95:12	insuring 72:22
helpful 15:8 24:14 25:8, 23 26:2 30:9 34:1 43:4, 7 48:17 54:7, 25 55:3, 10, 13 65:8 66:1 68:6 78:17 85:19 89:18 98:12 99:5	hung 64:6	91:15, 18 93:25 94:7, 11, 12 95:11 98:2, 19	indirectly 33:20	intended 9:5 66:24
helps 23:25 55:18 88:2	hybrid 41:8, 23	94:7, 11, 12 95:11 98:2, 19	individually 91:2	66:24
hesitated 82:21	< I >	95:11 98:2, 19	Industry 2:16	intense 18:5
heterogeneity 53:4	IADL 50:24	impressed 100:2 80:11	information 5:22, 25 14:19 15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	intent 29:10
Hi 37:2 49:4 89:13	IADLs 51:2	improve 57:11 80:11	15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	intention 25:9
high 10:23 25:25 57:21 58:2 66:12	ICU 57:8	improved 38:25	15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	interest 4:13 66:9
higher 30:22	idea 76:4 77:1 78:8 83:16 99:20	improvement 68:21 70:2	15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	interested 34:22
highlight 62:12 79:23	ideal 4:24 90:6	improving 29:7	15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	interesting 24:16 46:3 57:25 58:17 59:22 60:25 63:21 66:5
highly 58:1	identifiable 5:22	inaudible 27:21	15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	63:21 66:5
historically 38:9	identified 8:18, 23 14:2 15:24 17:14, 18 18:10, 23 32:7 33:3, 15 43:23 47:18, 19, 21 49:9, 15 55:24 61:13 62:13 63:22 89:2, 22	inclination 82:19	15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	interject 85:10 89:14
hold 79:10 96:19	idea 76:4 77:1 78:8 83:16 99:20	include 12:5 13:8 43:1 84:4	15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	intermediate 71:23
homework 89:1, 9	immediately 68:1	included 13:23 18:25 21:7 25:14 33:22, 23	15:5 16:21 18:14 20:18 21:13 30:6 36:3 37:22 39:15 43:16 44:2, 13 55:12, 15 65:24 95:10 97:16 98:10	internist 22:15, 24

Introduction 3:3	Keep 24:24 44:23 58:5	69:24 71:11, 13, 24 72:1, 23, 24	lean 85:22	little 6:15
intuitive 52:18 97:21	76:18 83:9	73:1, 5, 11 74:1	leaning 82:7	11:15 12:17
invasive 52:11	keeps 92:19	76:9, 24 77:4, 6	learn 100:19	16:21 22:25
involuntary 12:10	key 53:18	78:4 79:2	leave 92:22 95:6	30:15 35:2
involve 18:8	66:13 95:10	80:19 81:1, 9, 19 82:6, 14	leaving 98:13	40:23 44:24
involved 11:22 13:12 42:10 85:17	Khatri 2:10	83:3 85:14	left 88:8	46:25 53:9, 12
involves 12:10	5:7 37:2 42:8	87:17, 18 88:24	leg 59:3, 10	57:13 58:23
involving 16:13	45:16 47:25	89:2, 5, 9 90:19, 25 91:4, 14	legal 38:12	59:22 61:17
irreversible 71:7	48:18 50:18	92:1, 2 93:6	lengthy 58:6	72:3 75:25
issue 43:3, 6 58:5, 24, 25	58:16 63:24	94:10, 18 95:6, 14, 19, 20, 21, 23	lesser 97:6	79:7 83:8
Issues 3:5 18:8 56:4, 5 60:7 70:19 72:16 75:18 87:12	64:25 65:14	96:7, 16 98:25 99:10, 16	level 10:23 64:1 66:12	85:22 89:10
item 46:24	82:17 84:2, 16	100:18	80:25 81:4, 5	94:11 95:1
items 6:21 52:1	85:21 86:6	knowing 86:8 99:6	84:7 85:23	living 17:7
it'll 87:16	93:3 94:22	knows 22:13	87:13	long 9:24 24:9 25:5 56:5
its 37:15 59:24	99:4, 21	< L >	levodopa 12:1	72:14 74:20
< J >	kind 9:1 23:20 30:5 31:2 40:5, 6 41:8 46:3	lab 60:11	library 7:12, 25	91:12 93:3
Joe 22:14 34:20 36:10 46:1 47:13 50:20 65:23 69:4 83:4 88:4 94:18, 21 95:4	51:5 59:2	labeled 19:19	life 17:15 37:12 38:18	96:9
Joe's 35:2 40:13	65:12 71:20, 23 72:24 76:1	labels 19:15	50:15 56:5	longer 19:18 38:23 68:17
joining 6:13	77:9 93:13	laborious 24:18 57:21	57:11 58:24	69:1 94:18
Joseph 2:4	94:8 98:14	lack 33:5 87:6 89:8	59:1	95:2
journals 25:25	kinds 8:7 27:24 53:14, 20	laptop 15:9	lifecycle 54:22	long-term 12:4 58:5 72:16
judge 58:9	knew 23:4	large 25:24	lifestyle 39:1	look 11:12 19:8 35:7, 16
judgment 98:14	know 24:11 25:3 26:19	largely 70:13	likeness 5:19	36:2, 3 43:10
judgments 23:22	28:1, 11 29:2, 13, 15, 18 30:17	larger 62:5 70:8 75:19	limit 87:1 98:6	53:11 55:17
juice 95:4, 5	31:2, 14, 18	late 60:10	limitations 6:25	60:3 61:1 63:6, 7 68:4 95:21
jump 36:9 52:4	33:7, 9, 16, 22	Laughter 93:20	limited 84:14	7 68:4 95:21
June 89:4	34:4 35:4	Laura 2:17 25:7 28:10, 14	limiting 73:22 74:9	looked 8:20 18:22 24:24
justification 65:17	36:17 37:10, 12	34:13 36:9	line 11:25 23:8 40:23	36:15
< K >	39:6, 22 40:20	42:16 48:9, 19	lines 41:3 55:7	looking 14:6 26:24 28:24
	42:1, 4, 6, 8, 9	54:24 61:8	list 13:24 19:8, 17, 19 20:3, 7, 8	29:3, 5 33:6
	46:23 48:5, 18, 22 49:12 50:6	65:22 67:21	32:18, 19 35:14	34:5 36:14
	51:3, 10, 13, 19	68:8 73:7	44:20 50:5	39:13 41:7
	52:25 53:5	80:18 83:5	64:10 76:16	43:19 45:19
	54:2 55:3, 18	86:23 94:3	77:13	53:6 57:10
	56:4, 9 57:2, 5, 7, 16 59:7 60:6, 19, 23, 25 61:1, 2, 4, 25 63:9, 23, 24 64:18 65:5	lay 76:1	listed 61:17, 23 62:17 63:5, 14	58:7 60:4 62:6
	66:6, 7 67:19	lead 28:3 41:11	65:1 78:1	68:9 73:1 79:3
	68:3, 11, 13, 16, 17, 19, 23, 24	leading 11:18	86:16	81:1, 20 100:17
		leads 12:4 76:8	literature 4:20 8:24 13:7, 11, 13 18:21 24:15, 24 32:7 43:11	looks 44:4
			45:6, 8, 12, 14 92:11	loss 11:17 12:6
				lot 20:1 29:4 31:15 40:14, 15
				46:4 53:4 57:6
				59:20 71:17
				78:16 79:6

82:13, 22, 24 86:18 92:23 94:18 95:7 97:25 100:19 loud 28:12 59:2 luck 86:12 lumping 50:13 lunch 6:8 44:24 74:21, 23	27:11 34:14, 19 36:25 42:18 43:9, 24 44:17 47:10 48:9, 19 54:24 65:22 67:19, 22 73:7 80:22 81:19 82:7 83:14 86:11 87:25 94:23 Mauri's 37:8 MCD 61:21 MCID 17:4 42:19, 20, 22 43:13, 23 44:15 61:16, 19, 21, 23 62:1, 11 65:10 66:10 87:8, 11 89:25 90:12 91:14 97:16 98:1, 2 MCIDs 15:24 18:12 43:5, 19 61:12 62:13 63:14 65:17, 25 98:5, 20 MD 2:4, 7, 10, 17 MDC 62:9, 15 MDS 17:1 99:22 mean 21:6 27:9 56:15 58:22 68:8 88:21, 25 meaningful 4:16 8:6, 22 11:13 19:6 means 32:15 measure 4:25 11:11 16:10 27:14, 20 32:3 37:9 48:7 76:19 measured 15:15 20:6 25:1, 2 37:21 82:5 91:17 92:2	measurement 5:1 15:22 17:19 24:1 42:7 55:24 measurements 40:7 58:18 measures 41:23, 24 50:24 51:1, 5, 9 60:15 68:15, 19 72:4, 8 78:4 measuring 18:1 65:1 71:18 mechanism 27:9 73:10 mechanisms 27:4, 20 73:13 MEDCAC 1:8 3:5 4:6, 9, 18, 23 10:25 22:17 31:20 32:13 76:3 82:21 84:13, 21 89:1 100:17 MEDCACs 93:16 MEDICAID 1:7 medical 4:14 30:3 MEDICARE 1:7, 8 6:18 7:10 21:2 32:9 54:13 80:3 medication 33:10 Medicine 22:15 25:4 medium 83:15 meet 47:8 Meeting 1:12 4:2 5:6, 16, 20, 23 6:6, 8, 10 9:1, 5, 8, 11, 14, 16, 18 10:5 21:21 22:4 36:13 66:23 75:19 77:14 85:3 89:4 93:3 96:9 99:6 100:17, 22	meetings 6:17 7:6 71:9 75:25 76:3 Members 2:9 4:6, 23 5:5 6:4 96:12 mention 52:5 80:2 96:11 99:21 mentionable 49:11 mentioned 20:14 27:3 47:2 48:21 50:1 52:17 mere 72:13 merits 32:5 71:1 mess 92:4 methodologic 30:15 methodologically 69:16 methodology 13:10 MHS 2:4 Michelle 95:16 mics 5:11 mind 17:11 24:25 76:19 minds 94:15 minimal 17:4 39:14 62:9 minimum 61:9 66:16 minute 92:20 93:5 minutes 52:8 74:13 75:1 93:5, 11, 12 missed 48:8 49:9 misses 45:25 missing 47:23 58:4 62:6 mix 21:11 mixture 23:23 mobility 54:9	MoCA 64:11, 22 65:4 mockup 77:12 moments 75:13 monitoring 13:19 month 68:14 87:21 months 68:16 69:2, 24 mood 16:16 19:13 20:9 morbidity 54:18 morning 4:4 6:12 10:22 40:12 motor 11:9, 19 12:4, 5, 7, 21, 25 16:10, 13, 25 17:7, 8, 12 34:3, 7 35:7 36:1 48:11, 13 50:25 59:23 78:9 79:12, 13 81:3 84:5, 6 86:4 87:20 88:10 motor-related 17:6 51:22 79:15 move 15:7 movement 11:20 12:20 movements 12:11 moving 19:7 83:9 MPH 2:7 MSc 2:17 multiple 24:20 26:10 muscle 11:20 12:11 muscles 11:22 mute 5:14 < N > name 5:8, 19 15:7 63:1 101:10 name's 22:14
---	---	---	---	---

national 5:4 7:24 29:19	non-motor 11:23 12:21 17:5 40:18 46:24 79:16 84:6 89:6	41:25 42:16 43:24 44:4, 22 47:3 49:23 57:1 63:3 70:9 74:23 75:2, 9, 14 83:10 87:24 88:2, 16, 18 92:14, 19 93:18 94:21 96:22, 25 97:8, 9	37:11 47:17, 24 68:15, 19 71:14, 15 73:18 78:3	part 17:5, 6, 7, 8 30:1, 7 35:18 36:11 46:25 51:12 56:11 58:20 60:3 72:17 73:2 74:18 76:6, 7 78:15 86:1 94:2 98:3 99:12
nature 24:18 59:16	nonpharmacolog ic 12:14, 24 13:3	57:1 63:3 70:9 74:23 75:2, 9, 14 83:10 87:24 88:2, 16, 18 92:14, 19 93:18 94:21 96:22, 25 97:8, 9	outcomes 4:15 15:15 17:13 26:17, 18, 19 27:21, 24 33:11 34:25 35:21 36:7 38:25 53:23 69:8 71:19 87:18	participants 20:4 50:3
necessarily 25:2 32:17, 19 33:20 37:17 41:4 52:23 58:21 69:19 84:24	note 85:16	older 16:11 21:5, 9 31:5 54:8, 16, 22 56:8 91:24	output 39:14	participated 76:3 78:23 80:19
necessary 22:3 38:12	notice 23:1 33:1 56:12	once 89:2 96:14	outside 85:9	particular 7:9 8:1 16:18 31:23 80:16 91:24 99:18
need 27:20 30:25 32:17 44:15 65:25 70:17 74:21 79:2 83:23 96:22 97:15	noticed 22:7 24:22 26:6	ones 15:19 36:19 46:8, 12 58:13 61:22 78:15 80:2 86:16 91:5	overall 27:6 37:14 64:9	participated 76:3 78:23 80:19
needed 73:23	noticing 63:13	open 6:5 8:4 15:9 44:10 75:10 85:25	overlap 33:13	particular 7:9 8:1 16:18 31:23 80:16 91:24 99:18
negative 54:21	nuances 7:9	opening 78:19	< P >	particularly 43:4 68:12 82:12 89:20 90:23, 24 91:25 97:19
neurologist 37:3 42:3 48:1 85:17 89:11	number 6:25 7:19 11:22 41:1 46:4 84:14	operative 58:2	p.m 100:23	partially 43:4 68:12 82:12 89:20 90:23, 24 91:25 97:19
neurologists 14:16 45:20 64:9 84:4, 14 85:7	numerical 44:13	opinion 72:18	palliative 56:4	parts 17:2 73:9
neurology 84:12, 24	< O >	opportunity 7:14 8:16 9:9 79:23 80:2 87:10, 16	panel 3:9 4:6, 9, 18, 23 31:20 41:19 42:25 43:14 48:16 55:2 66:4, 12 67:4, 7, 15, 17 68:7 70:16 77:24 84:11, 21 85:3, 7 89:11, 16 92:6, 16, 18 96:11	passing 85:5
neurons 11:18	objective 11:4, 10 69:15	order 4:2 30:7 47:7 59:18	panel's 10:25	pathologist 50:14
neurosurgeon 14:16 45:20 64:8 89:12	obligation 38:13	organizations 19:3	paper 30:19 43:12	Patient 2:13 16:3, 6 20:7 23:3, 7, 9, 18, 21 25:3 29:7 33:12 35:6, 9, 10 36:1 40:16, 22, 25 41:6, 11, 12, 14 48:11, 12 50:10 53:19 58:13 66:21 68:15 69:20
neurosurgeons 84:15, 16 89:15	observed 66:17	organized 75:20 83:2 94:9	papers 39:10	patient-centered 37:11
neurosurgery 84:25	observer 40:20 41:13	original 35:3 46:8 65:23 85:18	Parkinson's 1:9 4:8, 12 11:7, 16, 24 12:12, 16, 19, 23 13:1, 15 16:17, 23 18:17, 25 20:5 39:25 50:4 58:20 59:4 60:10 64:16, 18 65:3, 11 66:18 80:17 88:23	patient-reported 17:13 34:25 35:20 36:7, 23 71:15, 19 78:3
neurosurgical 70:24	observing 68:21	ought 93:19		Patients 11:19 13:19 23:17 28:23 48:1, 6, 23 49:2 50:15,
never 17:11 46:14 74:9 82:20 96:15	obvious 68:2	outcome 23:10 35:8 36:24		
nevertheless 39:8	obviously 27:25 41:11 52:18			
new 4:13 7:5	occur 18:5 27:24 68:3			
newer 66:7	occurred 25:18, 19, 20			
night 58:24	occurs 12:20			
noninvasive 18:7 52:9, 10	offer 43:6			
	offhand 42:8			
	office 24:20			
	officer 6:11			
	oh 29:8 43:17 56:2 63:9 81:23 83:16, 25 88:16 92:12			
	Okay 10:21 22:5, 8 23:24 29:15, 22 34:1, 17 36:25 40:2			

17 51:11 60:10 61:3 64:19 73:16, 19 74:3 86:21 88:23 patient's 23:12 24:1 49:13 Paul 101:3 PDF 79:4 PDRS 46:25 81:22 PDSS 57:18 people 22:18 24:20 44:5, 22, 25 45:4, 11 47:22, 23 57:6, 8 58:3 60:1 64:14 74:14, 23 75:11 76:2 77:17 78:22, 24 79:22 84:13 85:2 87:5, 6, 15, 22 90:3, 9, 13 91:22 92:15, 16, 18, 24, 25 94:4 95:3 96:15, 18 97:2, 18, 25 98:17 99:12, 15 peoples 51:21 53:25 people's 57:11 perceived 46:4 86:21 percent 57:4 perception 35:10 36:22 48:13 performance 90:8 period 68:22 72:14 permanent 70:24 71:5, 6 permanently 52:11 persists 68:24 person 54:17 personal 5:24 personally 5:21	perspective 14:18 49:13 60:24 pertained 26:14 pertinent 8:1 31:23 pharmaceutical 11:25 33:7, 23 45:8 phase 6:24 phone 5:11 phonetic 26:13 27:17 phrase 51:22 physicians 26:5 pick 59:16 piece 50:15, 16 73:2 pieces 60:22 pipeline 37:17 place 5:15 6:5 placed 27:13 plan 39:18 60:2 play 6:18 playing 96:17 plays 64:1 Please 5:14, 15, 24 6:7 21:16 22:12 95:20 point 8:16 9:16 25:15 26:23 28:10, 14 31:10, 21 37:8 40:14 41:16, 20 45:3 49:25 50:11 53:21 60:20 61:8 73:5, 15 74:8 94:19, 25 96:14 98:15 pointed 16:15 28:1 points 61:19, 20 66:2 70:9 74:17 90:5 92:24 polled 50:2 Pooja 2:10 37:1, 2 42:1	58:15, 16 64:22 82:15 84:1 85:19 93:2 94:2, 19 pooled 26:11 poor 57:23 population 21:2, 10 32:10 54:13 55:3 56:8 64:16, 18 65:11 80:4 91:25 populations 21:8 67:24 posed 16:1 20:20 34:12 53:17 66:15 positive 16:16 possibility 59:13 possible 9:21 77:18 post 7:20 31:19 postural 81:3 potential 27:15 41:12 69:13 81:22 potentially 26:17 52:22 79:5 99:11 practical 67:9 practicality 24:10, 18 practice 19:1 20:16 25:11 49:20 63:21 64:6, 13 77:4 88:24 predictable 8:11 prefer 81:15 94:8 preference 86:15 preliminary 67:18 70:5 premarket 6:24 pre-meeting 14:23 96:11 preparation 55:1	prepared 26:6 47:11 62:4 75:21 90:18 97:15 preparing 55:11 99:5 presence 29:1 present 5:5 31:19 41:19 68:5 75:21 presentation 10:15 93:10 presented 21:19 22:22 presenting 10:23 pretty 19:8 24:13 31:4 32:25 65:18 68:10, 22 69:2 72:7 primary 11:4 print 15:10 prior 14:22 71:9 77:14 prioritization 13:25 47:8 prioritized 15:19 17:21 prioritizing 18:19 30:17 priority 46:7 49:10 PRO 41:1 probably 28:3 42:5 46:10 48:21 70:1 73:22 74:19 93:10, 12 97:19, 25 99:1 procedure 33:9 52:13, 22 54:16 69:10, 12, 18, 20, 22 PROCEEDINGS 4:1 101:4 process 8:4, 12 38:22 57:14	83:4 processes 71:24 produced 19:2, 17 49:20 101:8 producers 43:18 product 27:17 products 7:5 professional 4:20 13:17 14:6 18:22 21:4 33:18 profile 25:25 progresses 50:12 51:3 72:25 progressive 11:17 12:18 51:11 63:25 prohibited 40:4 proliferation 67:8 promote 12:2 prompt 97:3 proposal 88:6 proposed 19:4 80:23 proprietary 57:3 PROs 83:20 88:15 91:15, 21 prospective 50:2 prove 37:7 provide 5:3 13:1 20:18 36:2 43:14 76:21 77:9 78:5 provided 15:1 27:7 39:16 44:19 53:9 providing 15:4 76:21 public 6:23 7:13, 21 9:8, 13, 18 10:5, 9, 13 22:4, 16 38:18 39:1 publication
---	---	--	--	---

64:14 65:13 publicly 7:20 publish 7:19 31:24 published 8:21 13:11 16:25 25:25 30:19 43:10 98:7 pull 32:23 44:5 61:14 77:18 pulmonary 60:2, 7 pulmonologist 24:12 59:20 purpose 14:16 purposes 39:23 83:25 pursue 9:18 put 24:9, 17 28:9 41:1 46:15 77:12 83:17 100:4 putting 72:2 < Q > quality 5:12 17:15, 17 37:12 50:15 56:12 58:5, 23, 24 59:1 quantification 46:7 quarter 74:13 question 9:10 20:20 22:21 26:6, 11, 19 28:10, 23 29:9 31:17 33:1, 19 35:3, 13 36:11 39:9 43:2, 9 45:1, 13 47:14 49:7 53:16 55:23 58:8 61:8, 11 65:14, 23 66:6, 15, 23 68:24 76:5, 9 77:12, 13 79:17 82:1 88:3 92:17 97:13	questioning 9:15 questionnaire 15:18 56:13 57:6 questionnaires 56:6 Questions 3:10, 12 4:22 9:17, 20 10:3 15:25 21:18, 25 22:9, 19 23:15 24:3, 4, 7 25:12 27:7 30:14 32:24 34:11, 12, 20 36:16 44:20, 21 50:22 67:8 70:11 74:19 75:22, 24 77:18, 22 78:13 79:2, 7 80:25 83:25 88:18 89:24 91:13, 20 95:15 97:11 99:3 100:9 quick 31:18 64:17 85:11 quickly 78:14 quite 33:8 45:16 48:2 50:21 86:17 88:5 quote-unquote 62:1 < R > RAC-CT 2:14 raise 51:15 72:3 73:24 raised 48:16 ramifications 54:21 randomized 25:24 35:6, 17 range 7:15 26:15 82:25 rate 30:22 Ratings 16:23 rationale 80:4 90:4 91:23	reading 28:18 40:10 88:22 ready 31:19 real 85:10 realize 30:23 45:22 really 23:23 25:8 48:1 49:1, 3, 13, 24 54:6, 25 55:10 66:13 67:20 71:21 76:5 86:12, 25 87:19, 21 90:7 93:23 98:6, 8, 15, 20 100:17 reason 63:20 82:21 90:25 94:1 reasonable 38:12 72:12 reasoning 76:13, 14 reasons 12:14 85:4 reassured 45:19 recall 20:11 received 11:2 28:6 69:20 receptors 12:3 Recess 75:3 recognize 42:19 48:14 62:22 recognizing 78:12 recommend 36:1 recommendation 14:9 recommendation s 33:21 recommended 15:13, 21 19:11, 17 46:22 47:5 50:6 recommends 43:13 record 5:5 94:13 red 15:23	reduce 12:25 59:15 reduction 79:14 redundant 92:25 refer 19:14 21:16 62:23 reference 8:3 references 42:22 56:14 66:2 referred 15:6 25:18, 20 referring 49:18 81:10 reflect 77:5 87:5 95:13 reflected 14:25 reflecting 71:9 reflections 55:22 reflects 35:10 81:11 refractory 12:15 refrain 6:7 regarding 33:21 regards 54:14 regular 24:21 rehabilitating 64:15 relate 29:11 related 11:9 18:1 26:18 27:16 52:1 59:5 63:15 71:24 72:4, 5 87:8, 12 relates 34:19, 21 67:22 relative 36:5, 23 38:11 82:25 96:2 relatively 18:4 63:24 relevance 97:5, 6 relevancy 32:9 relevant 4:19 18:23 32:2	38:17 66:2 67:23 73:19 74:2 rely 38:7 relying 85:20 REM 58:20 remember 17:10, 11 42:9 remembering 93:9 reminded 6:7 reminding 98:16 REM-sleep 59:10 rep 100:13 replacing 51:19 report 10:24 11:5 13:5, 13, 16, 22 14:2, 7, 13, 19, 20, 25 15:12, 20 17:14, 22 18:10, 21 19:11, 25 20:17 21:5 24:23 36:8 43:18 44:1 reported 23:4, 9, 18 33:12 35:9 51:6 64:21 66:21 68:15 reporting 48:13 representation 84:23 Representative 2:16 21:9 represented 13:4 14:4, 11 70:19 reputation 42:15 request 31:12, 13 require 53:4 73:20 98:24 requirement 32:1 research 4:19, 25 8:19 13:7
---	---	---	--	--

18:21 40:21 63:18 64:1 researched 8:21 researcher 40:20 41:13 residency 42:10 resource 31:17 resource-related 24:19 respect 4:15 respiratory 59:21 72:3 response 16:4 20:21 26:12 100:5 responses 23:7, 21 26:9 28:5 rest 11:21 restless 59:3, 10 restricted 33:21 retentions 72:15 Review 3:7 4:19 7:7 8:2, 16, 24 9:14 10:24 13:24 14:17 21:18 22:19 24:5 25:14 30:24 31:22 33:2, 17 38:22 43:11 45:5 46:6 47:11, 15 55:13, 25 61:10 70:20 93:16 98:13 100:3 reviewed 14:20 32:5 46:11 reviewer 53:10 reviewers 15:13, 20 16:1, 15 17:16, 25 18:3, 13 19:12, 25 20:13, 21, 22 28:5 46:21 47:5, 12, 16 52:16, 17 54:7 64:7 68:9 reviewing 7:24 10:7 24:8	reviews 7:20 8:10 13:14, 21 14:5 17:24 21:3, 7, 12 23:13 25:19 26:4, 25 31:12 42:21 45:21 46:9, 16 47:6 51:14 55:16 revision 16:24 right 23:14 27:22 31:18 34:7, 9 44:7 46:18 49:23, 25 53:2, 5 62:22 63:10 68:11, 13 71:20 75:20 77:9, 11, 24 81:24 82:6 88:12 89:2 93:5, 18 99:25 100:21 right-hand 19:7 rigidity 11:20 41:8 ringers 5:15 rise 46:17 50:17 rises 48:7 risk 58:2 risks 52:10, 13, 14 54:15 55:9 RNN 38:16 robotic 28:2 robust 30:24 Rogstad 3:8 10:14, 18, 21 22:6 23:14 24:22 25:16, 22 27:8, 22 29:10, 18 31:10 33:18 34:2, 9 35:23 37:18 40:3 41:18, 25 43:1, 17, 25 44:8, 11 46:19 47:4 49:17, 25 51:16 53:8 55:14 56:19, 22 57:1 62:8, 20, 25	63:6, 20 83:21 85:1 92:8, 9, 13, 18 95:17 97:13 98:23 99:19, 25 100:7 role 6:17 Ross 2:4 3:4 5:6 21:22 22:1, 8, 14 23:24 28:7 29:8, 23 30:11 32:22 34:1, 7, 10, 17 36:9 37:1 39:3, 19 40:8 41:21 42:1, 16 44:4, 9, 19 46:1 47:3, 9, 13 50:20 52:3 53:16 55:21 57:16 58:15 60:14 61:7 63:4, 11 64:3 66:14 68:8 70:5 71:8 74:12 75:2, 4, 9 77:17 80:18 81:13, 24 82:10 83:7, 16, 22 84:8 85:19 86:1, 7, 23 88:2, 12 89:17 91:8, 11 92:8, 12, 14, 19 93:8, 18 94:1 95:8, 18 96:13 97:1, 10, 18 98:15 99:1, 9 100:1, 8, 13, 20 roster 84:13 85:13 89:15 row 89:21 rule 13:25 < S > safety 16:19 17:20 18:15 26:14, 15, 18, 20 27:5, 24 28:24 29:5, 12, 21 33:8 52:6, 20 54:15 58:25	66:21 68:1, 12 78:4 91:21 safety-related 53:22 Samachano 26:13 27:12 Sanket 2:7 28:7, 15 30:11, 13 40:8, 9 53:21 54:5 64:3 69:3 80:23 92:1 94:14 sat 96:16 saw 26:8 saying 50:18 63:13 72:20 84:17 93:1 says 28:19 61:18, 20 94:21 Scale 16:23 17:1 23:19 61:18 88:8 scaled 23:6 scales 23:15 42:23 98:24 scheduled 21:20 School 22:15 scope 19:16 36:8 score 57:17 screen 10:15, 18 75:5 se 21:6 25:11 search 13:11, 12 31:18 42:22 45:12 64:17 searches 43:19 second 7:18 35:18 47:13 51:8 56:11 74:16 Secondarily 11:9 section 51:23 see 7:14 10:18 13:4 20:8 22:18 28:7 34:6 44:17 46:21 48:3	49:12 50:17, 23 51:8 55:17 60:9, 18 61:2 62:4, 17 63:10 66:9 67:1 70:1, 4 74:16, 20, 25 81:23 91:17 97:7 99:12 seeing 24:20 69:14 seeking 80:11 seen 45:15 65:25 84:8 segment 55:3, 6 segmentation 67:20, 22 68:6 81:9 segmenting 55:12 selected 14:7, 12 18:24 sense 23:25 46:14 68:10, 18 76:21 86:24 88:20 98:18 99:11 sensitivity 57:22 sensor 19:5 27:13 sent 14:14, 22 97:14 sentences 90:18 separate 29:2 97:10 separated 81:2 separately 79:20 series 7:18 91:5 serious 18:4, 6 seriously 100:1 serve 37:24 service 10:12 SERVICES 1:7 6:21 7:6 session 76:7 set 19:2 49:19 77:21 91:12 92:11
---	--	---	--	--

sets 18:25	18, 23 59:6	sounds 88:19	start 10:21	study 20:3
setup 75:16	60:11 91:15, 25	97:12	21:25 31:12	21:8 25:2
seven 16:9	sleepiness	source 13:17	started 25:14	42:11 49:15
79:6	56:25 57:22	20:2 25:13	77:13	50:1 57:25
severe 51:4	58:19	space 35:21	state 5:8 38:3	59:17 65:19
severity 21:12	slide 10:22	speak 5:9, 10	55:5 74:7	69:8 70:2
78:9 87:20	13:4 15:7	22:12 31:24	statements 91:6	72:23
88:10	16:20 19:8, 19	42:2, 14 47:20	static 27:21	subarachnoid
sham 69:9, 12, 22	20:8 53:10	84:9 87:3	statistical 62:10	64:20
share 59:2	79:10, 11 83:17	speaker 5:11	66:10	Subcommittee
75:12 97:16	slides 15:3	speaking 5:15	statute 40:4	1:12 4:1 14:22
shared 79:11	43:20	72:11	stay 58:6	67:3, 7, 15
sharing 10:14	slide's 75:6	specialist 42:9	Steve 32:11	77:14
75:5	slightly 19:18	specialists 59:6	37:18 39:5	sub-domains
sheet 89:25	slow 5:9 48:24	85:9	66:22 70:9	99:13
short 38:8	slowness 11:19	specialized	77:7 84:9 85:8	subject 11:3
shorter 38:7	small 34:17	84:24	89:19 93:9	14:15
68:18 69:10	75:17	specialty 84:12	95:17, 18 96:23	subjected 13:25
93:17	smaller 38:6	specific 4:22	100:8	submit 32:1, 3
short-term	Society 17:1	9:10 20:19	Steven 6:10	subpopulation
38:20	soft 39:7	22:20 32:9, 24	Steve's 29:24	16:3 53:24
show 10:22	solely 38:8	42:22 51:1, 5	sticks 60:19	subpopulations
47:6	41:24	56:6, 23, 24	stimulate 12:2	16:6 53:20
showed 53:10	solution 52:7	66:16, 19 67:5	stimulation	54:4
shown 47:7	someone's 57:12	71:13 76:5	13:5, 8 27:19	subscribed
side 19:7	somewhat 20:21	78:7 80:2	stood 56:18	101:9
86:24, 25	soon 32:25	99:24	60:9, 13	subsequent
signaling 30:4	85:14	specifically	stop 75:5	89:24
silent 5:15	sorry 25:17	36:1 43:19	STOP-Bang	subspecialist
similar 50:5	44:2 59:18	60:5 71:1	57:6 58:1	60:5
57:19 68:10	80:8 87:3 88:4, 16	77:24 99:2	strategy 6:11	substantial
similarly 54:14	sort 10:4	specificity 9:20	stress 96:13	33:13 70:25
simple 24:13	22:20 23:8	specify 20:6	striking 70:22	substitute 62:11
simply 49:21	30:4, 7 31:13	speech 46:22	strip 64:25	sufficient 87:14
58:12	32:24 36:14	50:13 79:16	stroke 42:9	suggest 89:19
single 9:22	37:16 42:14	spiraling 54:21	64:15	99:10
19:14, 20	43:3 45:9	spirit 6:1	structure 94:9	suggesting 82:9
situation 67:24	49:10 50:23	spirometry	struggling 89:3, 7	suggestion 43:2
six 44:21	51:2, 4 54:1	59:22	studied 20:24	65:4
68:16 69:2	68:3 73:1 74:5	split 28:2	31:15 63:17	summarizes
79:5 93:8	75:17 76:1	sponsors 30:6	studies 4:11, 25	43:21
six-minute	78:5, 12, 19	37:23	8:19 11:6	summarizing
24:12	79:3, 21 81:4	staff 76:15	13:23 14:4	26:4
six-month 68:21	83:8 87:7, 9, 12	staffing 6:25	18:17, 18 25:10	Summary 3:7
skills 81:6	91:22 94:13	stage 7:1 72:5	28:21 30:2	10:23 11:1
skin 18:8	96:2 97:4, 6, 21	81:12	33:4 35:6, 12	15:1 21:18
sleep 17:17	sound 44:6	stand 65:20	40:21 62:15	26:25 44:11
56:12, 16, 23, 25	74:23	stands 16:22	66:17	51:20 53:11
57:23, 24 58:4,		63:7		62:12
		star 49:10, 11		Sunshine 6:3

supplement 14:17	30:24 42:21 43:11 47:6 55:15	technologies 4:14 13:7 80:16	60:13 68:8 69:4 84:16	19, 23, 25 82:10, 23 83:1, 7, 12, 22 85:25 86:1, 11, 19 88:2, 5, 19 90:9, 20 91:3, 10, 11, 14, 18 92:14, 20, 21, 23 93:25 94:14, 16, 17 95:8 96:3, 5, 23 97:18 98:12 99:15, 19
supplemental 67:17	systems 12:21	technology 13:6 67:13	therapeutic 7:13 8:1 31:24	therapies 33:7
support 6:20 29:19	< T >	tedious 9:25 79:8	therapy 7:8	THEREOF 101:9
supporting 24:24	TABLE 3:1 44:1, 6 53:11	tell 41:12 84:10	thing 12:8 28:18 31:16 37:4 43:3 59:19 63:14 76:18 93:1 96:6 97:8 99:9	thinking 24:25 26:15 38:18, 23 54:8, 12 58:17 59:2 65:15 68:11 70:3 72:4 75:18 76:2 80:3 81:21 89:3 90:15 94:14
supports 24:15	61:15 62:7 63:12 65:25 72:2, 8 87:16	telling 41:2	things 31:11 48:5 58:10 59:9, 21 60:9, 13 68:1 73:3 87:9	thought 47:23 49:5 54:6 57:14 62:21 67:19 72:17 94:10, 11
suppose 31:11 35:13 40:21	tables 15:5 43:21	ten 74:12 75:1 93:22	think 10:2 22:24 23:9 25:6, 8, 15 26:13, 21 29:8, 24 31:9 32:25 35:24 37:19 39:8 40:19, 23 41:21 42:13 43:3 44:15 45:19 46:3, 5 48:2, 6, 22 49:6, 7, 23 50:3, 10, 14, 23 51:24, 25 52:5, 6 53:2, 6 54:11, 14, 19, 24, 25 55:7, 25 57:10 59:12, 15 62:25 63:1, 16 64:7, 19, 21 65:15, 16, 18, 25 66:1, 4, 12, 19 67:2, 12, 25 68:5, 9 69:1, 5, 6, 10, 16 70:2, 13 71:15 72:1, 12 73:8, 17 74:15 75:16 76:20 77:3, 6, 11, 20, 21 78:12, 21 79:18, 25 80:6, 9, 12, 14,	thoughtful 100:15
sure 27:8 35:24 37:13 39:17 43:7 56:16 57:4 63:25 81:14 82:8 83:10, 21 85:15 86:24 96:6, 21	take 6:4, 5 9:24 21:24 24:10 25:5 65:12, 16, 20 68:2 74:12 88:7 89:20	Teresa 10:16 34:23 44:18 48:10	thoughtfully 45:23	thoughts 31:7 34:23 35:19 51:21 53:25 66:25 67:18 70:6 75:11 86:8 97:16
surgeries 58:2	taken 33:10	term 38:23 51:19 53:5 68:18 69:1	three 11:2 14:14 17:7 52:17 61:18 64:7 68:16 79:5 84:20 85:9 89:6 90:18	threshold 14:1 47:8
surgery 13:8	takes 30:18 94:18	terms 33:10 42:3, 4 45:9 47:24 54:21 58:18 68:4 72:7 73:10 75:16 78:20 83:4 84:5, 11 86:20 90:5	thoughtfully 45:23	tight 96:8
surrogate 36:18 38:5, 8, 20 71:11	talk 6:13 25:9 37:15 45:4 76:24 77:2, 25 78:7, 10, 24 81:16, 17 86:3 87:7, 10, 11 89:21 91:15 94:3 98:18	Terry 22:19, 23 29:8 30:15 32:22 36:10 45:23 46:2 62:8 63:11 79:11 83:17 84:10 89:22 92:9 93:10 95:17 97:14 99:9 100:1	thoughtfully 45:23	Tim 24:6 28:9, 13 29:23 39:3 56:2 57:16 72:2, 11
surrogates 68:20 71:21 77:25 78:1, 14	talked 35:25 45:7 74:2 83:2	Terry's 22:1	thoughtfully 45:23	
survey 41:1	talking 37:20 39:21 67:21 71:22 72:20 73:19 81:25 82:3, 4 87:13 92:3 97:24	test 63:8 64:25	thoughtfully 45:23	
swallow 60:15	talks 27:12	Thank 6:12 10:13 28:17 29:22 30:9 36:25 39:4 43:24 47:9 52:3 63:10 65:21 74:11 99:8 100:6, 7, 15, 19, 20	thoughtfully 45:23	
swallowing 46:22 48:5 50:13 79:17	Tara 4:6 21:22 75:5 85:5, 11 86:8, 12 88:21 89:13 95:14 100:8	thanks 10:12 30:13 32:11, 21	thoughtfully 45:23	
swayed 94:16	target 59:8 80:5		thoughtfully 45:23	
symptom 12:12, 18 46:24 71:19 78:9 79:12 87:20 88:10	task 96:19		thoughtfully 45:23	
symptoms 11:9, 23 12:22 16:13 17:5, 7 40:18 48:14 51:4 74:4 77:5 79:16 81:3, 12 84:6	team 75:19 98:13		thoughtfully 45:23	
syndrome 59:3, 11	teams 8:2		thoughtfully 45:23	
systematic 13:11, 13, 21, 24 14:5 17:23 21:3, 7, 12			thoughtfully 45:23	

<p>time 5:8 7:12 9:24 10:4 22:11 24:19 25:5, 15, 19 28:3 30:18 31:7, 14 35:7, 9 36:13, 17 38:24 39:6 54:17 62:24 63:1 68:2 72:14 74:14 79:20 81:10 92:22 93:7 94:25 95:7, 22 96:12 timed 63:8 timeframe 8:19 24:9 71:2, 4 72:21 97:6 times 30:20 73:21 93:5 Timothy 2:11 58:18 title 40:11 today 4:7 6:13 8:15 9:12 10:11 77:17 89:3 93:14 today's 5:6, 23 told 39:10 tool 41:9 58:1 tools 11:11 42:7 78:3 top 46:17 48:7, 24 50:18 topic 4:25 6:5, 14 8:17 9:6 26:12 42:19 topics 6:8 7:15 total 13:24 88:17 92:13 tough 65:18 tracking 50:12 tractable 10:4 traditional 50:24 51:17 training 28:2 transcribed 76:15 101:4</p>	<p>TRANSCRIBER' S 101:1 transcribes 5:13 transcript 10:7 101:7 transcriptionist 5:13, 17 101:16 translated 95:5 translating 19:4 transparency 5:3 transparent 8:4 treat 12:15 39:24 74:4 treatable 63:25 treating 30:3 treatment 7:17 11:25 12:13, 25 13:2, 3, 14 18:6 30:2 50:5 treatments 12:14 16:18 20:5 33:22, 23 71:25 Tremor 1:9, 10 4:8, 9, 11, 12 11:8, 20, 22 12:16, 17, 19, 20 13:2, 15, 16 18:9, 24 39:25 41:7 59:9 62:15 63:15, 23 66:19 92:11 98:17 tremor-related 18:10 tremors 28:22 trend 31:13 trial 50:2, 4 trials 20:5, 7 25:18, 20, 24 35:6, 17 37:6, 23 tricky 69:16 tried 67:3 trouble 48:4 85:2 true 74:11</p>	<p>101:7 truly 26:19 try 61:3 83:7 trying 32:6, 23 37:4, 7 38:15 57:14 59:17 86:9 93:16 TUG 61:20 63:4, 6, 7 T-U-G 63:5 turn 6:9 10:8, 16 21:21 75:23 77:8 turns 67:20 two 11:15 14:12, 15 17:6 19:2 20:4 25:12 26:1 29:2 35:13 45:20 49:11 50:21 56:17 73:8 79:5 83:20 88:14 89:11, 15 91:20 92:10, 20 two-and-a-half 100:11 type 7:17 16:3, 4 26:8 38:16 53:23 55:5 59:13 67:24, 25 71:2, 3 72:22, 23 types 11:18 12:21 13:8 30:5 54:4 67:13 70:23 80:13 81:6 97:8 typical 22:4 92:22 typo 61:21 < U > UCSF 30:14 40:9 unavailable 85:3</p>	<p>understand 5:10 32:12 37:4 81:14 understanding 34:25 82:24 understood 37:14 88:5 unfamiliar 90:21 United 16:23 upcoming 20:4 50:4 update 31:18 UPDRS 16:22 25:5 40:12 42:11 51:23 52:1 61:22 99:11, 22 use 5:11, 18, 21 7:21 10:6 11:21 12:4 37:16 57:6 61:2 62:19 63:18 87:3 useful 8:2 41:22 43:14 80:15 86:19 uses 57:4 usually 93:4, 8 utility 24:14 utilized 58:1 < V > validated 17:2 98:2 validation 65:9 66:8 validity 42:15 valuable 76:11 86:14 99:7 value 71:12 76:20 82:24, 25 98:7 vantage 60:20 variables 18:7 variation 26:7 27:1, 2 various 8:8 9:4 12:11 27:1 31:25 67:13</p>	<p>vary 16:2 55:9 73:10 86:20 vast 23:2 version 14:20, 21 15:4 16:24 versus 23:9 26:20 33:9 35:20 36:24 49:14, 18 66:20, 21 71:14 81:3, 22 86:4 90:10 vetted 32:18 vice 4:5 Vice-Chair 2:6 Virtual 1:12 virtually 5:16 vital 59:24 voice 5:20 39:7 volume 14:2 voluntary 12:20 vote 9:21, 24 36:12 67:4 76:5, 14, 19 77:2, 23 78:5 79:21 80:8 86:18 88:8 90:3, 5, 17 92:17 94:5, 20, 22, 25 95:12 voted 78:23 votes 97:3 Voting 2:9 3:11 4:22 9:10, 17, 19 10:3 36:16 43:2 67:8 70:11 74:19 75:24 76:6 77:8, 18 79:6, 20 80:25 87:1 88:13, 18 89:23 91:2, 19 92:22 94:17 95:6, 15 96:14, 23 97:11 98:17 < W > waiting 44:9 walk 24:12 78:17</p>
--	--	---	---	---

<p>want 9:14, 23 21:24 22:1 27:13 28:13 29:9 34:6 37:9 38:20 44:5 45:4 46:2 57:7 61:14 62:3, 4 67:12 68:13 69:1 70:10 73:4 74:9, 10, 17 75:10 77:16, 24 78:7, 11, 19 81:14, 25 87:17 90:24 91:17 93:23 95:3 99:4 100:15</p> <p>wanted 6:15 22:2, 5, 18 26:23 28:19 32:25 34:21 37:3, 13 40:10, 12 41:16 45:14 46:18 51:14 59:1 64:23 74:7 75:12 77:7 93:6</p> <p>water 74:15 75:14</p> <p>way 7:3 36:16 64:23 77:15 83:1 88:25 91:1 98:10</p> <p>ways 19:4 31:1 73:14 77:20</p> <p>wearable 19:5 70:24 71:2, 3</p> <p>wearing 12:6</p> <p>Wednesday 4:3</p> <p>wee 95:25</p> <p>weeds 83:4</p> <p>week 40:17</p> <p>weeks 69:24 85:13</p> <p>weigh 35:19 39:17 65:23</p> <p>weighed 37:13</p> <p>Welcome 3:3 4:4 75:4</p>	<p>well 5:1 7:19 23:22 26:17, 18 29:10, 18 33:18, 24 34:2, 19 37:19 38:23 45:21 46:1, 10, 20 52:18 54:9 55:22 63:16 64:20 65:6 75:9 80:11 85:18 88:13 89:5 94:1, 15 98:8 100:14, 18</p> <p>well-developed 28:20</p> <p>went 25:4 82:15</p> <p>we're 6:16 7:23 8:14 9:9, 10, 17 10:7, 11 24:25 32:6, 14 36:12 38:15 39:19, 20 40:1 53:1 69:6, 20 70:19 71:21 72:1, 20 73:1, 18 75:7 76:20, 23 81:19 85:20 87:12, 22 90:7 95:23 96:20, 21, 23 97:23 100:6, 16</p> <p>We've 7:16 8:25 32:7, 24 70:13 71:10 78:23 85:2</p> <p>whatever's 53:1</p> <p>whatnot 86:5</p> <p>wholesome 9:6</p> <p>who've 96:15</p> <p>widely 64:21 65:7 86:20</p> <p>wishing 86:12</p> <p>WITNESS 101:9</p> <p>wonder 22:9 35:15 85:23 86:25 90:1</p> <p>wondered 33:4 51:2</p>	<p>wondering 23:4 30:23, 25 31:7 42:23 52:7 88:20, 25</p> <p>Word 14:25 15:6 21:17 62:9 87:4</p> <p>words 14:2, 23 92:15</p> <p>work 78:21 80:21 90:14 91:7, 12 100:3</p> <p>working 32:13 42:6 75:7 85:11 86:8 99:8</p> <p>works 44:24 96:17 99:7</p> <p>worried 68:17 95:2</p> <p>worry 82:11</p> <p>worse 11:21</p> <p>worsening 54:23</p> <p>worth 31:17 83:13</p> <p>wrapped 82:23</p> <p>wrist 27:13, 15, 16</p> <p>writing 84:20</p> <p>< Y ></p> <p>Yale 22:15 37:2</p> <p>Yeah 24:6 25:4 27:22 28:17 34:13 41:18, 21 44:17 46:10 47:4 51:16 53:8 60:22 62:21, 25 64:25 67:2 71:8 80:22 81:24 84:2 85:1 87:25 88:16 91:10 96:13 98:22 99:1</p> <p>year 31:3 64:14 87:20</p>	<p>years 6:22 16:9 21:8 42:11</p> <p>younger 54:14, 17</p> <p>< Z ></p> <p>zone 74:14</p>
--	---	--	--