

0001

1       ADVISORY BOARD ON THE DEMONSTRATION  
2       OF A BUNDLED CASE-MIX ADJUSTED PAYMENT SYSTEM  
3       FOR END STAGE RENAL DISEASE SERVICES  
4       FIRST MEETING

5       \* \* \* \* \*

6       The above-mentioned meeting was held on  
7       Wednesday, February 16, 2005, commencing at 9 a.m., at  
8       the Hyatt Regency, 300 Light Street, Baltimore,  
9       Maryland, 21202, Second Floor, Baltimore, Maryland  
10      21202 before Robert A. Shocket, a Notary Public.

11      ADVISORY BOARD PARTICIPANTS:

12      BRADY AUGUSTINE (Co-Chair)  
13      ROBERT RUBIN, M.D. (Co-Chair)  
14      JOHN BURKART, M.D.  
15      THOMAS CANTOR  
16      PAULA CUELLAR  
17      PAUL EGGERS, Ph.D  
18      BONNIE GREENSPAN, RN  
19      J. MICHAEL LAZARUS, M.D.  
20      WILLIAM OWEN, M.D.  
21      NANCY RAY  
22      KRIS ROBINSON  
23      JAY WISH, M.D.

24      PRESENTERS:

25      RICHARD HIRTH, Ph.D  
26      MARC TURENNE, Ph.D.  
27      JACK WHEELER, Ph.D.  
28      ROBERT WOLFE, Ph.D  
29      ADDITIONAL SPEAKERS:  
30      CHRISTINE BARNETT  
31      RICHARD CRONIN, M.D. (Public)  
32      LINDA MAGNO  
33      BRIAN PEREIRA, M.D. (Public)  
34      LANA PRICE  
35      TERESA RUDISILL

36      ALSO PRESENT: HEATHER GRIMSLEY, PAM KELLY,  
37      HENRY BACHOFER

38

39      REPORTED BY: Robert A. Shocket

0002

1       P-R-O-C-E-E-D-I-N-G-S

2       MS. MAGNO: Good morning and welcome to the  
3       first meeting of the Advisory Board on the  
4       Demonstration of Bundled Case-Mix Adjusted Payments for  
5       ESRD Services. I'm Linda Magno. I'm the Director of

6 the Medicare Demonstration Program Group at the Office  
7 of Research, Development and Information, and I'm the  
8 CMS Executive Officer for this committee. We  
9 appreciate the members agreeing to serve on the  
10 Advisory Board and welcome the public to this meeting.

11 As you know, the Advisory Board was  
12 mandated by Section 623(e) of the Medicare  
13 Modernization Act. We believe the Advisory Board will  
14 provide significant input into the development of an  
15 important demonstration for the ESRD community.

16 We appreciate the board members'  
17 corporation with the process of being appointed to this  
18 committee and with its links. We recognized that there  
19 were tight timeframes but wanted to hold our first  
20 meeting as soon as possible after the Secretary  
21 approved board memberships in order to begin the  
0003

1 development of this demonstration design.

2 As we get started, I would like first to  
3 take time to quickly introduce the advisory committee  
4 board members and CMS staff. And, you'll hear from  
5 each board member later this morning. I would like  
6 also so that people can identify, want to know the  
7 people from the public, to have each board member stand  
8 as I introduce you. One of our co-chairs is Dr. Robert  
9 Rubin, clinical professor of medicine at Georgetown  
10 University School of Medicine. Good morning.

11 DR. RUBIN: Thank you.

12 MS. MAGNO: Our second co-chair is Brady  
13 Augustine, with the Centers for Medicare and Medicaid  
14 Services. We also have Dr. John Burkart, Professor of  
15 Internal Medicine and Nephrology at Wake Forest  
16 University; Thomas Cantor, Biochemist, President and  
17 Owner of Scantibotics Laboratory; Paula Cuellar, Nurse,  
18 R.N., Dialysis Center Director at the University of  
19 Chicago Hospitals; Dr. Paul Eggers, Program Director  
20 for Kidney and Urology, Epidemiology, at the National  
21 Institutes of -- I'm sorry, NIDDKD, the National

0004

1 Institutes.

2 DR. EGGERS: It rolls right off the tongue,  
3 doesn't it?

4 MS. MAGNO: It certainly does,  
5 particularly, you know, it hits you with a lot of Ds  
6 all at once. Bonnie Greenspan, registered nurse, and a  
7 health care consultant, J. Michael Lazarus, M.D., chief

8 medical officer and senior vice president for clinical  
9 quality at Fresenius Medical Care; Dr. William Owen  
10 Junior, adjunct professor of medicine at Duke  
11 University School of Medicine and senior scholar at the  
12 Fuqua School of Business; Nancy Ray, research director  
13 with the Medicare Payment Advisory Commission; Kris  
14 Robinson, Executive Director of the American  
15 Association of Kidney Patients, and Dr. Jay Wish,  
16 President of ESRD Networks 9 and 10. Thank you, all of  
17 you.

18 I would also like to introduce CMS  
19 contractors and contractor staff. In the interest of  
20 moving things along, I'm going to ask all of the CMS  
21 Office of Research Development and Information staff to  
0005

1 stand. Their names are in the handouts and on the  
2 screen so if all of the ORDI staff could stand. And  
3 they're all wearing name tags and will all be happy to  
4 introduce each other.

5 And then the CMS Center for Medicare  
6 Management staff, if I could ask you to stand. Thank  
7 you. And then we have our contractor staff, who will  
8 be speaking later today. And I'm going to ask them to  
9 stand. Robert Wolf, Richard Hirth, Mark Turenne and  
10 Jack Wheeler with the University of Michigan and  
11 they'll be doing some presentations later today.

12 Now, as we move on, I wanted to talk a  
13 little bit about what FACA is, the Federal Advisory  
14 Committee Act under which this committee will be  
15 operating. The Advisory Committee Act became law in  
16 1972, and it established assistance to govern creation  
17 and opportunity of advisory committees in the executive  
18 branch of the federal government. The Federal Advisory  
19 Committee Act governs any group that a federal agency  
20 convenes to develop formal findings or proposed  
21 recommendations, where one or more of the members of  
0006

1 the group are not federal employees. So it applies to  
2 any federal agency of which we are one.

3 The role of the advisory committees is to  
4 draw upon the expertise and experience of the  
5 membership. The committee is utilized to advise or  
6 make recommendations on matters relating to programs,  
7 responsibilities, activities of the department or  
8 agency and as part of the Advisory Committee Act the  
9 public is afforded an opportunity to actively take part

10 and participate and observe the decision-making  
11 process.

12 The requirements for the Federal Advisory  
13 Committee are that we file a charter, keep detailed  
14 minutes, that the committee be chaired or attended by a  
15 federal official, that we provide advance notice of  
16 public meetings -- those meetings are open to public  
17 participation as well -- that we make our minutes,  
18 reports and records available to the public, and that  
19 we, the committee be constructed in a way that is  
20 fairly balanced in terms of points of view. And these  
21 committees are limited to two years unless specifically  
0007

1 exempt.

2 And I would like to point out that this  
3 particular Advisory Board was chartered through 2008 to  
4 cover the three-year demonstration period that is  
5 mandated by MMA to begin next January, 2006. Also, the  
6 minutes of the Advisory Board meeting will be posted on  
7 our Web site and the transcript will be available upon  
8 request.

9 At this time, I would like to introduce  
10 Chris Barnett from CMS Ethics Office and after she has  
11 addressed ethics questions and other questions you may  
12 have, then Teresa Rudisill from the CMS Human Resources  
13 team will swear in board members. Chris?

14 MS. BARNETT: Good morning. First I would  
15 like to say that the members of this committee have  
16 received an ethics training video. I did bring some  
17 additional materials but I did want to clarify the  
18 roles of the members of this committee. We have three  
19 different types of members of the committee. Some of  
20 the members are government employees and those members  
21 are Brady Augustine, Nancy Ray and Paul Eggers.

0008

1 In addition, we have some employees who we  
2 refer to as special government employees and they have  
3 been asked to serve on the committee because of their  
4 expertise. Those members are Robert Rubin; Thomas  
5 Cantor; Jay Wish; Bonnie Greenspan and Bill Owen.  
6 These special government employees are subject to the  
7 standards of conduct and the conflict of interest laws.  
8 We have done a conflict of interest analysis on these  
9 people. And I have, these materials are for you.

10 In addition, we have representative  
11 members. The representative members have been asked to

12 serve because they are here to represent the industry  
13 that they are from. They are not government employees  
14 and they are not subject to the standards of conduct or  
15 the conflict of interest laws.

16 I have one form and I'm not certain where  
17 everybody is sitting. I think you're spread out a  
18 little bit. So, if I mentioned your name and described  
19 you as an SGE, please take these as I pass them around.  
20 A foreign activities questionnaire -- from this way and  
21 from this way -- that needs to be completed and  
0009

1 returned. I have a summary of the conflict of interest  
2 laws as they apply to SGE, particularly advisory  
3 committee members.

4 Although these laws apply to them, in some  
5 cases they don't apply to the same extent that they  
6 would for regular government employees. And I have a  
7 few extra ones that I will leave here today if anyone  
8 is interested. Actually, I have a lot of those. Okay.  
9 SGEs are also subject to Hatch Act restrictions but  
10 only during the time you are serving on the committee.  
11 In addition -- how many people do we have on this side?

12 DR. WISH: Two.

13 MS. BARNETT: Two, okay. I have a copy of  
14 the governmentwide standards of conduct. These are the  
15 topics that were mentioned in the video that you saw,  
16 and also a copy of the Department's supplemental  
17 standards of conduct. Does anyone have any questions?  
18 Yes?

19 MR. CANTOR: A couple more.

20 MS. BARNETT: It's important to keep in  
21 mind that as an SGE you are here in the government's  
0010

1 behalf, and that the interest that you will be serving  
2 on this committee will be that of the government. I  
3 also would like to remind you that there are certain  
4 recusal obligations that you may need to be aware of.  
5 If any matter comes before the committee that would  
6 directly affect a financial interest of anyone who is  
7 serving as an SGE or regular employee, you cannot work  
8 on that matter without seeking ethics advice so you  
9 will be obligated to recuse yourself from participating  
10 at that time. There are no questions? Any questions  
11 from you? Okay.

12 It turns out we're going to have to delay  
13 the actual swearing in because Teresa Rudisill is not

14 yet here. I suspect she may be stuck in the same  
15 traffic that delayed some of the rest of us. So, with  
16 that I'm going to turn the microphone over to our  
17 co-chairs, and I think we'll go ahead and start an  
18 overview today and we'll just have to start to do the  
19 swearing in just as soon as Ms. Rudisill gets here.

20 MR. AUGUSTINE: Thank you, Linda. All  
21 right. Now we are calling in the rest of the  
0011

1 University of Michigan Kidney Epidemiology Cost Center  
2 staff so bear with us for a second. In fact, they  
3 don't need to hear our opening spiel so let me go ahead  
4 and start if I can concentrate with the noise in the  
5 background.

6 I feel kind of like I'm at a family  
7 reunion. As many of you know, I had the privilege of  
8 being a senior executive for ESRD and CMS for two  
9 years. I moved into a new role in the agency about  
10 four or five months ago. You know, kind of as they  
11 said in The Godfather, you know, every time I leave,  
12 they call me right back.

13 But, truly it's a labor of love and I'm  
14 truly honored to be here. I want to thank all of you  
15 for participating because I know all of you have other  
16 things to do like take care of patients, provide  
17 counsel and advice and actually run the office's  
18 facilities. So, I know that what you are giving up is  
19 quite valuable and I hope that as we work together we  
20 will be able to make the best value of this time so it  
21 will be worth your while.

0012

1 So with that said, I also want to thank my  
2 co-chair, my eminent co-chair, Dr. Rubin. It's a  
3 pleasure to serve with you. Dr. Rubin not only has a  
4 breadth of knowledge with regard to the kidney  
5 community and a well-respected leader in the field but  
6 Bob also has provided excellent service to the federal  
7 government through not only as a consultant to CMS on  
8 various activities but also as an assistant secretary.  
9 So, when I saw that Bob had kind of signed up for this,  
10 it made me feel a lot better about what we were going  
11 to be able to accomplish. So, Bob thank you.

12 As we all know, I'll make a few, this will  
13 be my summary statements. I will talk about a minute  
14 or two and I won't talk later on when we do the member  
15 perspectives. We all know the ESRD program and

16 Medicare at large are changing. You know, our job, at  
17 least CMS's job is to ensure that that change is a  
18 responsible change. And responsible change requires  
19 that we listen and learn from the community from those  
20 who actually provide care. We really want to ensure  
21 that that care does not come, that the care and  
0013

1 efficiency doesn't come at the cost of access.

2 And speaking of quality, one thing I do  
3 want to do this morning, I want to spend a second even  
4 though it's a little bit off topic to recognize those  
5 in CMS who work so hard and diligently on the  
6 conditions for coverage proposed rule and if you could  
7 maybe stand for the group real quick, Teresa Casey's  
8 not here I don't think yet.

9 MS. GRIMSLEY: She's over here.

10 MR. AUGUSTINE: Teresa Casey, she was the  
11 lead writer and did excellent work on the conditions of  
12 coverage. As well we've got Judy Carey here, in the  
13 Serving Subgroup that did excellent group as well, and  
14 then Lana Price, and her payment division did excellent  
15 work as well. And, so, many of the kudos and  
16 congratulations. I know that it may not be perfect  
17 when we look forward to comments but I will single  
18 those three out and their teams for doing very  
19 excellent work not only getting it done but doing it as  
20 best we could.

21 So, one of the things I do want to mention  
0014

1 today is being someone who has a lot of friends in the  
2 community, I have heard a lot of comments about,  
3 there's a lot of comments about maybe feeling that this  
4 boat is moving too fast without enough input from the  
5 community. And I do want to recognize a wonderful  
6 article that Brenda Dyson wrote, President of AAKP in  
7 October or November of last year.

8 And basically what the article stated was  
9 that us as a community need to take a leadership  
10 position because the world is changing and it's best  
11 that we lead the way as opposed to being led. I think  
12 that's an adequate summary, correct? And that we  
13 really should put patient centers and quality at the  
14 forefront. So that said, you know, one of my favorite  
15 quotes is Henry Kenneth Galbraith who said that  
16 "Leadership is primarily about tackling the major  
17 issues of the day. That and not much else is

18 leadership."

19           So, that's why I'm excited that this group,  
20 this community can come together with this panel and  
21 provide leadership and direction to the Secretary and  
0015

1 to CMS so that we can devise a payment system that to  
2 the best of our ability works. No payment system is  
3 perfect but we'll work to address the major issues that  
4 exist today, thanks to a lot of work like from Nancy  
5 Ray at MEDPAC, and many other advisory bodies. We've  
6 got a lot of good data and analysis to work with. So  
7 now we just need to bring the expertise of the  
8 community together to try to make some recommendations.

9           So with that said, that's about as much as  
10 you're going to hear me speak over the course of the  
11 day. We'll get to the first slide, which is the agenda  
12 slide. We have a lot of ground. We have a very  
13 ambitious agenda today. This morning we will review a  
14 charter and the charter of the committee, get the  
15 members' perspectives on bundled payment, review the  
16 history and current status and prospects for the  
17 composite rate system, discuss possible goals for a new  
18 system, and take a fast tour of some basic data to kind  
19 of tease us and get us thinking about what we're  
20 dealing with.

21           This afternoon we'll get more into the  
0016

1 nitty-gritty and my colleague and co-chair will be  
2 leading most of the discussions in the afternoon. The  
3 three major issues that we must grapple with as we move  
4 forward, what's the scope of payment, i.e., what should  
5 the bundle payment include, what components of care of  
6 the dialysis units are actually accountable and  
7 responsible for, and we'll also have a short  
8 introductory discussion of case-mix -- oh, that was the  
9 third one. Excuse me. We'll also have a short  
10 introductory discussion on the fourth major issue which  
11 is case-mix.

12           We'll conclude today's business with an  
13 opportunity for public comment and a summary of our  
14 next steps and where our future meetings will be.  
15 Could we go to our next slide, please? So here are our  
16 objectives. Above all what we want to do is listen and  
17 learn from you, members of the committee,  
18 concerning bundled payment dialysis services.

19           We hope for us today from our discussions

20 we can build a consensus on the general goals for a  
21 bundled payment demonstration project. We also want to  
0017

1 develop a common understanding of the limitations and  
2 usefulness of existing data from activities we may need  
3 to undertake to gather additional data to help make our  
4 payment system as good as it can be.

5 We hope to identify to the extent possible  
6 the general duration on the key issues in the design of  
7 a bundled payment system so that we can focus the  
8 analysis that is at our disposal to provide us as much  
9 value as we possibly can. And finally we want to  
10 identify the basic questions that this committee's  
11 members believe need to be addressed for us to go  
12 forward. The next slide, please. Now for the --

13 MR. BACHOFER: Just do the administrative.

14 MR. AUGUSTINE: Yeah, for the  
15 administrative issues, we are going to have a lunch  
16 break at about noon. The restrooms are if you go out  
17 the door, most everyone here has been in this building  
18 before but you go out the door and it's kind of  
19 dangling to the left. That's an appropriate  
20 description.

21 Additionally, for committee members we have  
0018

1 distributed an evaluation form so if there are ways we  
2 can improve, since we are encroaching upon your time,  
3 if there are ways that we can improve the activities of  
4 this board, committee, feel free to fill that out and  
5 you can give it to myself or Dr. Rubin or the executive  
6 officer, Linda Magno, and we'll make sure that if there  
7 are concerns that need to be addressed, we will take  
8 care of them to the best we can.

9 And one note for the public. There will be  
10 an opportunity for comment this afternoon. We please  
11 ask you to refrain from comments, discussions during  
12 the course of our deliberation. Additionally, there  
13 will be during the lunchtime this afternoon, the lunch  
14 is for board members and so we will be meeting, that  
15 will be a separate lunch.

16 Not to exclude the public or anything but  
17 it's just a special lunch that we provide for committee  
18 members. There are plenty of opportunities for people  
19 in the audience, in fact, we have actually a really  
20 good location for people to eat across the way, at the  
21 Inner Harbor and whatnot. So, if at any time anyone

0019

1 would like to share opinions or data with us, you can  
2 submit the information to Linda Magno and her  
3 information is in the packet, or, you can send it, we  
4 have an e-mail address as well. And it's, what is it,  
5 ESRD --

6 MS. GRIMSLEY: Advisory Board.

7 MR. AUGUSTINE: "esrdadvisoryboard" --  
8 that's all one word -- "@cmsdothhsdotgov." As well we  
9 have a Web page where all the documents, for example,  
10 Federal Register notices, agendas and whatnot are  
11 posted. And you can get to that at  
12 "wwwdotcmsdothhsdotgov/faca/esrd." Go to the next  
13 slide, please.

14 So now we -- go to the next slide. We've  
15 already done that. So, we're going to take a step back  
16 now and kind of look at what our charge and charter is.  
17 Next slide. So Section 623 of MMA addressed  
18 ESRD-related topics, something that's kind of, all of  
19 us are quite familiar with. As Linda pointed out  
20 earlier, Section 623(e) is the specific section for the  
21 Medicare Modernization Act that includes expanded

0020

1 bundled demonstration project, and describes the  
2 activities of this board. Section 623(f) of the MMA  
3 includes discussion on the report to Congress that is  
4 due October 1st of this year, on an expanded bundle.

5 Now, one of the things that we've noticed  
6 is there are different language in the two sections,  
7 623(e) and 623(f), regarding what should be included in  
8 the expanded bundle. Our research and demonstrations  
9 group has interpreted this as requiring at a minimum  
10 that the expanded bundle be tested in a demonstration  
11 include drugs, biologicals and related laboratory  
12 tests.

13 Among other implementation issues we are  
14 asking for input from this Advisory Board on the scope  
15 of services to be included and the expanded bundle in  
16 the demonstration. Next slide.

17 So, these are the major topics that we're  
18 going to be discussing and seeking your input on, what  
19 are we going to include in the bundle, how to adjust  
20 payment to reflect patient needs by either case-mix,  
21 how to implement a requirement that all services

0021

1 included in the bundle are billed to and through the

2 dialysis facility, the role of disease management in  
3 the demonstration, and pay-for-performance concepts and  
4 finally what are the selection criteria. Our first and  
5 second meetings will focus almost exclusively on the  
6 first four of these topics, being the scope, case-mix,  
7 payment, consolidated billing of payment and the  
8 pay-for-performance.

9       One of the things that MMA sets up is we  
10 can't have any more than four meetings a year so this  
11 is kind of our schedule. We are ambitious this year  
12 with four meetings and then once the demo begins early  
13 next year, we're still going to have some, provide  
14 counsel and oversight to the ongoing operations of the  
15 demo. We'll have two meetings next year, one in 2007  
16 and one in 2008. Next slide.

17       MS. MAGNO: I would like to take you  
18 through the projected timeline now. It's very  
19 aggressive for 2005, as Brady said, but really one that  
20 was imposed on us by Congress. So we are working  
21 towards the statutory implementation date for the  
0022

1 demonstration of January 2006. With that in mind, we  
2 expect that we will need to publish a solicitation for  
3 organizations interested in participating in the  
4 demonstration this coming July.

5       To make sure that the Advisory Board has  
6 input into the design of the payment system to be  
7 demonstrated, we are planning three meetings of the  
8 board, today's and two additional meetings before the  
9 end of June, when solicitation must begin to work its  
10 way through the clearance process in CMS and the  
11 Department of Health and Human Services.

12       And then we plan a fourth meeting in the  
13 fall to review the status of the solicitation and to  
14 revisit any issues that may have surfaced through the  
15 clearance process in the development of responses to  
16 solicitation. We'll address the meeting schedule again  
17 when we wrap up this afternoon. Thank you.

18       MR. AUGUSTINE: Teresa is here, okay.  
19 We're going to do the swearing in ceremony presently  
20 now that we have our HR representative here.

21       MS. RUDISILL: Good morning, everyone. I  
0023

1 am Teresa Rudisill and I am from the Baltimore Human  
2 Resources Center. I'm going to swear a couple of  
3 people in so when I call your name please stand, raise

4 your right hand, and repeat after me. Thomas Cantor,  
5 Bonnie Greenspan, Robert Rubin, Jay Wish and William  
6 Owen. Okay.

7 "I -- state your name -- do solemnly swear  
8 that I will support and defend the Constitution of the  
9 United States against all enemies, foreign and  
10 domestic, that I will bear true faith and allegiance to  
11 the same, that I take this obligation freely, without  
12 any mental reservation or purpose of evasion, and that  
13 I will well and faithfully discharge the duties of the  
14 office on which I am about to enter, so help me God."

15 (Members sworn)

16 MS. RUDISILL: Congratulations. Okay. Did  
17 everyone sign their form?

18 MR. AUGUSTINE: Let me just add quickly  
19 that we are, this is one board. Even though it looks  
20 like some people are treated differently, some of the  
21 members have different characteristics than others but  
0024

1 all of our purpose is the same, to create an expanded  
2 bundle that, as best we can that meets our goals.

3 All right. So, I have been boring enough  
4 this morning. Now I can be a little more open. So, I  
5 feel like I'm back in college where the professor reads  
6 directly from the book and everyone gets bored and  
7 falls asleep. So, that's why I'm excited we're at the  
8 part of the meeting where the board members can kind of  
9 discuss their experiences with an expanded bundle and  
10 where they think the pitfalls are and where we need to  
11 be going.

12 So, each of you has been asked to prepare a  
13 five-minute statement briefly summarizing your  
14 professional background or offering some perspective on  
15 why you are here and why this is important to you, and  
16 outlining your perspective on the issue of bundle  
17 payment. As we go around the table, we'll keep an eye  
18 on time because, as we know, we're really tight on time  
19 today. We have an ambitious agenda.

20 So, if it gets, we actually have seven  
21 minutes available. That's why we you had write it for  
0025

1 five and if we get at six, I will make a little gesture  
2 to let you know that we are in the closing session of  
3 your piece. We don't mean any disrespect by the  
4 cutting people off. I know that everyone at this table  
5 cares passionately about what we're talking about and

6 probably could talk forever but then we wouldn't get as  
7 much of the work done this afternoon as we would like  
8 to.

9           So, we will be capturing key issues that  
10 are raised. We're going to go have a parking lot here  
11 so if there are things we need to come back to or  
12 address that come from your discussions, we're going to  
13 write them down to make sure that we close the loop,  
14 since all the board members have all their, have all  
15 these items satisfied to you. So, we can start. I  
16 think a good beginning would be, we'll start with Kris  
17 Robinson. If you could go ahead and give us --

18           MS. ROBINSON: Sure.

19           MR. AUGUSTINE: Oh, I'm sorry. Dr. Bob  
20 Rubin, let's let Dr. Bob Rubin go first. He's the  
21 co-chair and then we'll move to Kris. I'm sorry.

0026

1           DR. RUBIN: I thought we wrote the script  
2 down so you could --

3           MR. AUGUSTINE: I'm sorry.

4           DR. RUBIN: That's okay. I also thought  
5 you were going to get the budget provided for the green  
6 light, yellow light, red light.

7           MR. AUGUSTINE: That would have been nice.

8           DR. RUBIN: In any event, thank you very  
9 much. It's a pleasure to, I think, to be here. Let me  
10 quickly state a little bit about my background and then  
11 some thoughts about prospective payment in general and  
12 this in particular and perhaps amend a little bit the  
13 comments that my co-chair made, at least from the  
14 perspective that I think this committee was actually  
15 charged with and, more importantly, what we were not  
16 charged with.

17           So, as you heard, I'm a nephrologist,  
18 currently at Georgetown. I was also a consultant.  
19 And, for purposes of this committee, in, from 1981 to  
20 1984, I was assistant secretary for planning and  
21 evaluation at HHS and in that capacity was chair of the

0027

1 intra and interdepartmental groups that were involved  
2 in constructing the second prospective payment system  
3 for the department, the first being the composite rate  
4 for ESRD. And the second was the inpatient hospital  
5 prospective payment system.

6           And some of the principles that were used  
7 there I think might be constructive to think about here

8 as we go forward in the deliberations. The first is,  
9 we wanted to reward efficient providers and disincent  
10 inefficient providers. Second, to do this is that all  
11 providers had to feel that the playing field was level.  
12 To do that, there were a bunch of techniques that we  
13 used, some of which were successful and some of which  
14 were not successful and have since been modified.

15 But, most importantly, in looking across  
16 the country at impact analyses, this prospective  
17 payment system and indeed any prospective payment  
18 system really requires a random distribution of  
19 patients as to severity of illness as well as to  
20 underlying diagnoses. And, it was for those reasons,  
21 for example, that in the original inpatient prospective  
0028

1 payment system, cancer hospitals were exempt and  
2 teaching hospitals got what was a, what turned out to  
3 be a very generous addition under the concept that  
4 perhaps they had more severe cases than other  
5 hospitals.

6 And, at some off-time we can debate whether  
7 those were correct or incorrect but the point was that  
8 we at least made those choices volitionally. They were  
9 not unintended consequences. So, moving from the broad  
10 generalities to the particular about this bundled  
11 payment demonstration, I think that clearly what  
12 everybody would hope to see is that the demonstration  
13 shows that you can have a bundle, that it's constructed  
14 correctly and that for patients it means at least equal  
15 to if not higher quality of care than what they're  
16 currently receiving.

17 And for providers and facilities, it means  
18 that they don't go broke trying to do it as at least  
19 one of the two participants in a previous demonstration  
20 would have, had this particular methodology or  
21 requirement gone forward indefinitely. I should also  
0029

1 say that as a consultant I was involved in the  
2 evaluation of the managed care demonstration that CMS  
3 had previously.

4 So, clearly if we're going to have a,  
5 recommend a bundle for a demonstration -- and here's  
6 the subtle amendment I would like to make to what Brady  
7 Augustine said -- is what we're constructing here is an  
8 experiment. We're not constructing something that  
9 hopefully will go forward in legislation before we have

10 had a chance to have the experiment because otherwise  
11 why bother with the experiment.

12         So, what we're trying to do is do something  
13 that, to fall back on a principle that we all, the  
14 physicians in the group learned is first we want to do  
15 no harm, do no harm to the patients and do no harm to  
16 the facilities, the providers and also the government.  
17 Because, depending on how this is constructed, the  
18 government could actually wind up spending more than  
19 that what they might have under the current system.

20         Secondly, the features that a bundled  
21 payment system should have is predictability, equity  
0030

1 and an incentive for quality. In order that we need to  
2 have the best data we can and we need to have it not  
3 only on a systemwide basis, on a facilitywide basis but  
4 also to a very large degree on a patient-specific  
5 basis. And, I think that if we don't have those, we  
6 need to do things that err on the side of generosity so  
7 that we can achieve the goals of equity, we can achieve  
8 the goals of incentive for quality and we can have  
9 safeguards so that patients don't do any worse than  
10 they do currently.

11         So, those are really the things that I'm  
12 going to be looking at as we go forward through these  
13 meetings and look at the data and see how we can  
14 recommend what can be reasonably included in a bundle  
15 and what we simply don't have the data for, not through  
16 the fault of anybody but perhaps suggest ways that CMS  
17 can collect that information in the future, either  
18 through targeted research or expanding the level of  
19 data and the kinds of data that they collect. Now we  
20 can have Kris go.

21         MR. AUGUSTINE: Thanks, Bob.

0031

1         MS. ROBINSON: I'm Kris Robinson. I'm the  
2 Executive Director of the American Association of  
3 Kidney Patients, I myself a patient of almost 19 years  
4 with a living-related kidney transplant. What do we  
5 hope the payment based on expanded bundle accomplishes,  
6 achieves? Well, I can only speak from the patient  
7 perspective. And I want to begin by stating the  
8 statistical fact and that's that patients starting  
9 today on ESRD have a life expectancy of less than five  
10 years.

11         And, you know, patients with colorectal

12 cancer or patients with AIDS have a higher life  
13 expectancy. So, A, it could be into patients, we know  
14 that patients can live long lives, good quality lives  
15 and that my hope would be that any innovation, whether  
16 it's restructuring of payment or clinical outcomes  
17 would take into thought and to evaluation on how it can  
18 increase life expectancy for patients to. So I have  
19 two hopes in that regard.

20 First my hope is that the demonstration of  
21 project will provide for the first time critical-needed  
0032

1 data about the clinical value of an expanded bundle of  
2 services. Congress and CMS has been interested in this  
3 for many years, since 2000, and we haven't seen much  
4 independent analysis on an expanded bundle, even among  
5 our fellow CMS stakeholders. When we talk about  
6 bundles, you know, there's so many ways to look at it.  
7 Composite rate is certainly a semi-bundle going all the  
8 way to managed care, which is a full bundle, so for us  
9 to discuss on what we mean by bundling.

10 And second in terms of the demo project,  
11 the best case is that the new bundle payment options  
12 give dialysis facilities more flexibility to provide  
13 personalized care for patients because we know that  
14 personalized care can also mean a longer life  
15 expectancy and less disability. What are the risks?  
16 As patients we want to ensure that all patients have  
17 access to all needed care and that the expanded bundle  
18 will actually not provide financial incentives for  
19 providers for less care.

20 As Dr. Rubin stated, measurement is the key  
21 to everything here. It must be able to be measured in  
0033

1 order for something then sure to be done. And, I think  
2 that quality is a huge issue. I'll note that AAKP has  
3 had a long-standing commitment in the  
4 pay-for-performance department. In fact, sometimes to  
5 the chagrin of our colleagues in the kidney community,  
6 we have always stated that reimbursement should be tied  
7 to quality measures and outcome. And we feel strongly  
8 that how payments should be crafted as a reward or a  
9 withhold is a tougher issue and we've got a lot of hard  
10 work in front of us as we discuss the bundling effort.

11 We always want to keep in mind, too, that  
12 the smaller, independent facilities, that's a tough  
13 question. How do we make sure there are enough

14 resources going to the smaller independent facilities?  
15 And then we have the question of what's going to be  
16 included in the bundle. We believe at AAKP that  
17 there's substantial literature and documentation  
18 showing that clinical care can benefit but we don't  
19 necessarily get that information out there. We have a  
20 great new breakthrough initiative with Fistula First by  
21 CMS. It's great work. It's work that started being  
0034

1 shown over 11 years ago.

2           So, we want to ensure in the bundling  
3 program that we do, that we use information that is out  
4 there and can advance in the future as we work with the  
5 bundling demonstration project, include new clinical  
6 performance guidelines and measures. So, I'm looking  
7 forward to participating with all of you on this  
8 committee.

9           MR. AUGUSTINE: Thanks, Kris. Tom?

10           MR. CANTOR: I'm a biochemist. I come from  
11 the background of laboratory science as well as  
12 business. And, in 1973 I set out as a life goal for  
13 myself to take the knowledge of biochemistry and to  
14 translate it into patient benefits. I have contributed  
15 to science by publishing articles and some books and  
16 patents. And my team, I started this company in 1973  
17 in my garage with just myself and \$130. Today it's a  
18 team of 400 people.

19           The team has been directed, I was very  
20 concerned in the eighties when we identified the  
21 patient in Massachusetts who had been misdiagnosed  
0035

1 through a false positive of a pregnancy, HCG, type of  
2 test as having trophoblastic cancer and had received  
3 chemotherapy in error. And as a result of that we  
4 developed a chemical called the false positive blocking  
5 agent that is currently used for about 50 million  
6 cancer tests per year worldwide and helps to prevent  
7 these unnecessary surgeries and chemotherapies.

8           In the other area of laboratory science, we  
9 have developed laboratory controls which we have worked  
10 together with in the countries of the U.S. and France  
11 and Germany, U.S., Finland and Italy. And what these  
12 have done, have been to protect patients from  
13 mistreatments by indicating when a test is losing its  
14 accuracy through a shift. In 1998, we discovered a new  
15 parathyroid hormone, which is a kind of regulatory

16 hormone to parathyroid hormone and turns out to be  
17 important for more appropriately guiding Vitamin D  
18 therapy and preventing unnecessary parathyroidectomies.

19 My concern with the bundle is in the area  
20 for both Medicare Trust Fund, that the money which is,  
21 which is, of course, as the term trust is used

0036

1 appropriately, and also for patients, I would like to  
2 see a measure whereby patients can be tapped into by  
3 way of a satisfaction form. We also manufacture a  
4 pregnancy test kit called First Response and Answer,  
5 which is distributed to about a million and a half  
6 clients per month here in the U.S. And one of the  
7 mechanisms we have been able to tie into the clients  
8 with that is to set up through J.C. Penney's a 1-800  
9 number whereby clients can call in. And we get a  
10 report of about 300 every month which has been  
11 invaluable for us. I would like to investigate whether  
12 this could be possible within the renal community.

13 My concern also is when we talk about  
14 outcomes is that many of those outcomes are laboratory  
15 values and with the knowledge that we, that I have in  
16 laboratory science I know very well how there is a  
17 great diversity in lab values depending on the test  
18 which is used, the specimen collected, the time of the  
19 specimen and so forth.

20 So, I will be particularly concerned and  
21 focusing on the measures of quality improvement as they

0037

1 relate to laboratory values, of course the ultimate  
2 outcome being mortality and morbidity, but moving  
3 beyond that to laboratory values will be an area that I  
4 will be particularly concerned with. So, I'm glad to  
5 be on this committee and to bring to it the laboratory  
6 side as well as a business aspect. Thank you

7 MR. AUGUSTINE: Dr. Burkart?

8 DR. BURKART: I'm John Burkart. I'm the  
9 nephrologist at the Wake Forest University Medical  
10 Center. As far as my background, I'm an academic  
11 nephrologist. We have a very busy clinical practice.  
12 I have been doing this since 1984, various aspects of  
13 nephrology. My academic research interests are the  
14 clinical aspects of peritoneal dialysis and frequent  
15 chemodialysis and renal osteodystrophy. I also serve  
16 as a medical director for the Wake Forest outpatient  
17 dialysis units and hence I guess I'm a provider. I'm

18 here, though, because I want to be involved and I want  
19 to improve patient outcome and these comments, by the  
20 way, even though I'm a provider, are mine.

21 We were asked to answer a few questions and  
0038

1 so I will try to go in order for what we were asked,  
2 the first being, what do I hope the bundle payment  
3 demonstration project will accomplish, first of all,  
4 from the patient's perspective. I mean, after all this  
5 is about the patient's -- and you can you fill in the  
6 blank for the next word if you want. But I think that  
7 the patients also probably feel that it's important  
8 that any, any effort the dialysis units spend on gaming  
9 the system, for financial gains, would actually be  
10 directed towards an indicator that improves patient  
11 outcome, not just a measurement on a piece of paper.

12 I think from a patient's perspective it  
13 would be important that this demonstration project  
14 would remove any impediments for modality choice. I  
15 think it would also be important that it allows  
16 patients who perhaps now currently are not able to get  
17 a medicine because of certain restrictions, insurance,  
18 et cetera, that they can get the medicines that they  
19 need to improve their outcomes and that ultimately we  
20 focus on improving patient outcomes.

21 From a facility's point of view, I think  
0039

1 that we need to be careful that these adjustments do  
2 not inadvertently decrease the reimbursement towards  
3 facilities. If you are in a large chain, you might be  
4 able to make up the losses at one unit from gains in  
5 another unit but if you're an independent unit, and a  
6 small unit and it just so happens to be that your  
7 overall payment is less, it may affect quality of care.  
8 You know, I think it's important that we look at things  
9 to do that. We need to allow the units to focus their  
10 energy on things that improve patient care, not that  
11 improves some artificial markers that we send in that  
12 influence our financial gain.

13 What about from the doctor's perspective or  
14 a medical director's perspective? Well, I think that  
15 it is important that the system allow doctors and  
16 medical directors to do, make changes and effect  
17 changes in the treatments that affect patient outcome.  
18 Now, often this is going to be revenue-generated so  
19 it's important that we align the incentives and the

20 bundling so that improvements in energy actually has an  
21 improvement in a patient's outcome. We need to remove  
0040

1 some of the artificial restrictions on use of or doses  
2 of certain medications.

3 And I hope that the bundle system will  
4 allow for patient choice, allow for home therapies,  
5 allow for some of the new developments that individual  
6 patients may need even though it may be more costly,  
7 whether it be home or in-center and allow for  
8 technology development.

9 The second question we were asked is what  
10 is the downside risk of expanding the bundle system.  
11 And ultimately if things are based on economics, it may  
12 force some end-of-life decision for patients based on  
13 economics, not medical issues. And I would hope that  
14 we don't set up a system that does that. We have to be  
15 careful about cherry-picking patients and establishing  
16 boutique units which can look at the modifiers in a way  
17 that, you know, optimize income.

18 And, again, small units may be  
19 disadvantaged by modifiers. I can tell you that if you  
20 just looked at a system of units, some units may end up  
21 getting paid less per treatment, considerably less than  
0041

1 what they currently have been, and others considerably  
2 more. If you are in one of those units where it's  
3 less, how can you improve patient outcome if you are  
4 going to get significantly less money? Therefore, in  
5 order to do this, the other question we have is, what  
6 three key features should the bundle system have? And  
7 the number one thing is, is that we need data. We need  
8 real, accurate, standardized data that these pilot,  
9 that we can use for a pilot study so that we can then  
10 effect a positive change. And as has been mentioned,  
11 it needs to be based on data.

12 I think the second feature is that the  
13 adjustment and modifier should be based on standardized  
14 indicators that are actually related to the  
15 patient-centered outcomes. So we want to pick some  
16 indicators that if we do better in that area, the  
17 patients do better. Remember, that's the number one  
18 thing, the patient needs to do better. One of the  
19 things we might want to consider is using a realistic  
20 cost. In cost reports that we fill out, they are  
21 artificially restricted perhaps in that if we're going

0042

1 to determine a baseline, it may be helpful to have a  
2 more realistic view of the costs for determining this  
3 baseline. So those are the three key features.

4           What three key features should be avoided  
5 in a bundled system? Well, I think we need to be  
6 careful about how we do that composite rate adjustment.  
7 You know, we're working with, and a lot of patients  
8 dialyze at centers that are in there because they're a  
9 business. And, so, the bottom line is whatever system  
10 we put in place, it's going to be gamed. That's human  
11 nature and that's part of business.

12           And, so we have to be careful and be sure  
13 that the things that are there for potential gaming are  
14 actually things that will benefit the patient. So, if  
15 we work hard to game the system, it's in a way that  
16 patients will benefit. We shouldn't have units get  
17 significantly less payment. They're already possibly  
18 in some units that have minimal reimbursement. This  
19 thing should not result in less use or restriction of  
20 medications nor we shouldn't transfer the cost of  
21 medications to the patient and take the money for the

0043

1 dialysis unit, for instance, if there are ways to pay  
2 for oral medications and the composite rate includes  
3 some medicines. We need to be careful about things  
4 like that in a bundle system.

5           The last question we have is, how can or  
6 should pay-for-performance be incorporated into the  
7 bundle payment system? And I think this is a little  
8 bit more difficult. On one hand you can say bundled  
9 reimbursement and pay-for-performance are two different  
10 things but I think if you think about it they actually  
11 can have the same focus.

12           And if we focus on the patient, the patient  
13 is the focus, and think about getting accurate,  
14 standardized data that results in patient-centered  
15 improvement and outcomes, this can be a win-win  
16 situation. The improvement, there needs to be a team  
17 approach. We have dietitians and social workers and  
18 nurses and medical directors for a reason and I think  
19 if their interaction is a real purposeful interaction,  
20 not a put-something-on-paper interaction, we will get  
21 improvement.

0044

1           I think we need to be careful about what we

2 compare facilities for. It's not so important how we  
3 are doing nationally or networkwise as far as how the  
4 individual patients is doing and what our individual  
5 historic data looks like and we need to be driven to  
6 improve the outcomes at our unit. And, if we really do  
7 that, ICQI processes can be real and focused.

8         We need to be careful about not  
9 incentivizing units that are already below the 50th  
10 percentile because those units who are performing at  
11 the 50th percentile or less end up getting less in  
12 their pay-for-performance than they currently are. How  
13 can they improve outcome? But we need to be able to  
14 have a little bit of leeway in the cost structure so  
15 that we can actually develop technology and do  
16 something extra for a patient even though we're not  
17 getting paid for it.

18         So we need to standardize the way we  
19 collect things. The performance incentive should be  
20 related to indicators that improve patient outcome. We  
21 need to consider looking at multiple things in a  
0045

1 patient so that, you know, the patients are achieving  
2 all the outcomes, not just that our mean is where it  
3 needs to be. This may take an act of Congress because  
4 A and B are different but I think it's important that  
5 we look at those kind of changes.

6         MR. AUGUSTINE: Thank you, Dr. Burkart.  
7 Dr. Owen?

8         DR. OWEN: Good morning, everyone. Quite  
9 candidly, I'm not certain why I'm here. I'm a  
10 nephrologist of average intelligence, which means that  
11 probably half the people around the table know more  
12 than I do. I have had a plethora of jobs in my career.  
13 I've been an academician. I've been a practicing  
14 nephrologist. I've been in large enterprise, past  
15 president of our professional society, and, lastly,  
16 care and love for a couple of family members with end  
17 stage renal disease.

18         Probably in the way of background the area  
19 that I am most proud of, however, is with a member of  
20 this panel, established an inner-city dialysis unit  
21 where, as I like to describe it, homicide contributed  
0046

1 to us having an adverse standardized mortality rate.

2         Around the issues that we are addressing  
3 here today, I have really three, what I described

4 contextual points or contextual queries that very much  
5 influence my thoughts toward the six questions that  
6 were posed to us as panelists. The first of those that  
7 I am a little unclear on, quite honestly, is are we  
8 talking about end stage renal disease care only or are  
9 we talking about medical care of an end stage renal  
10 disease patient, and the two are really quite  
11 different.

12         Secondly, I am not too clear, quite  
13 honestly, about who the end stage renal disease  
14 provider is. I see provider in a lot of slides here  
15 thrown around. I'm not sure who the heck the provider  
16 is. Is the provider the M.D., is the provider the  
17 allied health professional, is it the facility, is it  
18 the outside M.D., is it all the above?

19         And then lastly, I am not confident about  
20 what the site of end stage renal disease service that  
21 we're talking about is, is it the dialysis unit or is  
0047

1 it that extended, integrated -- and I put integrated in  
2 quotes -- health care delivery system that these folks  
3 are taking advantage of.

4         In terms of the first query that was posed  
5 to us, goals of an expanded bundle, I see this as,  
6 quite honestly, one of many alternative strategies to  
7 drive what I listed out here for myself as seven  
8 things, first of all, better service coordination  
9 between providers and also for an individual provider.  
10 For those of you who care for patients, I'm sure you  
11 appreciate that you can improve the way you coordinate  
12 what you do since you barely remember what you did the  
13 last month preparing for a patient.

14         Secondly, I see this as a strategy to drive  
15 greater attention to value of service. And I am  
16 describing value in this circumstance as the ratio of  
17 the clinical outcome, and outcome gets a lot of  
18 different definitions, to the cost of those services  
19 provided.

20         Thirdly, I see this as a strategy to serve,  
21 discharging or diminishing or mitigating the  
0048

1 distraction that is offered around clerical tasks, and  
2 I'm talking about clerical tasks ranging from  
3 compliance training to good old-fashioned documentation  
4 that doesn't serve the care of the patient but serves  
5 the need that someone else has based on distrust of

6 what I'm doing.

7 Fourthly, a way of offering greater  
8 autonomy in terms of the ability to care for patients.  
9 Fifthly, fewer expenses for overhead and that's both in  
10 variable as well as fixed costs. Sixthly, a way of  
11 rewarding value for the aggregate of stakeholders in  
12 the care of patients. And then lastly, as a potential  
13 strategy to drive greater scientific and therapeutic  
14 innovation, an end stage renal disease program, which I  
15 will tell you putting on an enterprise hat and having  
16 seen innovation in ESRD providers versus other areas,  
17 we are way behind.

18 Potential risk, as my colleague to my right  
19 described it, boutique units, I describe them as  
20 concierge dialysis or concierge units. We need to  
21 avoid that. And unfortunately there is an opportunity  
0049

1 for this to result in uneven service quality, limited  
2 innovation, reverse patient selection and therefore, of  
3 course, limited access to interventions. Key features  
4 for the right system, I'm a simplist. I'll describe it  
5 with the three features as the right amount of money to  
6 the right person at the right time. Things to avoid,  
7 all the above, and then lastly as for  
8 pay-for-performance, great opportunity for us to do the  
9 experiment, what was described by my colleague to my  
10 left as a beta test in my mind is an alpha test for  
11 pay-for-performance. So, thanks for allowing me to be  
12 here.

13 MR. AUGUSTINE: Thanks, Bill. Dr. Wish?

14 DR. WISH: Good morning, everyone. My name  
15 is Jay Wish and although I was introduced as President  
16 of Networks 9 and 10, my day job like Dr. Burkart is as  
17 an academic nephrologist, Cleveland, Ohio, taking care  
18 of patients. I have been involved in the ESRD Network  
19 since 1980 and this has fostered by evolving interest  
20 in quality measurement and oversight of dialysis. I'm  
21 past president of the form (phonetic) of the ESRD  
0050

1 Networks and I have been chair of the ESRD Clinical  
2 Performance Measures-Quality Improvement Committee  
3 since its inception.

4 I believe the current system of ESRD  
5 reimbursement is broken and needs to be fixed,  
6 especially with regards to the alignment of incentives.  
7 An expanded case-mix adjusted bundle is a start in that

8 direction but I think it's only a start and still  
9 doesn't necessarily align facilities and physicians and  
10 current Medicare law does not allow for the mingling of  
11 Part A and Part B funds to reward dialysis providers  
12 that decrease hospitalization costs. Nonetheless, we  
13 all share the hope that a properly designed and  
14 implemented case-mix adjusted bundle will have the  
15 desired effect of increasing system efficiency without  
16 sacrificing equitability.

17         As far as the first question is concerned,  
18 what do I hope this payment, expanded bundled system  
19 will accomplish, I think the expanded bundle will  
20 eliminate the perversity of the previous system that  
21 forces facilities to lose money on the composite rate

0051

1 so that their only profit center is injectable drugs.  
2 The current temporary average acquisition cost fixed  
3 for the system will not eliminate the injectable drug  
4 profit center for the 50 percent of mostly larger  
5 providers whose acquisition cost is less than the AAC  
6 and will unfairly penalize the 50 percent of mostly  
7 smaller providers whose acquisition cost is greater  
8 than the AAC because of their lack of purchasing power.

9         For that second 50 percent, there may be an  
10 incentive to seek less costly and possibly less  
11 effective alternatives to the injectable drugs in order  
12 to remain fiscally viable in an increasingly  
13 LDO-dominated industry which may adversely affect  
14 patient outcomes. An expanded bundle makes the  
15 injectable drugs a cost center for all providers but  
16 eliminates the clear-cut loss that smaller providers  
17 will perceive in the AAC system and therefore depending  
18 upon the scope of the bundle will promote patient care  
19 based on best practices rather than profit motives.  
20 This should ultimately benefit patients, especially  
21 those whose case-mix reimbursement level is attractive

0052

1 to the providers.

2         The question about what are the downside  
3 risks of expanding the bundle, I think that access to  
4 care and patient-centeredness are in greatest jeopardy in  
5 expanded bundle. Even with case-mix adjustment there  
6 inevitably will be patients whose costs far exceed  
7 reimbursement and providers may have a low threshold  
8 for adversely selecting such patients, so-called  
9 cherrypicking. Facilities in which the case-mix is

10 financially unfavorable and they close entirely  
11 affecting access to care and overburdening nearby  
12 facilities that may already may be operating at thin  
13 margins.

14 Patients who choose to be nonadherent even  
15 with appropriate education and resource allocation will  
16 adversely be selected because of higher costs and  
17 higher -- ACO (phonetic) rates. The availability of  
18 resources at the facility level to promote  
19 patient-centerness through education and rehabilitation  
20 will be strained as margins decrease.

21 The question about what three key features  
0053

1 should a bundle payment system have, the first would be  
2 a sound case-mix adjustment methodology that uses  
3 patient level data which is cost linked to outcomes  
4 rather than facility cost reports as the most recent  
5 iteration dependent upon. This will require a  
6 robust -- as Dr. Burkart pointed out -- data collection  
7 and analysis infrastructure that addresses process  
8 measures as well as outcome measures.

9 The second would be features that support  
10 patient-centerness by providing resources for education  
11 and rehabilitation for patients at risk for  
12 not-adherence and as a result for adverse outcomes.  
13 And the third would be alignment of incentives between  
14 all providers, physicians and facilities with, as I  
15 said before, possible rewards for Medicare Part B from  
16 funds in savings in Part A.

17 The fourth question is what key features  
18 should the bundle payment system avoid. The first  
19 would be failure to account for patient center benefits  
20 of local facility ownership leading to what I call the  
21 "Walmartization" of the dialysis industry. The

0054

1 economies of scale offered by the large dialysis  
2 organizations may be attracted to Medicare in terms of  
3 system cost but bigger isn't necessarily better.  
4 Society has bemoaned the loss of personal service  
5 offered by the "Mom and Pop" retailers displaced by the  
6 big boxes. Independent dialysis facilities and the  
7 small regional chains may provide quality of life or  
8 patient satisfaction benefits that justify their higher  
9 costs and which must be measured before they are  
10 discounted by a "one size fits all" payment system.

11 The second feature to avoid would be

12 emphasis on outcome measures over process measures  
13 since process is more clearly linked to costs and can  
14 be supported by best practices and other evidence.  
15 Although some outcomes such as catheter use and  
16 hospitalization can be linked to cost as well, it's  
17 ultimately the continuous improvement and practice that  
18 will lead to cost savings and better outcomes.

19           And the third thing to avoid would be the  
20 failure to hold all stakeholders accountable with  
21 emphasis on the dialysis facility as the sole target  
0055

1 for cost containment and performance measurement.  
2 Nephrologists, vascular access surgeons and patients  
3 themselves influence outcomes based on their decisions  
4 and should be engaged in the alignment of financial and  
5 quality incentives.

6           And, finally, how can or should pay for  
7 performance targets be incorporated in the bundle  
8 payment demonstration, I think that  
9 payment-for-performance is a sound concept but  
10 premature implementation may obscure the validity of  
11 case-mix adjustment in our demonstration and therefore  
12 payment-for-performance really should be considered as  
13 a separate issue. It requires case-mix adjustment  
14 beyond that for a fair bundle payment and has even  
15 greater implications for adverse patient selection and  
16 loss of patient center care.

17           Payment for performance also requires even  
18 more alignment of incentives and greater accountability  
19 by physicians since their decisions will affect  
20 facility performance. Payment-for-performance  
21 necessitates more rigor in performance measurements  
0056

1 which requires time for the measures to be taken, pilot  
2 tested and validated according to AHRQ guidelines and  
3 if payment-for-performance is incorporated into the  
4 demonstration, it must be done in a manner that is  
5 transparent, reproducible and generalizable. Thank  
6 you.

7           MR. AUGUSTINE: Thank you, Dr. Wish.  
8 Bonnie?

9           MS. GREENSPAN: I am Bonnie Greenspan and I  
10 am a nurse. I was nominated by the American Nephrology  
11 Nurses Association to participate in this program. I  
12 have been a dialysis nurse for 30 years and I have, in  
13 1974 I started in dialysis, 1980, I opened a facility

14 which, opened my first facility which after five  
15 acquisitions by increasingly large dialysis providers  
16 gave me in '91, 70 dialysis facilities programs in New  
17 York, Maryland, Virginia and D.C.

18           And I have loved organizing care. It was  
19 always my first love to organize care so that we made  
20 it as easy as possible for people to do things right.  
21 And that is where I join with most of the people on  
0057

1 this council in saying that perhaps we are broken in  
2 that and I think too much of what is a wonderfully  
3 valuable commodity, that being nursing time, is spent  
4 managing a biological rather than managing a patient  
5 and that's because it accounts for so much of the  
6 margin of our viability as facilities. And I think  
7 somehow the new organization has got to expand that  
8 focus so that nurses can perform the way they need to  
9 perform in our facility.

10           In the interest of being able to organize  
11 care better, in '89 when I gave up and said I cannot  
12 spend a dollar twice, I went back to Wharton because I  
13 thought those people knew how to do that and I  
14 graduated and realized that they don't know how to do  
15 it but the thing they do know is how to look at the  
16 ways that were absolutely terrifying me as each new  
17 wave of challenge hit our industry. I learned to look  
18 at it more as something that you learn to get out there  
19 and ride those waves.

20           And that was part of what I think we need  
21 to do now is to say that in every time of crisis  
0058

1 there's an opportunity and we need to find the  
2 opportunity here to open up the possibilities for  
3 giving our patients the care that the government has  
4 charged us with providing to them in some new ways,  
5 which brings me to the questions that you specifically  
6 asked me. What do I hope for it? Well, I think that  
7 patients and providers all have exactly the same hope.  
8 They want to survive.

9           So, I think we all have that as in common  
10 and I think to that end that my hope is that whatever  
11 evolves from this study is that, this experiment, is  
12 that it is sustainable, a sustainable program which is  
13 really critical important to us, that it minimizes risk  
14 because capital is very important in the technical  
15 programs that we run. Just for safety, the equipment,

16 the environment, it requires a lot of capital and we  
17 need people to still feel that they can put that  
18 capital in.

19         And if it does seem unclear and risky,  
20 we're going to have to provide returns that are  
21 unmanageable in this kind of an industry, especially  
0059

1 where expenses are tough and margins aren't great. So  
2 the more we can make people feel there is less  
3 uncertainty, there's less risk, the better we are going  
4 to have people keep investing the capital that we  
5 require.

6         And then the other thing is that I think  
7 that we need to make sure that we to the extent that we  
8 can do what everybody else wants which is align our  
9 quality and our reimbursement well enough that we don't  
10 end up spending the therapeutic balance of our patients  
11 in some way that was not intended.

12         The downside risks, I think that the main  
13 downside risks are the potential for people who can't  
14 figure out a good way to provide both quality and make  
15 a good return to settle for the return, since it's  
16 complicated to do both. I also think that the other  
17 potential problem is confusion, which makes it harder  
18 for nurses to focus on the areas of care that they  
19 require rather than easier.

20         The three key features that I think that it  
21 should have is it should be straightforward, it should  
0060

1 be sustainable and I think it should be  
2 outcome-sensitive with real outcomes as everyone has  
3 addressed ahead of me, the outcomes that really matter.  
4 And there was a study, I don't know if you guys have  
5 heard about it, I tried to get some real information on  
6 it but they spoke on the radio the other day of a study  
7 that had been done on happiness for dialysis patients.  
8 Have you heard of this study?

9         There's a study on happiness. They gave  
10 people Palm Pilots who are dialysis patients and  
11 nondialysis patients and they asked them if alarmed at  
12 a certain time, you check in and answer certain  
13 questions to see how happy you are and comparisons show  
14 that dialysis patients and nondialysis patients, just  
15 general population, were equally happy.

16         And I'm not certain that prior to the time  
17 when we used the aggressive measures we do now, I have

18 been, as I said in dialysis 30 years and the patients  
19 that I've cared for with hematocrits of 23, were not as  
20 happy as the patients, as the population that was not  
21 on dialysis. I hope we don't lose that.

0061

1 I think that though some of the things like  
2 employment and other issues we've tried to track are  
3 not as compelling as you would think they were for the  
4 therapies we have been involved in for the last,  
5 increasingly over the last twenty years, that there is  
6 some evidence that the patients feel better. And I  
7 would hate to think that we're going to sacrifice that  
8 while we're still collecting data.

9 The key features for the bundle that they  
10 should avoid, financial reward for poor care,  
11 distraction and not having a reasonable way to judge  
12 performance level. I think that's one of the things  
13 that I am concerned about, just setting it up and  
14 having no safety net at all for decreasing performance.

15 And, pay-for-performance, the main thing  
16 I'm concerned about there -- and I do have some  
17 concerns about that -- are that the performance  
18 measures are meaningful and also that the measures that  
19 we use address improvement and not just absolute  
20 figures because we don't understand why some places  
21 have a very difficult time getting their measures up

0062

1 and so we want to be able to continue to incent these  
2 people rather than having them bail and it seems like  
3 making improvement a reward factor would be important.

4 MR. AUGUSTINE: Thanks, Bonnie. Dr.  
5 Eggers?

6 DR. EGGERS: Paul Eggers, I've been at NIH  
7 now for a little over four years. I'm responsible for  
8 the operation of the United States renal data system.  
9 I'm also a co-project officer on the data dialysis  
10 trial, which actually we do hope will be in the field  
11 sometime this year. And one of the more interesting  
12 things we're doing nowadays I think is expanding our  
13 research into chronic kidney disease as, because we  
14 have, ESRD has been under the street light. We have  
15 been looking at it, been there for a long time and in  
16 the last two years we've realized that much of the  
17 problems is of course in the CKD world.

18 Before that, I was at HCFA for 22 years in  
19 the Office of Research all that time in which I did a

20 lot of the research in the area of ESRD including  
21 financial research, which is undoubtedly why I'm here.  
0063

1 One first statement on the issue of happiness, I'm glad  
2 to hear that that study came out. I'm sure that Roger  
3 Evans would be very, very happy at that result because  
4 that's exactly what he found 25 years ago when he did  
5 his initial studies on that.

6 I only have four points, two of which are  
7 highly repetitive of what the people have said. When  
8 you get this far around the table, it's hard to come up  
9 with something that hasn't already been addressed. But  
10 my first three words were, do no harm and the corollary  
11 of that is an old aphorism, if it's not broken don't  
12 fix it, and, I would actually say also in relationship  
13 that if it is broken, fix only the part that's broken.  
14 And perhaps that's because I was thinking of Social  
15 Security.

16 Anyway, moving onto my second principle  
17 which everybody has already said is fairness and  
18 however we define that it should be with respect to  
19 obviously the government and providers, however they're  
20 identified, and also to obviously the patients. The  
21 only two points I have which may be at all different or  
0064

1 in some ways additive to what's already been said is on  
2 the issue of the bundled payment and the flexibility  
3 therein, and also I'm going to talk a bit about  
4 case-mix.

5 I conceive of payment policy as running a  
6 continuum from a full fee for service to a full  
7 capitation type of payment. And, if you think about  
8 those two extremes, the incentives there are much  
9 different, obvious, and they have both positive and  
10 negative consequences. A full capitation in principle  
11 is an exceedingly nice situation because you are free  
12 to give exactly what the patient needs and bill exactly  
13 for what the patient needs and no, nothing else, and  
14 therefore the system in optimal situations should work  
15 perfectly well.

16 As we know, it doesn't and patients get a  
17 lot of the things that perhaps they don't need. And so  
18 we think of efficient system as perhaps moving all the  
19 way to a full capitation system. And what do we have  
20 in a full capitation system? Well, hopefully an amount  
21 is given to a provider or an insurance company or an

0065

1 HMO or something and they have the flexibility to use  
2 that money in the way that is in the best interests of  
3 the patient. And that again is theoretically a very  
4 fine sort of unit.

5         As we know, the problem there is  
6 under-service because it's easy to take the money and  
7 run. And, in some sense that's kind of what happened  
8 in the initial EPO payment in which it was essentially  
9 a capitated payment and patients were underdosed. Now  
10 we've moved to a system in which we pay by the amount  
11 of dose, which is a fee for service kind of thing and  
12 now we're worried about more risks than are necessary  
13 and of course that's tied in with the whole payment  
14 policy as well.

15         So, my point is that a bundle payment falls  
16 somewhere in the middle on that. Okay? It's not full  
17 capitation. It's not fee for service. It has little  
18 bits of elements for both but it also has getting to  
19 the problem of what are the downsides. Insofar as it  
20 puts things together, it gives more flexibility; that's  
21 a good thing. It also raises the specter of

0066

1 underservice. And, I don't want to say any more about  
2 that but it's a continuum here and I think we should  
3 always bear that in mind.

4         With respect to case-mix adjustment, in  
5 some sense my comments are a little bit similar there.  
6 I believe that case-mix adjustment has become almost a  
7 holy grail in the medical world these days, and it  
8 works I think very well in the area of the prospective  
9 payment system for hospitals and also it obviously is  
10 needed there in Medicare Plus Choice. But there's this  
11 idea that it always works and it's always a good thing.  
12 It has downsides.

13         To the extent that you're moving towards  
14 the fee for service continuum of the end of that  
15 continuum, payment continuum, it becomes less and less  
16 necessary. The extreme example I use is flu shots. We  
17 do not case adjust flu shots and nobody thinks that we  
18 should adjust, case adjust flu shots because it is a  
19 very simple procedure and we don't think that it should  
20 vary and so on and so forth.

21         At the other extreme, we do think that you

0067

1 case-mix should adjust full capitation. Where does the

2 bundle service fit in there? Well, I'm not exactly  
3 sure and I hope to be informed today by the KECC people  
4 on that because we do have a service here which is in  
5 some sense a routine kind of service in which everybody  
6 gets more or less the same kind of service here. Now,  
7 to the extent that the patient varies, and that is  
8 significant across facilities, there is a need for  
9 case-mix adjustment.

10         However, remember the case-mix adjustment  
11 is not a perfect sort of thing. A, it won't perfectly  
12 case adjust for every single patient. It lends itself  
13 to a certain amount of gaming on the system and it is a  
14 burden as well. As we can see, there is information  
15 that needs to be provided in order to adequately  
16 case-mix adjust and so on and so forth. So it's not as  
17 though a case-mix doesn't come without some burden  
18 associated with it.

19         And, so, I think there you have both the  
20 upside and the downside. It does allow for the  
21 specific kinds of groups of patients which will be more  
0068

1 difficult to take care of. You know, not surprisingly,  
2 dialysis facilities are like hospitals. They suffer  
3 from the Lake Wobegone effect. They all believe that,  
4 you know, theirs is above average in terms of case-mix  
5 and it turns out that, you know, when you average  
6 across it, half are on this side and half are on the  
7 other side. So -- huh? My patients are always sicker.  
8 That's true. That's a universally held sort of thing.

9         So, those two things that, you know, we are  
10 on a continuum here of capitation; it has both the pros  
11 and the cons and we have looked at both of those and  
12 secondly, personally, I am less enthused with the  
13 wonderfulness of case-mix adjustment in all cases and I  
14 think we need to be aware of that.

15         MR. AUGUSTINE: Paula?

16         MS. CUELLAR: My name is Paula R. Cuellar.  
17 I have been a registered nurse since 1972. I completed  
18 undergraduate curricular programs at Purdue University  
19 in West Lafayette, Indiana, and the College of Mount  
20 St. Joseph at in Cincinnati, Ohio. I have completed  
21 classes toward my Masters Degree at Ball State

0069

1 University in Muncie, Indiana. I have a varied  
2 background in nursing but I have been actively involved  
3 as a renal nurse for over 25 years. My current

4 position of Care Center Director for Dialysis at the  
5 University of Chicago is the equivalent to a Renal  
6 Administrator.

7       The University of Chicago Hospitals  
8 dialysis program is a hospital-based program. It is  
9 fully hospital owned and operated. We treat  
10 approximately 450 patients with ESRD. What do you hope  
11 payment based on an expanded bundle accomplishes or  
12 achieves? A proposal that is complete and acceptable  
13 to all parties involved, based on best evidence  
14 available and actual costs of providing care.  
15 Reasonable expense to the taxpayers that will assure  
16 that quality is at the highest level and that potential  
17 for technology and research advances to move forward.  
18 Yearly updates provided as for other Medicare programs,  
19 For patients, access to quality therapies for all  
20 patients -- measured and reported timely for  
21 beneficiaries and taxpayers review, an appropriate

0070

1 case-mix adjustment to prevent adverse patient  
2 selection bias.

3       Among the adjusters -- I have a question--  
4 would economic factors be part of the adjustment? Do  
5 indigent patients need to be considered for increased  
6 resources to assist with issues such as transportation,  
7 housing, utility cut-offs, poor access to necessary  
8 medicines, et cetera, that all seriously impacts their  
9 health and quality of life.

10       For the providers of the facilities, fair  
11 opportunity for all providers, recognition of special  
12 considerations, not just rural vs. urban issues but  
13 also chain providers vs. non-chain small  
14 hospital-based, compare all the outcomes and the  
15 mortality rates of those providers. Research and  
16 technology advancement participation, providers in  
17 remote locations with little opportunity for quick  
18 adjustments, to issues.

19       An example is the Pacific Islands, where  
20 there's very limited access to resources, even greater  
21 limitation than that experienced by the rural

0071

1 providers, Disparate opportunity for home therapies and  
2 appropriately stimulate that opportunity. Address the  
3 issues of the appropriateness of care, quality of life  
4 issues.

5       I mean, the cost report data that we have,

6 I don't see, don't necessarily think that it reflects  
7 the true cost for the dialysis program of everything  
8 that's included. Access to ancillary caregivers should  
9 not be restricted unnecessarily if the intent is to  
10 centralize more care with the nephrologists. The MCP  
11 will also need to be addressed. Could other physicians  
12 or caregivers such as podiatrists see the patients in  
13 the dialysis and be able to bill for that care? Allow  
14 for staffing for nurses to properly assess the  
15 patients.

16 An example is the diabetic footcare checks  
17 and patients with vascular disease to check and make  
18 sure that there's people who are being properly  
19 assessed and avoid the crises situations. What are the  
20 downsides of expanding the bundle? Assessment of  
21 disabilities, specifically would like to compare the  
0072

1 case-mix between home programs and other programs,  
2 could more beneficiaries be able to go back to work and  
3 not be drawing on disability? Cost containment can  
4 have an impact on reducing staff and hence quality in  
5 the lack of patients.

6 The key features that I think need to be in  
7 our bundled payment system is attention to areas with  
8 the most potential for improving the care and quality  
9 of life, impact of other care to the patients, and  
10 again that's repeating, are we providing ESRD care or  
11 are we providing total care for the ESRD patient.

12 What three factors should be avoided? I'm  
13 concerned about how we will handle hospitalizations and  
14 hospitalizations that are not always listed as other  
15 than overnight stays or with 24-hour stay. Capitating  
16 the vascular access surgeons might be risky, unduly  
17 restricting the MD's ability to tailor specific  
18 situations to the patient, coordinating care of the  
19 patient with other physicians such as the  
20 endocrinologist, podiatrist and vascular surgeons.

21 For the pay-for-performance, assure that  
0073

1 there's no fudging of the numbers to enforce it. An  
2 example that I can think of is on lab day the patient  
3 runs as full time and the rest of the month the patient  
4 runs much less of the time and use the outcomes rather  
5 than the absolute figures.

6 MR. AUGUSTINE: Thank you. Nancy?

7 MS. RAY: Hi. I am Nancy Ray with the

8 Medicare Payment Advisory Commission, MEDPAC. MEDPAC  
9 is a small congressional commission. I'm one of about  
10 20 policy staff analysts there. MEDPAC is charged with  
11 advising Congress on issues relating to Medicare  
12 payment, Medicare beneficiary access and quality of  
13 care. I'm one of the staff people that helps support  
14 our 17 commissioners.

15 Our commissioners meet seven times a year,  
16 at a public meeting in Washington, D.C. where they  
17 deliberate and make recommendations to the Congress.  
18 Both MEDPAC and one of its predecessor commissions,  
19 PROPAC, has a long-standing history of advising the  
20 Congress on ESRD issues. We're fairly well known, I  
21 guess, for our annual payment update recommendations  
0074

1 for the composite rate. We are legislatively charged  
2 to make that recommendation to the Congress each year  
3 and that's published in our March report which is  
4 available up on our Web site.

5 Beginning in March of 2000, and then we  
6 started an analysis looking at the outpatient dialysis  
7 payment system, and it led to a series of  
8 recommendations that we published in our March 2001  
9 report to modernize the payment system, including  
10 broadening the bundle and adjusting payment factors  
11 that affect providers' cost. We reiterated these  
12 recommendations in an October 2003 report and in that  
13 report we also talked about the need to collect quality  
14 data and to continually measure quality when the  
15 payment bundle is broadened.

16 In its March 2004 report, the Commission  
17 made a recommendation that the outpatient dialysis  
18 payment system, that payment should be linked to  
19 quality for both providers, facilities and physicians  
20 that treat dialysis patients. I won't go into all the  
21 specifics right now of our recommendation but it  
0075

1 included rewarding providers both based on quality  
2 attainment and quality improvement.

3 I won't go over all the questions because a  
4 lot has already been said by my colleagues. I think  
5 the three key features that a bundled system should  
6 have is -- and I think it's a point picked up by Bill  
7 and also by Paula -- I think it should include, well,  
8 MEDPAC is on record for it to include services commonly  
9 furnished to beneficiaries. And I think some thought

10 needs to be given to including services also commonly  
11 needed by beneficiaries including Medicare preventive  
12 services. A majority of patients are diabetics and I  
13 think some thought needs to be, we should have some  
14 reflection on including the Medicare covered diabetic  
15 services, for example, in the broader bundle.

16 I think the second key feature of a bundle  
17 payment system should be that it adjusts for factors  
18 that affect providers' cost. Case-mix has been talked  
19 about. It should also accurately adjust for  
20 differences in labor cost, for example. And I think  
21 the third feature is the again going back to MEDPAC's  
0076

1 recommendation that payment be linked to quality, that  
2 the bundle payment provide system provide incentives  
3 for providers to maintain high levels of quality and to  
4 improve their quality. And then, again, skipping to  
5 the last bullet point, how can a pay-for-performance  
6 concept be incorporated? I think that that's something  
7 that we need to discuss here but should it? Yes.  
8 Again, the Commission is on record for that. Thank  
9 you.

10 MR. AUGUSTINE: Thank you, Nancy. Last but  
11 definitely not least, Dr. Lazarus.

12 DR. LAZARUS: Well, with the privilege of  
13 being last, I'll try to eke out something here to say.  
14 I'm a nephrologist. I am a nephrologist. I have been  
15 a nephrologist since 1969. I taught at the Harvard  
16 Medical School and the Brigham and Womens Hospital, ran  
17 the nephrologist program there, had a large private  
18 practice. In 1996, I came to my current position in  
19 the hopes of being able to positively affect patient  
20 care in a large number of patients.

21 I'm going to answer these questions, one in  
0077

1 order, and, basically read my responses to get through  
2 this quickly. What do I hope the bundle will  
3 accomplish? For ESRD patients a more cohesive,  
4 integrated, appropriate improved care. This should be  
5 the first step in moving towards a complete disease  
6 management approach. It is not but it should lead to  
7 that. For providers, I think it will hopefully offer a  
8 simpler system that is cost effective and rewards for  
9 patient care.

10 I believe the system will likely improve  
11 the work environment for nursing staff. We have a

12 problem with nursing shortage, nurse turnover, and I  
13 think getting nurses more involved in the total patient  
14 will be a real positive for nursing staff. This  
15 demonstration should align efforts and incentives of  
16 nephrologists and providers, wherever possible, is  
17 mandatory to do that. We must carefully consider  
18 whether this is a single dialysis bundle or a bundle of  
19 monthly services. This was discussed at the PPS  
20 technical expert panel and I think we came to a  
21 conclusion that they are totally different bundles and  
0078

1 we must carefully consider which one we want to do.

2         One of the downsides of a bundle, well,  
3 bundling could possibly drive providers to attempt to  
4 use fewer resources. Payers can also ratchet bundles  
5 downward. This must be discouraged so as to avoid  
6 erosion of provider services. Appropriate and  
7 well-defined clinical quality outcomes must be  
8 identified and mandated -- otherwise that will happen  
9 -- to eliminate the possibility of reduced quality of  
10 care.

11         If reimbursement is not appropriate or if  
12 this demonstration is used to reduce costs too  
13 aggressively, then the demonstration will fail and an  
14 opportunity will be lost. CMS should consider funding  
15 part of this demonstration from Part A which will  
16 benefit from the savings created. There must be  
17 provisions for inclusion of new dialytic, diagnostic,  
18 pharmaceutical and safety improvements as they come  
19 along.

20         What three key features should the system  
21 have? Quality outcome measures, I think you have to do  
0079

1 that, and it must be based, despite what Paul said, I  
2 believe on some clinically correct case-mix adjustment.  
3 If we don't case-mix, there will be cherrypicking; sick  
4 patients will be excluded from this process. Now, it  
5 may be something as simple as an acuity patient scale  
6 determined by a nurse and a doctor in the dialysis unit  
7 but we must have some sort of adjustment.

8         There must be appropriate resolution of the  
9 patient, secondary payor payment component. The first  
10 demonstrations, as Bob mentioned, failed in two of the  
11 demonstration sites and will fail again unless that 20  
12 percent is dealt with. And finally, there must be an  
13 annual update in this demonstration.

14           What three key features should a bundled  
15 system avoid? Again, adverse selection due to  
16 inappropriate incentives and lack of proper case-mix  
17 adjusters. I think that is a danger. Failure to  
18 include all patients who participate in facilities. I  
19 mean, you cannot manage patient care when half your  
20 patients are in this demonstration and half are not.  
21 For those facilities that will participate in the  
0080

1 demonstration, I believe it has to be 100 percent of  
2 all patients participating. I don't believe you can  
3 deliver care with two different systems.  
4           Inadequate reimbursement based on outdated  
5 dialysis cost -- this has been mentioned before -- and  
6 our economic data from sources other than independent  
7 dialysis facilities, you cannot take physician office  
8 data and hospital data and apply it to dialysis units,  
9 I don't believe. How can or should pay-for-performance  
10 concepts be incorporated in the bundle payment  
11 demonstration?

12           We must establish easily measured and  
13 appropriate performance outcomes that relate to the  
14 bundle and for which there is room for improvement. We  
15 must utilize clinically correct case-mix adjusters --  
16 clinically correct case-mix adjusters -- not based on  
17 current dialysis facility cost reports. That is the  
18 wrong source of data for case-mix adjustment.  
19 Reimbursement should be more focused on an upside for  
20 improved performance rather than a penalty for poor  
21 performance, withholds and earn-backs. Reward only  
0081

1 improvement at first to float all boats before  
2 penalizing those on the low end of the performance  
3 criteria; determine whether payment will be made for  
4 reaching set targets or exceeding them or making  
5 improvement in one's own performance history. Thanks.

6           MR. AUGUSTINE: Thank you, Dr. Lazarus. We  
7 have a few minutes and we'll take a break at quarter  
8 till. I do want to make a few summary statements. I  
9 feel like the editor of a book with ten, eleven really  
10 well thought-out chapters. I will say I am here not as  
11 the senior executive of the ESRD program. I am here as  
12 a member of the community. And, from working in the  
13 community and working at CMS, I want this to work and I  
14 think, I believe we have all stated we have the same  
15 goals of improving the payment system that we have,

16 such that we get the most value for our money and  
17 improve care as best we can and that it's fair to  
18 providers as well.

19 I do want to make a few comments. CMS is  
20 quite interested in this to allow us to provide some  
21 additional flexibility. We realize that right now a  
0082

1 lot of our policies influence practice patterns and  
2 believe that, you know, a lot has taken on  
3 decision-making ability of individual practitioners.  
4 And, for example, the example given of paying on a  
5 monthly basis as opposed to a per treatment basis could  
6 allow innovation and new technologies.

7 For example, daily dialysis, if it's a  
8 decision of the patient and the carrier would do so it  
9 would be quite helpful in spurring those activities.  
10 Personally as partly an economist I believe there's a  
11 lot of ways to insist maybe not in meds, maybe more  
12 just in resources, the nursing time, which is extremely  
13 valuable, two of the break-through initiatives we have  
14 at CMS presently are improving turnover rates and  
15 continuity for nurses in various locations.

16 And, one last, as far as case-mix is  
17 concerned, Paul, from the way I have always viewed  
18 case-mix, doing a lot of statistical work in my career  
19 is that case-mix will never be perfect. At best you  
20 usually get up to 25 percent, and that's actually a  
21 very good case-mix. It's usually closer to 5, 10  
0083

1 percent. But the way I look at it is you're trying to  
2 explain variation and explaining 5 percent is kind of  
3 like a glass, having a glass that's that full as  
4 opposed to a glass, it's better than a glass that's  
5 completely empty.

6 And, so, you are explaining something. It  
7 may not be enough or may not be everything but it's  
8 better than nothing. And also in working with  
9 communities developing report cards and whatnot,  
10 case-mix is very important as far as helping people buy  
11 in and hopefully averting as much cherrypicking as  
12 possible.

13 I do want to talk a little bit about some  
14 of the work that CMS has been doing. We have been  
15 talking a lot about infrastructure. Dr. Wish and the  
16 Networks have been providing a lot of support to CMS.  
17 We realize that we need some good infrastructure in

18 place to move forward. We have been working on the  
19 core dataset. We have been working on the CECS, which  
20 is the Consumer Experience with Care Survey. CMS has  
21 been sponsoring that activity with ARC. As well we  
0084

1 have this, the clinical performance measures which are  
2 kind of the envy of the industry.

3         There's a lot of work right now on  
4 performance measures but in ESRD we've been doing it  
5 before anyone else. CPMs have been around in one form  
6 or another since 1994 and even predate HEDIS, which I  
7 like to brag about around the agency. Envision in the  
8 core dataset our major activities which are going to  
9 help us gather the information that's needed, the  
10 patient level to make case-mix. The cost reports are  
11 inadequate. I mean, they're good enough, we have to  
12 use them but patient level is the best type of  
13 information to use. We're working together to try to  
14 gather that information. As well as CMS has made an  
15 open offer to working with the community on revising  
16 the cost reports as well.

17         One last caution I do want to make is when  
18 we're talking about case-mix we need to differentiate  
19 whether we're talking about cost or about outcomes  
20 because the case-mix would be different for each. What  
21 predicts how much resources we use and what critical  
0085

1 outcomes you get are very likely different things even  
2 though you would like to think they are correlated.

3         And finally, everyone spoke very  
4 ambitiously in this group. I want to make sure, you  
5 know, we don't set unrealistic expectations. We are  
6 trying to improve the system. We're not going to fix  
7 the system. There is no perfect payment system. Our  
8 charge is to propose an alternative, an experiment, if  
9 you will -- from Dr. Rubin's comments -- that we'll be  
10 able to learn from. What information do we need to go  
11 forward? But, I don't want people to think that we  
12 have to fix everything. There are risks we'll have to  
13 live with and that's what we're going to have to  
14 discuss and talk about and weight together. So, thank  
15 you. We will meet together at 11 o'clock, we'll  
16 reconvene. Bob, do you have any comments?

17         DR. RUBIN: No.

18         MR. AUGUSTINE: Thank you, everyone.

19         (There was a break in the proceedings.)

20 MR. RUBIN: We're ready to get started. If  
21 the committee members could come back and take their  
0086

1 seats, we'll try to stay on schedule. All right,  
2 everybody.

3 MR. AUGUSTINE: We're getting ready for the  
4 next presentation. If everybody can take their seat,  
5 please. If we could go to the next slide, please. All  
6 right. We're now going to have a presentation of Lana  
7 Price, who is going to spend just a few minutes  
8 providing us with a CMS perspective on the past,  
9 present and future of the composite rate system. Lana?

10 MS. PRICE: Okay. Good morning. I don't  
11 know if everyone is here. Is it okay?

12 MR. AUGUSTINE: Yeah.

13 MS. PRICE: Okay. Can you hear me? Is  
14 that all right? Okay. The purpose of my presentation  
15 this morning is to set the context for the rest of  
16 today's discussions by addressing the composite rate  
17 payment system and how it has evolved over the years or  
18 I should say how it has not evolved over the years so  
19 that we can start with where we are today as a basis  
20 for moving forward to approve the ESRD payment system.  
21 As most of you probably know, the composite rate system  
0087

1 was established back in 1983, which is over 20 years  
2 ago.

3 And, up until the recent MMA provisions, it  
4 has been virtually unchanged since that time. In  
5 addition, the updates to the payment rates have been  
6 very limited. The only adjustment currently made to  
7 the rate is the geographic adjustment, and I'll have to  
8 admit it is an outdated one at that. The payment rate  
9 is based on a specific bundle of items and services  
10 related to dialysis treatments and represents payment  
11 for outpatient maintenance dialysis, hemo or PD, in a  
12 dialysis facility or in a patient's home. The bundle  
13 includes all patient care services, such as nursing,  
14 nutritional counseling, social services, care planning,  
15 et cetera, in addition to the actual supplies and  
16 equipment related to the dialysis process. Also  
17 included are specific drugs and labs.

18 All of the medically necessary drugs and  
19 services provided through ESRD facilities are  
20 separately billable. Over the years, facilities have  
21 been able to increase productivity as a means of

0088

1 keeping composite rate costs down; however, in recent  
2 years, productivity gains have leveled off to the  
3 extent that composite rate payments have not kept pace  
4 with increasing costs.

5 As a result, ESRD facilities are finding it  
6 more difficult to maintain profitability. Payment for  
7 separately billable drugs and services has continued to  
8 grow and currently accounts for over 40 percent of  
9 Medicare revenues to ESRD facilities. According to  
10 facilities, the margins on separately billables have  
11 historically subsidized shortfalls in composite rate  
12 payments.

13 Next, Medicare Modernization Act or MMA  
14 makes some significant changes in the way Medicare pays  
15 ESRD facilities for dialysis services and separately  
16 billable drugs and biologicals beginning in 2005. It  
17 is important to note that the MMA provisions did not  
18 change the current bundle included in composite rate.  
19 The legislation increased the composite rate by 1.6  
20 percent. In addition, the MMA made two budget-neutral  
21 changes to the ESRD payment structure. They're

0089

1 intended result in more accurate payment for separately  
2 billable drugs and dialysis treatments.

3 First beginning January 1, 2005, payment  
4 for separately billable drugs is now based on  
5 acquisition costs rather than average wholesale price.  
6 Payment for EPO is also set at average acquisition  
7 cost. The statute also provides for an adjustment to  
8 the composite rate to add in fact the savings from the  
9 drug payment changes into the composite rate payments  
10 for dialysis treatments; therefore, the composite rate  
11 was increased by an additional 8.7 percent beginning  
12 January 1, 2005.

13 Second, the legislation required the  
14 establishment of case-mix adjustments to the composite  
15 rate based on a limited number of patient  
16 characteristics. Because there is no data available at  
17 the patient level with respect to the use of composite  
18 rate resources, we had to develop case-mix adjusters  
19 based on the effects of certain patient characteristics  
20 on average facility level composite rate costs.  
21 Through regression modelling we identified three

0090

1 patient characteristic categories that influence

2 facility level costs.

3         Those include five age groupings, low body  
4 mass index, or BMI, and body surface area, or BSA. The  
5 adjustment based on body mass index would apply only  
6 for patients with low BMI values defined as 18.5  
7 kilograms per meter squared, which is consistent with  
8 the NIH and CDC markers for malnourishment. The BSA  
9 adjustments apply to all patients other than pediatric  
10 patients. And this adjustment is set at a reference  
11 point of a 1.84 BSA which represents mean BSA for all  
12 ESRD patients in our database. In other words, the  
13 adjustments for patients with a 1.84 BSA is set at one,  
14 and would vary from that point with the largest  
15 adjustments being made for patients having the highest  
16 BSA values.

17         In addition, we established a separate  
18 case-mix adjustment for pediatric patients, those under  
19 18 years of age, and this adjustment is intended to  
20 recognize the unique costs associated with the  
21 treatment of younger patients. All of these case-mix  
0091

1 adjustments will be implemented April 1, 2005.

2         Our analysis of the impact of the case-mix  
3 adjustments indicated that they would have limited  
4 redistributive impact on facilities since the  
5 distribution of patients across facilities is  
6 relatively uniform. It's important to understand that  
7 our ability to develop more precise case-mix  
8 adjustments for composite rate services is hampered by  
9 the data limitations with respect to the variations in  
10 resource consumption at the patient level. This is not  
11 the case for separately billable items and services  
12 since they are individually identified for each patient  
13 through our billing system.

14         Our goal is to continue refining the basic  
15 case-mix measures as more data become available in the  
16 bill such as current height and weight. To that end,  
17 we are encouraging facilities to begin reporting  
18 relevant comorbidities on their monthly bills so that  
19 we can better assess the impact of certain comorbid  
20 conditions on composite rate costs.

21         In addition, the statute gives us authority  
0092

1 to revise geographic adjustments currently in effect  
2 and requires that any new geographic adjustments be  
3 phased in; therefore, for 2006 our plan is to revise

4 the wage data and labor market area definitions based  
5 on the newly established CBSAs, core based statistical  
6 areas. And we expect to issue a proposed rule in early  
7 summer.

8         So, that's the current ESRD payment system  
9 in a nutshell. It's lasted this long so you might have  
10 ask, so why change it? In actuality, the current  
11 system has many shortcomings. First of all, the bundle  
12 was old and may not reflect current medical practices.  
13 Are the components of the bundle still relevant today?  
14 We really don't know the answer to that question since  
15 we do not collect any data regarding which composite  
16 rate services are actually provided today.

17         Second, there is no update mechanism nor is  
18 there a mechanism for recognizing technological  
19 advances. Cost-saving technologies in dialysis  
20 treatment have been readily adopted, such as reuse and  
21 high-flux dialysis machines as a means of increasing

0093

1 productivity; however, new technologies that increase  
2 costs are not readily adopted because the composite  
3 rate system is not set up to deal with new technologies  
4 that replace items currently covered in the bundle.

5         Separately billables have grown  
6 significantly over the years and with that come the  
7 inherent problems of dealing with inconsistent medical  
8 review policies. This has been especially true with  
9 separately billable lab tests. Probably the most  
10 fundamental shortfall of the current system is that it  
11 does not give facilities the flexibility they need to  
12 provide appropriate care for its patient population.  
13 The coverage and payment rules have become so complex  
14 that it interferes with the day-to-day business of  
15 caring for patients when the clinician needs to worry  
16 about the payment implications of care.

17         As we work towards improving the payment  
18 system for the future, we must think outside the box.  
19 This demonstration provides a unique opportunity to  
20 test innovative approaches to payment. Of course, as I  
21 see it the challenges will be to get the bundle payment

0094

1 amount right, to ensure that sicker patients get paid  
2 appropriately through case-mix adjustment, establish an  
3 update mechanism so that the payment system can evolve  
4 with changing medical practice, and, most importantly,  
5 hold facilities accountable for good patient care while

6 rewarding those facilities that achieve exemplary  
7 outcomes. Hopefully, through this Advisory Board and  
8 the demonstration process we can work together to  
9 achieve the best payment system that will work for  
10 facilities as well as beneficiaries.

11 Finally, with respect to the report to  
12 Congress that we will be working on, which is due  
13 October of 2005, we believe that it's important that  
14 the demonstration project and our recommendations  
15 concerning the design of a bundle payment system are  
16 comparable. And to that end we hope to gain insight  
17 from this Advisory Board as we develop our  
18 recommendations to Congress. That's it.

19 MR. AUGUSTINE: So far we have heard from  
20 members of the board and we have also heard from CMS  
21 concerning the opportunity to have an IRISS (phonetic),  
0095

1 that we all share a limited bundle payment system. Go  
2 to the next slide. We're going to talk about the  
3 vision of the quality parameters and also we're going  
4 to have a data preview from Kaye (phonetic). So the  
5 high-level goals for any prospective, any payment  
6 system really are very easy to agree on, to try to  
7 improve quality and efficiency.

8 Historically, Medicare has paid for what is  
9 done for patients, or a more utilization type approach,  
10 services and procedures. It does not base payment on  
11 the outcomes that are achieved for patients. The  
12 recent thinking, however, opened the possibility of  
13 introducing pay-for-performance as the new buzz-word at  
14 CMS and around the Beltway, by introducing  
15 pay-for-performance notions. And this is a topic we  
16 will spend some time discussing not so much at this  
17 meeting but in future meetings.

18 So, translating our general overall goals  
19 into a little more specifics and more challenging  
20 language, it's a payment system that create incentives  
21 to reward efforts, a word that was used around this  
0096

1 table, value, which is a recognized value, to improve  
2 the clinical management of patients. It should also  
3 create incentives to improve the efficiency in which  
4 dialysis is provided.

5 And finally, it should create incentives to  
6 treat patients with the greatest needs, or at least not  
7 punish those facilities that treat the most complex and

8 severely ill patients, or, as described by many at this  
9 table, we all share as a concern is cherrypicking.

10 Next slide, please.

11 As far as quality is concerned, I think  
12 this is pretty well recognized by this community. I  
13 always refer to it as Triple A, adequacy, access, area  
14 (phonetic) management, and then you've got mineral,  
15 metabolism as well as the main four areas of quality  
16 within the ESRD; vascular access is a major initiative  
17 for CMS, which is the first. There are not too many  
18 days I'm not happy about the teamwork and the  
19 leadership that the community has taken with regard to  
20 this approach.

21 And it's one of the things that is under my

0097

1 charge in my new role so I get to hear all the good  
2 news on a regular basis, so I can still feel close to  
3 this community. As well, there are other items we may  
4 need to look at. And when we were talking about  
5 flexibility earlier this morning, for example, with  
6 nutrition and with access, in a more flexible bundle  
7 environment, you may want to provide perinatal  
8 nutrition or therapy or you may want to provide access  
9 to monitoring, and it may be in your financial best  
10 interest to do so, may be in the best interest of the  
11 patient to do. And, so, that flexibility doesn't exist  
12 in the current system, which may be able to exist in  
13 the system that we work together on.

14 Also I do want to make a point as we've  
15 discussed more and more about pay-for-performance and  
16 this is a major initiative of this, our current  
17 administrator, he has been very clear that he believes  
18 that the current system, Medicare at large is broken  
19 and that we pay the same for someone to provide the  
20 service whether or not they provide it well or not, is  
21 not a very good economic use of limited resources. It

0098

1 gets back to discussion that, you know, echoes a lot of  
2 comments today about value.

3 But as we talk about pay-for-performance,  
4 we need to make sure what we're measuring. That also  
5 echoes our comments this morning, process versus  
6 outcome versus patient-centeredness versus structure.  
7 These are all areas that are important. You can't just  
8 use outcomes because outcomes tend to be more  
9 touchy-feely and harder to measure. You can't just use

10 process because not all process measures are tied to  
11 outcomes measures, tightening enough to show cost  
12 benefit in a budget-neutral environment.

13         There needs to be additional measures for  
14 patient-centeredness and that's why I'm happy about the  
15 caps initiative that will move us further along in that  
16 regard. And, structure as far as having EHRs, that's  
17 something that is of prime importance to this  
18 administration. And there are ways that we can provide  
19 incentives for people to have very well-designed  
20 electronic health records. That's something that we're  
21 quite interested in as well. So, a number of the  
0099

1 opportunities requiring improvement have been  
2 identified and while we assess in the community we want  
3 to find ways in this demonstration -- and we'll talk  
4 about it more later -- about how we support those  
5 goals.

6         Next slide, please. And the design issue  
7 and this is primarily what we will be touching on  
8 today. We won't be finalizing any decisions but we'll  
9 start moving down certain paths which will be helpful  
10 in giving, taking some guidance about what type of  
11 follow-up we're going to need, plus continue down the  
12 road. The scope of payment, many of you have talked  
13 today about what exactly this expanded bundle entails,  
14 what responsibilities are at the facility level, what's  
15 the unit of payment, is it for treatment per week, per  
16 month; the case-mix adjustment, I believe we have heard  
17 plenty of discussion on that, input price adjustment,  
18 outlier circumstances, which we're all sensitive to,  
19 and especially, you know, hear a lot of discussion  
20 today about small facilities, what happens if there are  
21 a few patients that really blow everything out of the  
0100

1 water. Large facilities can absorb that; small  
2 facilities may have a more difficult time. We need to  
3 recognize that.

4         Price updating, that's very important. New  
5 technology needs to be, well, I don't want to leave  
6 outside what's actually within the scope of our work  
7 today but there had been some discussions about a  
8 future payment system, about needing to incorporate new  
9 technology in price updating on an annual basis. Well,  
10 that's not really something that we're going to  
11 consider I believe in this demo but it's something that

12 you may want to have some input on.  
13 And, finally, the pay-for-performance,  
14 which I think I've talked about enough this morning.  
15 With that, I'm ready to hand it over to University of  
16 Michigan's kidney epidemiology -- I remember -- or Tech  
17 for short. I remember working originally getting to  
18 know Bob, and Bob's not in his presentation but  
19 whenever I see Tech I also relate it to Bob. I still  
20 remember reading his original paper on SMRs and culling  
21 through a vast database to try to recreate SMRs by  
0101

1 hand. And so I don't know if that's a good memory of  
2 you, Bob, but it was a good leadership, you know, it  
3 moved in the right direction and provides some  
4 leadership to the community. So, I thank you for that.  
5 With that, Tech, you can take it over.

6 DR. TURENNE: Thank you, Brady. I'm Marc  
7 Turenne from University of Michigan. I think we have  
8 two goals in this section. One is to briefly describe  
9 the data sources that are available, the tools that we  
10 have to try to address some of the issues that have  
11 been raised regarding what an expanded bundle might  
12 look like, and a second goal in this section is to give  
13 an overview of some of the data that we've been  
14 presenting throughout the day, in greater detail later,  
15 but just to give you a glimpse of what we'll be looking  
16 at today.

17 Next slide. In terms of the principal data  
18 sources that we'll be discussing, we'll be relying  
19 extensively on Medicare claims data, and as was noted,  
20 the services that are currently reimbursed outside of  
21 the composite rate system, fee for service type of  
0102

1 reimbursement system, we do have patient level data on  
2 use of those services, through the Medicare claims.  
3 And so any data that would be used to identify what  
4 services could be added to the current measures and  
5 what case-mix adjustment might look like for those  
6 services that are added, there are patient level data  
7 available for that task. And that's again Medicare  
8 payments, the major focus for accomplishing those  
9 tasks.

10 As was also mentioned this morning, there  
11 are a number of different providers of care for  
12 dialysis patients, and based on the claims we can  
13 identify services that are delivered by not only the

14 dialysis facilities but a number of other providers of  
15 care, laboratories, suppliers, additional offices as  
16 well as other institutional providers. There are a  
17 number of other patients and facility level data that  
18 are available and those are listed there. We will be  
19 discussing some of these in greater detail throughout  
20 the day.

21           Next slide, in terms of the types of things  
0103

1 that can be measured, here we're primarily considering  
2 what can be derived from Medicare claims, in terms of  
3 the types of services that are being provided, and, for  
4 the data that we'll be presenting today the major focus  
5 will be on the separately billable injectable drugs as  
6 well as laboratory tests that are also separately  
7 billable, various methods of utilization are also  
8 available from the claims data and different providers  
9 of care, many of which are listed here including  
10 different types of physicians that provide care to  
11 dialysis patients that may be useful in trying to  
12 determine --

13           (Brief interruption)

14           DR. TURENNE: That's good to know. What  
15 different types of physicians that are providing care  
16 to dialysis patients, as far as what their specialty  
17 is, whether they're nephrologists or other types of  
18 physicians or other providers, may be helpful in trying  
19 to determine what services that are being billed in the  
20 Medicare claims are most related to dialysis.

21           In terms of the characteristics of Medicare  
0104

1 dialysis patients, there are a number of data elements.  
2 From the 2728 form, there's information collected from  
3 each patient as they start dialysis. There's  
4 demographics, comorbidity data also from the 2728 form,  
5 but this is supplemented with longitudinal data, or  
6 more current measures of patients status, in terms of  
7 comorbidity from the claims.

8           Using the claims data, the diagnostic codes  
9 that are the recorded on the claims, we cannot only  
10 identify those comorbidities that are reported at the  
11 start of dialysis on the 2728 form but also other  
12 comorbidities that might be relevant to the use of the  
13 injectables, the lab services or other services that  
14 we'll be considering.

15           DR. RUBIN: Could I just ask a question or

16 actually ask for you to amplify certain things? When  
17 you're talking, a lot of what you have talked about are  
18 administrative databases that more or less are publicly  
19 available and some of what you have talked about and I  
20 think will be talking about are databases that you  
21 constructed based on inputs that might not normally be  
0105

1 available to somebody that was trying to, for example,  
2 replicate what you do.

3         So, if you could just indicate what are  
4 sort of KECC unique databases versus what are just  
5 government databases available to any taxpayer that's  
6 willing to fork over the money, that would be good.  
7 Because, I think that as you heard from the committee,  
8 data is a critical element here and it will be a  
9 critical element helping us to advise CMS as to what's  
10 going to be in here, and I think it would be useful to  
11 have some sense as to what has been particularly  
12 constructive to this project and others.

13         DR. TURENNE: Sure. It might seem to  
14 oversimplify things by merely identifying what the data  
15 sources are without really describing the effort and  
16 the resources that go into combining all different data  
17 that are available, not all of which are publicly  
18 available. Certainly the facility level data, for  
19 example, the cost report data, public use files, in the  
20 NEG, certainly there are more restrictions with regard  
21 to the use of patient level data that identifies  
0106

1 individual patients.

2         I'm not privy to all the details on that as  
3 far as what kind of access research, what researchers,  
4 those different types of data, but there is no question  
5 that in pulling all of those patient level data  
6 together is an enormous effort. It's not something  
7 that -- it requires a great deal of effort, resources.

8         MR. AUGUSTINE: Let me echo Bob's comment.  
9 One of the major concerns I hear from the community is  
10 reproducibility, and training parenting and that's  
11 something that we're going to have to be quite  
12 cognizant of is when we explain things to the  
13 community.

14         You know, there's a number of, the belief  
15 is in the community that they can come up with the same  
16 datasets and bring them together through their analysis  
17 and try to come up with something that they feel like

18 it ought to be close to, at least close to what -- and  
19 we just need to be able to explain to them so that they  
20 can understand any discrepancies and if we all know all  
21 this practicing, not practicing, statisticians, that's  
0107

1 part of the ethics of the good statistical practice is  
2 that you try to explain the caveats and data and what  
3 you did to it so if other people try to reproduce it so  
4 they can understand any discrepancies.

5 I can only guess that you take these  
6 datasets and you bring them to together and may massage  
7 them to try to improve data quality in certain regard  
8 and that may account for some of the differences.  
9 There may be others that we need to address, though.

10 DR. TURENNE: That's a very good point. As  
11 we move forward we will be careful to identify those  
12 types of issues as far as that affect how the data are  
13 used and where there are --

14 (Brief interruption.)

15 DR. TURENNE: I won't take it personally.  
16 We will try to make an effort to note those caveats and  
17 limitations where appropriate.

18 MR. AUGUSTINE: Okay.

19 DR. TURENNE: I that's think that's a very  
20 good segue into this slide.

21 DR. WHEELER: This slide.

0108

1 DR. TURENNE: A few of those, a few of the  
2 challenges or limitations in using some of these data  
3 sources would be listed here, especially those that are  
4 relevant. For those services that are billed by, sold  
5 by providers on the dialysis facilities, it may be  
6 difficult to determine what services are most related  
7 to dialysis and might be the strongest candidates to  
8 include in an expanded bundle, and we will see that  
9 later today because of the lab tests. And that may be  
10 done for a variety of diagnostic, may or may not be  
11 strongly linked to dialysis care.

12 Vascular access services are not always  
13 clearly identified using the Medicare claims data, and  
14 that has a lot to do with the procedure codes that are  
15 available to Medicare claims especially on the  
16 inpatient side. There are some procedure codes that do  
17 not allow us to clearly distinguish between procedures  
18 that either to place in initial access placement, to  
19 maintain an access or procedures that may not be

20 related to dialysis parts of this.

21 MR. AUGUSTINE: Mark, if I can real quick,  
0109

1 if you could just for the purposes of people in the  
2 audience, they're looking at me, some of them are  
3 looking at me like they can't hear you, so if you could  
4 please speak up, I think the audience would appreciate  
5 it.

6 DR. TURENNE: And so that not, lack of  
7 specificity with some of the procedure codes and the  
8 Medicare claims data, may limit the extent that the  
9 current data can be used to identify specific vascular  
10 access services. And there is also the issue to  
11 consider that with that many of the vascular access  
12 services, especially those that are provided or  
13 including those that are provided on an inpatient  
14 basis, we are crossing over into other prospective  
15 payment systems that already reimburse for some of  
16 these services. That's just an issue to consider.

17 And in terms of the patient specific data,  
18 which include measures of utilization at the patient  
19 level, this includes payment data; however, it should  
20 be noted that these are payments and they may not  
21 reflect in many cases the actual cost of providing  
0110

1 specific services.

2 Here we've listed a few notes about, that  
3 pertain to some of the tables and some of the data that  
4 you will be seeing. First, a definition -- I will just  
5 highlight a few of these. A definition that unless  
6 noted otherwise the dollars, the dollar amounts you'll  
7 be seeing in some of these tables are expressed in what  
8 is given the acronym MAC, allowable charges, maximum  
9 allowable charges under Medicare. This includes the  
10 patient cost-sharing obligation and so basically this  
11 means the 20 percent patient copay amount has been  
12 included for those services, where there is a copay.

13 Other things I would highlight, there are  
14 many different providers and suppliers for the  
15 laboratory tests, and, what we refer to as independent  
16 laboratories includes many, many of the laboratories  
17 that have an affiliation with a dialysis facility or a  
18 chain of dialysis facilities. Basically we're talking  
19 about laboratory, providers of laboratory services that  
20 may or may not be affiliated with dialysis facilities.

21 MR. AUGUSTINE: Let me just add, we were

0111

1 interested in that in the previous demo and when we  
2 went back and kind of looked at it, we found that just  
3 a rough estimate, about 90, at least 90 percent of all  
4 lab tests, both I think in volume and also in payment  
5 were for laboratory, done by labs that were affiliated  
6 with dialysis organizations. So, that was more than we  
7 had thought in the original demo but it's something  
8 that we learned in getting prepared for today.

9 DR. TURENNE: Thank you. We will discuss  
10 some of that in greater detail.

11 DR. RUBIN: Bill?

12 DR. OWEN: A query on the MAC, at 20  
13 percent, is that treated as like an accounts  
14 receivable; the flip side of it is, do you know how  
15 much that 20 percent actually translates into women?

16 DR. WHEELER: We have not looked at that.  
17 I know that others have looked at that. We do not have  
18 that. Sorry. The answer is we do not have that  
19 information.

20 DR. OWEN: To either of the chairmen,  
21 perhaps later in the day we can have someone, someone

0112

1 here, either as a panelist or as a participant in the  
2 audience who might have information as to how much of  
3 that translates as, translates into bad debt, could  
4 address that.

5 MR. AUGUSTINE: I mean that, one of the  
6 things that we need to be aware of and they shift to an  
7 expanded bundle is our definition of debt, I mean, what  
8 can be included in bad debt changes. Currently, we  
9 only pay 80 percent of separately billable, do not  
10 allow bad debt for them because they're not as part of  
11 the payment system, prospective payment system and if  
12 we go to expanded bundle environment that other 20  
13 percent could be potentially recaptured for bad debt.  
14 But as far as how much of that 20 percent we don't know  
15 specifically but we can ask and find out.

16 DR. TURENNE: There are also some details  
17 about the types of laboratory tests that are being  
18 identified in terms of the most commonly provided lab  
19 tests -- the top 50 is referred to here -- and also how  
20 some of these laboratory tests were grouped into  
21 clinical, broader clinical categories. These are

0113

1 details we will be discussing as we look at the data.

2 And here just a reminder again about the notation this  
3 afternoon that you will be seeing throughout many of  
4 the tables. At this point, we'll provide a preview of  
5 some of the data that we'll be discussing in detail  
6 throughout the day.

7 DR. WHEELER: Jack Wheeler from the  
8 University of Michigan. I have a quote here from Brady  
9 Augustine that I think is relevant. This is a "fast  
10 tour of some basic data" and that's what we're going to  
11 do this morning, and then this afternoon spend time  
12 going more carefully through some of the issues that  
13 arise from this quick tour.

14 MR. AUGUSTINE: Well, I wonder if it's  
15 notable or notorious. Thank you.

16 DR. WHEELER: Our purpose really in just a  
17 quick tour is just to give a common baseline to  
18 everybody of information that likely will stimulate  
19 your thoughts and discussions maybe even over lunch.  
20 This slide is indicative of a few things. One is it's  
21 just kind of the magnitude of the various component  
0114

1 payments and also the fact that we have been tracking  
2 with a kind of a consistent set of databases for the  
3 last three years. And, and, so that's, that's just  
4 kind of the purpose of this slide. Just one note here,  
5 this slide applies to hemodialysis only and it is,  
6 facility, sorry, facility payments only. So, it's  
7 payments to the, or allowable charges to the facilities  
8 only.

9 MS. RAY: Excuse me. It includes  
10 freestanding hospitals?

11 DR. WHEELER: Yes, this is freestanding  
12 hospital-based facilities. Okay. This slide is meant  
13 to just give you a flavor, an indication of the kind of  
14 breakdown of Medicare allowable charges. And this  
15 slide is a little bit different in its source of  
16 information in that it includes payments for lab  
17 services that would go not just to the facilities but  
18 to independent laboratories as we have been talking  
19 about. So, just, I'm going to flip back. The previous  
20 slide indicates just payments to the facilities and you  
21 can see that the lab payments are very small and  
0115

1 wouldn't even show up on the pie chart.

2 MR. CANTOR: Separate billables?

3 DR. WHEELER: Pardon me?

4 MR. CANTOR: Separate billables?

5 DR. WHEELER: These are, the separately  
6 billables are EPO, Iron, Vitamin D and other drugs.

7 MR. CANTOR: Separately billable lab tests.

8 DR. WHEELER: I'm sorry, separately  
9 billable lab tests. Thank you. So, when you include  
10 lab payments to independent labs, the laboratory size  
11 of the component of the pie chart starts to show up.

12 Note also that in this slide and in most  
13 everything we're going to be showing you for your use  
14 and consideration, the data are not going to be  
15 inclusive of nonfacility Medicare allowable charges,  
16 sorry, other than lab tests, that is, it will not  
17 include surgical services, imaging, et cetera, won't  
18 include inpatient services and importantly does not  
19 include physician and professional services. So, it's  
20 focused on the aspects of payment components that are  
21 subject to the new legislation.

0116

1 The previous slide was kind of an overall  
2 look. If we just zero in on what kind of drugs are  
3 included in the payments to facilities, principally to  
4 facilities, I should say, you can see that two-thirds  
5 of it is represented by EPO payments and the other  
6 components, Vitamin D and Iron or other major  
7 components along with EPO make up 98 percent or  
8 thereabouts of payments to facilities. And note also  
9 we have included in this slide payments to other  
10 suppliers of injectable drugs and those include sort of  
11 very few dollar amounts. So, almost all payments for  
12 injectable drugs go to dialysis facilities.

13 MR. AUGUSTINE: Do we include the DMERCs  
14 from like methyl-2 in here as well, the DME?

15 DR. TURENNE: No. We have included some of  
16 the injectables from the physician's supplier or from  
17 the supplier claims, which includes the DMERC for the  
18 methyl-2 patients. I don't know that they're all  
19 included yet but they are very small in terms of the  
20 percentages but we are in the process of fully  
21 incorporating those.

0117

1 DR. WHEELER: We can focus on lab tests,  
2 and this is once again a quick picture of a complex  
3 issue that we're going to be talking about in a lot of  
4 detail this afternoon. Quick picture, just to give you  
5 a sense of the kind of lab tests that are provided for

6 dialysis patients, and you can see kind of the  
7 breakdown.

8         Most of the tests are to evaluate Vitamin  
9 D, EPO, Iron, dialysis effectiveness, and hepatitis B.  
10 There are 8 percent that are for other conditions such  
11 as diabetes and they're included as well. We'll talk  
12 about the method we used to actually come up with this  
13 pie chart as a summary and give you some more detail  
14 this afternoon but this is a fairly detailed method for  
15 coming up with some, and this once again is sort of a  
16 picture of it that is kind of general.

17         DR. RUBIN: Dr. Owen?

18         DR. OWEN: The picture is cross-sectional.  
19 Are we going to see some longitudinal data this  
20 afternoon? I just don't remember from your slides.  
21 And the obvious reason I'm asking is that for some of  
0118

1 these medications, and I likewise suspect that tracking  
2 with it, will be the laboratory test. These things are  
3 pretty substantial changes in terms of our ordering  
4 patterns in the last five years that we had at least  
5 observed through the CPM dataset.

6         DR. WHEELER: Right. Yes, we've seen  
7 different rates of change in these as well. Our slide  
8 presentation, I believe, is not very thorough in terms  
9 of giving you a picture of trends over time. The one,  
10 the one over-time trend that I have already showed you  
11 is kind of in the aggregate component payments; you can  
12 see our three-year picture. We have done a lot of work  
13 in our office in terms of looking over time and we can  
14 give you a lot of that information as we proceed.

15         DR. OWEN: I would ask our Chairman to put  
16 that on the "parking lot" and the reason is  
17 straightforward. On the third page of any economic  
18 text, if you are trying to forecast you are basing it  
19 on a trend and we've got cross-sectional data and  
20 that's not a robust way to forecast, but what we're  
21 trying to do, we're going to need to forecast.

0119

1         MR. CANTOR: Excuse me, Jack. One  
2 question. Here where it says Vitamin D, do you mean  
3 the tests that are used to guide -- you don't mean  
4 Vitamin D, test levels of vitamin D, test for levels of  
5 Vitamin D, you mean all the tests which are used to  
6 guide vitamin D therapy?

7         DR. WHEELER: Yeah, yeah.

8 MR. CANTOR: And so, for example, that  
9 might include calcium phosphate, pH, et cetera. And  
10 then how did you mix that, for example, like dialysis,  
11 what tests? I mean, is there a breakdown as to what  
12 tests were included in each one of these categories?

13 DR. WHEELER: We have a breakdown of that.  
14 Do we have that this afternoon in some detail?

15 DR. HIRTH: We do have that, yes.

16 MR. AUGUSTINE: We can pull that up for you  
17 this afternoon in as some detail.

18 MR. CANTOR: Okay.

19 DR. WHEELER: Thanks, Tom.

20 MR. AUGUSTINE: Let me add one caveat which  
21 I am sure will kick off a little firestorm for this  
0120

1 afternoon. Remember these are just tests that are  
2 billed to Medicare, not those tests that are performed.  
3 As most of the people in this room are aware, we have  
4 this little thing called the fifty/fifty rule and we  
5 have all types of lab frequency edits which vary  
6 depending on, in many of these tests, from contractor  
7 to contractor.

8 So, there's a lot of variation in what  
9 people will bill for. And, for example, if people do  
10 not feel that they have enough tests to meet the  
11 fifty/fifty rule, they wouldn't bill it at all, because  
12 then it may actually show up in the frequency edits to  
13 hurt them for future tests that they may bill for. So,  
14 there's a lot of caveats to the data. Remember, these  
15 is just billed; this is not performed.

16 DR. WHEELER: This slide shows who among  
17 suppliers are receiving or providers are receiving  
18 Medicare payments or did receive Medicare payments in  
19 the year 2003. And, the principal take-away message  
20 here that we'll kind of continue to figure out how to  
21 deal with over time is that while facilities receive 98  
0121

1 percent of the Medicare allowable charges, for this set  
2 of services, there's the notable kind of exceptions to  
3 that general statement is that independent  
4 laboratories, which include laboratories connected with  
5 major dialysis firms, receive most of the payments for  
6 the laboratory tests.

7 So, very few of the lab, as we saw a little  
8 bit earlier, very few of the lab test payments or  
9 allowable charges are actually paid to the dialysis

10 facilities. And that's, that's going to create a sort  
11 of an interesting discussion I think this afternoon.

12         We did some work on who was ordering the  
13 lab tests that we identified as the top 50 or most  
14 common fifty lab tests provided to dialysis patients.  
15 And, specifically, we looked at the physicians ordering  
16 these lab tests. And you can see that most of the  
17 tests are ordered by the -- I'll get the term right --  
18 the MCP, which is the capitated physician's -- the  
19 capitated payment.

20         And, so, we took that as really indicative  
21 of two things. One is that, you know, most of these  
0122

1 lab tests are, in fact, ordered by a physician charged  
2 with this role but then there are a large number of  
3 tests that are ordered by others. And, so, it does beg  
4 the question who the others ordering these tests are,  
5 and are some of these tests not specifically for  
6 dialysis-related services.

7         Finally, a couple of slides that are going  
8 to bear on our discussion about the unit of payment.  
9 We're interested in, you know, discussing whether the  
10 unit of payment ought to be the individual dialysis  
11 service or some measure of time period. And, so, what  
12 we have done is to do some analyses looking at patient  
13 months as the unit of analysis. And, one bit of  
14 information -- and this is a revised slide. There's an  
15 error in your printed slide that we caught.

16         MR. BACHOFER: It will become apparent over  
17 time.

18         DR. WHEELER: The first point is that's  
19 nearly three out of ten months, not seven out of ten  
20 months, appear to involve an event that interrupted,  
21 let's say, a regular scheme of care for a patient. And  
0123

1 if you can think of a regular scheme of care as having  
2 an expected number of dialysis services, that we'll  
3 talk about in the next slide. So, about three out of  
4 ten months might involve something else that occurred  
5 that interrupted standard outpatient dialysis, that  
6 leading causes were hospitalization, the actual startup  
7 of treatment or patient death or termination of  
8 treatment.

9         This slide gives just a little more detail  
10 from the previous one. The dark green bars are  
11 indicative of the patient months where we could find no

12 specific event. And you can see that for those patient  
13 months we got 12 to 14 sessions for 79 percent of the  
14 patient months whereas under 12 sessions for 20 percent  
15 of the patient months. Actually, I'm not sure I'm  
16 reading this quite right. Did I get it? Okay. And  
17 then with the --

18 DR. HIRTH: Jack?

19 DR. WHEELER: Pardon me?

20 DR. HIRTH: I think 79 percent refers to  
21 all patients.

0124

1 DR. WHEELER: All, I thought I misspoke.

2 DR. HIRTH: About 90 percent when you  
3 restricted those that didn't have an identified event.

4 DR. WHEELER: Oh, I thought I misspoke. So  
5 let me restate the correct point here. About 79  
6 percent of patient months are 12 to 14 dialysis  
7 services per month. And this is for all patients  
8 whether there was an event or not, patient months; 20  
9 percent are showing fewer than 12 sessions per month.  
10 Okay? And then for patients with an event, which are  
11 indicated by the gray bars here, you can see that the  
12 number of sessions per month is kind of spread out and  
13 sort of all over the place. And once again the leading  
14 correlates of fewer than 12 sessions as were the  
15 leading sort of causes of, let's say, under leading  
16 causes of an event were hospitalization, startup,  
17 death, et cetera. There's some others we'll talk  
18 about. Paula?

19 DR. RUBIN: Sorry. Paula?

20 MS. CUELLAR: I just was wondering, it  
21 seems like patient adherence to the prescription is

0125

1 missing off of these three correlates.

2 DR. WHEELER: It is missing and there will  
3 be some information about that. What we did just to  
4 sort of answer quickly and then defer it to this  
5 afternoon, is to identify all of the causes of, let's  
6 say, an event, all of the potential events that we  
7 could actually identify. And then there's a remainder  
8 that you could attribute to patient skipping, lack of  
9 adherence or whatever, and that's, we'll have  
10 information on how many of those, how many months are  
11 characterized by that this afternoon. Thank you.

12 MR. CANTOR: Jack, what if they went to a  
13 different facility or if they were in the hospital, is

14 that a factor?

15 DR. WHEELER: That would be another one.  
16 We'll have those data for this afternoon as well. So  
17 that would be another, depending on how you define a  
18 full month of treatment, we also show switching among  
19 treatment facilities.

20 DR. RUBIN: Is this pattern uniformly  
21 distributed by facility type, by geography, and, by  
0126

1 location? In other words, it's nice to say that 79  
2 percent had 12 to 14 sessions, you know, if there, you  
3 know, in a big facility next door to a hospital, get a  
4 patient in and out but it's quite another to say that  
5 that same distribution is in a rural place where the  
6 hospital is a 50-minute car-ride away and the patient  
7 may actually miss three or four for the same sort of  
8 illness just because you can't get the patient to and  
9 from.

10 And one of the charges that the Congress  
11 gave to this committee as well as to CMS is to be sure  
12 and account for things like rural facilities and things  
13 like that. And so, as I mentioned in my opening  
14 comments, I am concerned that when we look at  
15 averages -- and I think other people made the same  
16 comment as well -- that there's a lot of noise that  
17 gets buried in there depending, or not, depending on  
18 some of the kind of the standard characteristics that  
19 Nancy and her colleagues look, the way they look at  
20 facilities.

21 DR. WHEELER: Yeah. We have done analyses  
0127

1 of many measures in terms of how they varied by type of  
2 facility, location, et cetera, and some of those we  
3 have to show you this afternoon. This one we have not  
4 looked at in terms of how it varies by facility type  
5 yet.

6 DR. RUBIN: Right. I knew that because I  
7 looked at the slide.

8 DR. WHEELER: Yeah, so, thanks. Thanks for  
9 that. So, that was our sort of quickie-trip.

10 DR. RUBIN: Maybe somebody on the phone  
11 there can press a few buttons and give you the answer  
12 in time for this afternoon's presentation.

13 DR. WHEELER: Phil, are you there? Maybe  
14 they're not there. We'll call them, we'll call them at  
15 lunch.

16 VOICES (ON SPEAKER PHONE): Yes, we're  
17 here.

18 DR. RUBIN: Did you give them a lunch  
19 break? Oh, there they are.

20 DR. WHEELER: Okay. We'll give you a call  
21 and see if you can do something that Dr. Rubin has  
0128

1 suggested.

2 VOICE (ON SPEAKER PHONE): Okay. Sure  
3 thing.

4 DR. RUBIN: Great.

5 MR. AUGUSTINE: Speaking of lunch, we can  
6 get to the last, this is the last slide so we're good  
7 to go.

8 DR. WHEELER: That's it.

9 MR. AUGUSTINE: That's it? All right,  
10 everyone. One thing I would like to do is I'm going to  
11 having a pad up here and I would like for those of you  
12 in the audience who plan on making some comments or ask  
13 some questions this afternoon to come up and write your  
14 name on here so we will get an idea of how many people  
15 would like to speak and so maybe there's, if there's  
16 whole a lot we have some extra time may try to provide  
17 some more time for public comment. So if you would  
18 come up and write your name on here, I'd definitely  
19 appreciate it. Other than that, the board en masse  
20 will be meeting for lunch. The rest of you, as I said,  
21 there are plenty of opportunities and good, nice places  
0129

1 to eat around here. And we will reconvene at 1  
2 o'clock. Thank you.

3 (Luncheon recess)

4 DR. RUBIN: Okay. If we could get started  
5 for the afternoon session. First I would like to  
6 congratulate my fellow committee members for their  
7 succinctness in allowing us to be on schedule and, of  
8 course, kudos go to my co-chair for actually making it  
9 happen. And, so, you set a very high bar and I'm not  
10 sure I'll get there particularly since this is the  
11 interactive part of the meeting but I'm going to try.  
12 What we're going to do now is really go through the  
13 next -- you want to hit the next slide button -- and  
14 take a look at the various design issues.

15 The ones that are highlighted are the ones  
16 that we're going to talk about briefly, except for  
17 case-mix adjustment; we're not really going to spend a

18 lot of time on that. We want to talk about scope of  
19 payment, responsibilities of the facilities and then  
20 unit of payment. So, if we could, yeah, go onto the  
21 next one, please. So, the critical question of what  
0130

1 services and/or costs should the expanded bundle pay  
2 for, we heard a lot of input from the Committee and I'm  
3 going to, well, I guess, do you want me to read these  
4 for the record.

5 COURT REPORTER: Oh, that's up to you.

6 DR. RUBIN: Well, I'm going to assume that  
7 everybody here can read so I'm not going to read each  
8 one of them. But basically this is a fairly tall order  
9 of what we want to do and what the committee thinks  
10 needs to be addressed as part of the bundle. And, so,  
11 we really need to keep those in mind when we talk about  
12 what those services ought to be. And, if we can go to,  
13 there are issues as to broad groups of services that  
14 should be in the bundle and that combinations and  
15 permutations are illustrated on this slide.

16 And, simply put, they're including all  
17 drugs in all drug-related lab tests, drugs in  
18 dialysis-related lab tests, drugs in all laboratory  
19 tests as well as all routine services that are related  
20 to dialysis with and without including vascular access  
21 services. And those need to be defined in a way that,  
0131

1 number one, is easily understandable to the people who  
2 are going to be held accountable for it and, number  
3 two, can be easily accounted for. And we heard from  
4 the University of Michigan regarding some of the  
5 methodologic challenges regarding that piece of it.

6 So, what we're going to hear for a short  
7 period of time and the way the afternoon is going to  
8 play out is that there will be a brief introduction for  
9 each of these segments. The University of Michigan is  
10 going to present some data and then we're going to have  
11 some discussion. So, without further ado, Bob, were  
12 you going to do this presentation?

13 MR. WOLF: Yes. I'm going to start out.  
14 I'm Bob Wolf from the University of Michigan. And I'm  
15 going to go over some of the issues related to scope of  
16 payment. And we'll see later on that it's hard to  
17 separate the scope of payment which has to do with  
18 which services might need to be considered to be  
19 bundled in the composite rate from also which suppliers

20 should be considered.

21 It's very natural to think of dialysis

0132

1 services as provided just by the dialysis facilities  
2 but there has been quite a bit of discussion already  
3 pointing out that the laboratory tests in particular do  
4 involve other kinds of providers. So, in terms of the  
5 overall payments for dialysis patients it's important  
6 to keep in mind the two different components of the  
7 composite rate services which are already bundled  
8 together, go over some of the components of that bundle  
9 in the next two slides.

10 And, what we're really focusing upon here  
11 for most of the afternoon is different parts of the  
12 separately billable items. These are items which are  
13 currently separately billed unit by unit and the  
14 reimbursement comes for each time that service is  
15 provided. And the primary ones we're looking at here  
16 are injectable drugs, the laboratory tests and then the  
17 catch-all of other, but I think the first two is where  
18 almost all of our focus is.

19 And we will be bringing in the issue of  
20 identifying how many of each of these different  
21 components are supplied by other suppliers and

0133

1 providers other than the dialysis facilities. And one  
2 of the questions that we're trying to address here and  
3 that we at KECC have spent quite a bit of time on is  
4 trying to find the question of what are the related  
5 laboratory tests, what are they related to, are they  
6 related to regular routine dialysis, are they related  
7 to other things that are regularly given to dialysis  
8 patients or, as Bill brought up, how wide does the  
9 question of delivery of medical care expand here in  
10 terms of treatment of the entire health network patient  
11 as opposed to just analysis of things through the  
12 patient.

13 There are quite a few drugs that are  
14 already bundled into the composite rate. This is a  
15 list. I don't know if it's a complete list but it's  
16 certainly a list of most of them. And these, in a  
17 sense we did not have to deal with because they are  
18 already bundled within the composite rate and there are  
19 several tests that are already bundled into the  
20 composite rate and some of these are specified  
21 typically on a per month basis, some on a per session

0134

1 basis and some on a per week basis.

2 And, that's one of the features of I think  
3 the intent of the composite rate. It was designed to  
4 get the things that are just done almost every single  
5 time. So it doesn't vary. It's not discretionary.  
6 It's not responsive to the needs of the patient. It's  
7 just part of routine dialysis.

8 The thing about separately billable items  
9 and services is they're typically more discretionary,  
10 more targeted towards the specific needs of the patient  
11 and aren't quite as routinely as are these tests and  
12 drugs that put already into the composite rate. So,  
13 this slide shows some of the other extra services in  
14 addition to the composite rate services which are up at  
15 the top line.

16 Then you can see that in 2003 those  
17 represented about \$4.2 billion worth of payments, while  
18 the next line shows some of the major components that  
19 were separately billable services that we're talking  
20 about here, including EPO, about 1.8 billion plus,  
21 Vitamin B, half a billion, Iron, a third of a billion,

0135

1 other injectables down to 76 million.

2 Then we have a laboratory tests. Now,  
3 we'll be talking a little bit about what we mean by  
4 this top 50 but it turns out that these do represent  
5 the very large fraction of the laboratory tests that  
6 are provided by, to dialysis patients, and these come  
7 to about a quarter of a billion dollars. And then  
8 there's supplies and other services provided by  
9 dialysis facilities coming to about 27 million. These  
10 are just useful categories to keep in mind. We will be  
11 talking about several of these. Paul?

12 DR. EGGERS: In, earlier there was sort of  
13 a, things that the dialysis facility bills for and then  
14 other providers, and it isn't clear to me, is this only  
15 the dialysis facility billings or does this include the  
16 other providers yet?

17 DR. WOLFE: This does include the other  
18 providers.

19 DR. EGGERS: It does?

20 DR. WOLFE: It includes claims submitted by  
21 facilities, hospitals laboratories and other providers.

0136

1 It turns out, well, you have already seen the slide

2 before that shows that most of the drugs are supplied  
3 by the facilities themselves, over 99 percent. Most of  
4 the laboratory services are provided by other  
5 providers.

6 So, that's just a major distinction between  
7 those two categories of separately billable items and  
8 services. When we're talking about the drugs, we're  
9 already talking within the framework of services  
10 provided by the dialysis facilities. As soon as we get  
11 to the lab tests, we have to think very hard about  
12 which other providers are included and which lab tests  
13 are included in that list.

14 MR. CANTOR: Bob, under vertical  
15 integration of the labs did you account for whether  
16 they were part of the same organization of the  
17 facilities?

18 DR. WOLFE: We have not done that here  
19 because even some of the chain-related laboratories do  
20 provide, my understanding is they provide a fair number  
21 of contract services to independent dialysis  
0137

1 facilities. So, we do know which ones they are. We  
2 have them by name but those aren't shown here. They're  
3 just shown in aggregate.

4 MR. AUGUSTINE: And there are ways to  
5 connect. That may be something we want to follow up,  
6 because the patients are associated with the facility  
7 and then you can get the lab tests from the chain labs  
8 and maybe tie those together in that way to find out  
9 how much of that chain lab's services were provided to  
10 that chain's patients.

11 DR. WOLFE: We have not done that.

12 MR. CANTOR: Through the patient's name.

13 MR. AUGUSTINE: Yeah.

14 DR. WOLFE: And We have it all linked by  
15 patient so we're able to attribute all the costs to the  
16 patient and aggregate those and come up with cost per  
17 session, come up with cost per month and case-mix  
18 adjust that to the patient. There is a question then  
19 of who would get paid for that patient's services,  
20 particularly if that patient's services were provided  
21 by more than one dialysis facility and some of them are  
0138

1 provided by laboratories. Paul?

2 DR. EGGERS: But that \$250 million for the  
3 laboratory tests, that includes some amount which may

4 or may not be dialysis-related?

5 DR. WOLFE: That's correct. Let me. Let  
6 me --

7 DR. EGGERS: If we were to say that all of  
8 that, I mean, suppose all of it was dialysis-related  
9 and we said put it in the bundled rate and the dialysis  
10 facility will figure out how to pay the labs. Okay?  
11 Well, you know, the problem's not insurmountable it  
12 seems like to me but if a significant chunk of that is  
13 for services which really aren't dialysis-related and  
14 they're actually things that, you know, a different  
15 doctor, you know, prescribed for something that's, you  
16 know, different, then you got a problem.

17 DR. WOLFE: These are all very good points  
18 and we'll be showing some data that are relevant to  
19 them. This is just the aggregate number over here.

20 DR. RUBIN: Mike -- or Nancy.

21 MS. RAY: I'm sorry. How does this table

0139

1 relate to the one you showed before the lunch break  
2 which was in the data previewed, the 2003 column?  
3 Because the numbers don't match. I'm just wondering  
4 why --

5 DR. WOLFE: They're close, however. Which  
6 slide are you referring to?

7 DR. EGGERS: That was a dialysis facility.  
8 This is a result.

9 DR. WOLFE: There was a slide that did not  
10 include the other suppliers for laboratory tests.

11 MS. RAY: Right. I'm looking at slide 34.  
12 I guess I would like to see some context of the 3880  
13 for composite rate there and here it's 4176. And even  
14 the EPO numbers differ.

15 DR. RUBIN: Could you repeat the slide  
16 number?

17 MS. RAY: Thirty-four.

18 DR. WOLFE: One difference is that slide 34  
19 is hemodialysis only.

20 MS. RAY: Ah, thank you, that's --

21 DR. WOLFE: And that will generally be true

0140

1 through several comparisons. If you try to make  
2 several comparisons it will depend upon exactly which  
3 patients are included in exactly which facilities.  
4 Several of these slides like this one are intended to  
5 show the magnitude of these different buckets of

6 services.

7 MR. AUGUSTINE: Let me just recommend that  
8 that's clearly identified on these slides, so that may  
9 be helpful if you can point any descriptive information  
10 on the slides it can help keep it straight.

11 DR. WOLFE: That's a very good point. And  
12 we did make compromises in terms of how many footnotes  
13 to put on each slide so that you could see the content  
14 rather than the details.

15 MR. AUGUSTINE: Dr. Lazarus?

16 DR. LAZARUS: I'm having a great difficulty  
17 with the term dialysis. There are very few lab tests  
18 and very few drugs that are truly dialysis-related.  
19 Are we talking about ESRD patient-related? There are  
20 very few lab tests that are dialysis-related. I need  
21 very few tests for dialysis. I need very few, only  
0141

1 heparin, for dialysis.

2 DR. WOLFE: We do have a list of how we  
3 categorized. Let me go through several steps and come  
4 back to that.

5 DR. LAZARUS: I'm suggesting that the word  
6 dialysis is a bad word for us to be using.

7 DR. WOLFE: Thank you.

8 DR. LAZARUS: It confuses and complicates  
9 our job. It should be ESRD-related.

10 DR. WOLFE: Thank you.

11 MR. AUGUSTINE: And that's a really good  
12 point. Even in our unit chart earlier we kind of split  
13 out the lab tests by type. There's a type called  
14 dialysis which I would assume would be the real  
15 dialysis-related test, like KT over V, so when we're  
16 talking about the whole group, we may need to kind of  
17 change our focus and take a step back.

18 DR. WOLFE: And certainly there are various  
19 ways to categorize those and different people would cut  
20 and slice it different ways.

21 DR. LAZARUS: If we use the wrong language,  
0142

1 you're going to continue to have the problem.

2 DR. WOLFE: Thank you.

3 DR. OWEN: Mike, I'm sorry, you know, maybe  
4 I'm the dumb one around the table here. You're making  
5 a lexicon issue. Is the issue that dialysis is,  
6 dialysis-related is describing a procedure and our  
7 focus is a patient in a disease state?

8 DR. LAZARUS: Well, when you say dialysis  
9 to me I'm thinking about a procedure.

10 DR. OWEN: Okay.

11 DR. LAZARUS: And I want to know what lab  
12 tests and what drugs I need to carry out that  
13 procedure. The treatment of anemia has nothing to do  
14 with dialysis. It has to do with ESRD. Same with  
15 metabolic bone disease, same with all the conditions  
16 we're talking about here. So to clarify what we're  
17 trying to do, I suggest that we use the proper  
18 language.

19 MR. CANTOR: Bob, I'm y, one more thing.  
20 Carrying on from Nancy's comment on the slide 34 it  
21 says that were \$20 million spent for lab tests in 2003  
0143

1 but on the slide 50 it says there are 249 million.  
2 That's not simply due to the difference between hemo  
3 and all dialysis?

4 DR. WOLFE: No. That's due primarily to  
5 the fact that slide 34 was just those labs provided by  
6 the facilities themselves.

7 MR. CANTOR: Oh, I see, whereas the others  
8 separate billable or --

9 DR. WOLFE: And this includes a separately  
10 billable here, that's right.

11 MR. BACHOFER: And also from, slide 34 is  
12 just looking at claims submitted on the first slide,  
13 just claims submitted by dialysis facilities. It does  
14 not include claims submitted by --

15 MR. CANTOR: Other providers.

16 MR. BACHOFER: -- other entities.

17 MR. AUGUSTINE: All right. Calm down,  
18 everyone. Let me just make a comment, that for those  
19 in the audience who are having a hard time hearing  
20 this, if the board could be helpful and speak as well  
21 as we can to the mike so they can hear clearly in the  
0144

1 audience, I would definitely appreciate it.

2 DR. WOLFE: So we have just resolved two  
3 differences between slide 34 from this morning in your  
4 handout and this slide. One is slide 34 in the morning  
5 was hemodialysis only and the other difference is,  
6 well, this current slide includes all patients, and the  
7 other major difference is in terms of which laboratory  
8 providers are included. The one from this morning  
9 included only the labs provided by the dialysis

10 facilities. Here we're going to be addressing the  
11 question of other types of providers and the other  
12 providers are included here, the independent labs and  
13 the labs associated with chains.

14           So here we have a list of the other  
15 injectable drugs. So far in most of our slides we  
16 focused just upon the top three, EPO, Vitamin D, Iron.  
17 We've expanded the list further down and you can see  
18 the reason that we stopped with Iron; that's a 342  
19 million. The next biggest category is down in the  
20 twenty millions, and you can see it drops off fairly  
21 rapidly beyond that although when you put them all  
0145

1 together the other category here is still 7 million.  
2 But by focusing I will see big three, EPO, Vitamin D  
3 and Iron, I think that that is a useful way to do it in  
4 order to assure that we understand what's going on with  
5 those and then how far one moves down the list or  
6 whether one just includes the whole list is part of the  
7 charge of this committee, I believe, is to give  
8 recommendations and suggestions on how to address that  
9 question.

10           DR. RUBIN: Right.

11           DR. WOLFE: So the dollars here do include  
12 the patient component of the reimbursement here. And  
13 these are only injectable drugs that are included on  
14 this list. For dialysis-related lab tests, we have not  
15 only the question of which lab tests to include in the  
16 list but which providers. And, we have also brought in  
17 the extra information of who ordered those lab tests.  
18 We think that that may be a criteria to help you think  
19 about which labs may be more ESRD-related and which may  
20 be other kinds of disease-related.

21           So here's what we did to help cut through  
0146

1 what we think is a fairly complicated set of  
2 information. We have information provided by  
3 facilities. We have information provided by the other  
4 providers, the other laboratories. And the question in  
5 front us was how do we identify ESRD laboratory  
6 services. What we did was we went to the top ten  
7 laboratory providers, that is, we looked at just the  
8 identifiers of the providers and classified all of the  
9 lab tests and put them in buckets according to who was  
10 providing it and looked at the ten biggest buckets.  
11 And we chose that because that's about 85 percent of

12 all of the lab services provided.

13           So, it's a large component. And our  
14 thinking was those would be laboratories that were  
15 oriented primarily towards dialysis patients, that  
16 there would be a lot of collapsing of services into a  
17 few providers here. And then what we did amongst those  
18 providers, those top ten providers, was we looked at  
19 the top 50 types of laboratories that they did in terms  
20 of dollars. We also did it in terms of frequency.  
21 There are fairly similar types of ranking there.

0147

1           Again, our objective was to try and  
2 identify the bulk of the lab services that are being  
3 provided and understand them. There are many hundreds  
4 of other lab services provided but they may be, well,  
5 they clearly are much less frequent, much less  
6 important and much less consistently provided. So  
7 these top 50 lab services, the drawing the line at  
8 fifty is somewhat arbitrary but we're capturing over 98  
9 percent all the lab services provided by these  
10 providers by looking at the top 50.

11           Then we went back with that list of  
12 particular types of labs and looked at all providers,  
13 no longer limiting it to just the top ten but whoever  
14 provided those services. And the logic was by getting  
15 the top ten we're getting dialysis providers. By  
16 looking at the top 50 we're getting those things that  
17 are regularly given to ESRD patients. And then we  
18 looked to see who all the providers were, not just the  
19 top ten.

20           MR. CANTOR: Bob, this is all done by  
21 dollars, not numbers of tests?

0148

1           DR. WOLFE: That's right. Although there's  
2 a fairly good consistency between those two orderings  
3 of different types of services but it's not perfect.

4           MR. CANTOR: But some of them are much more  
5 expensive than others.

6           DR. WOLFE: You're absolutely right.  
7 You're right. Then in addition we did categorize these  
8 into clinical groupings which may or may not be useful  
9 and we saw some of those this morning. This summarizes  
10 who provides these different labs tests in very broad  
11 categories. The top line is just all other nondialysis  
12 facility providers, including those that are associated  
13 with chains. And that's 87 percent of all of these

14 different dollar services provided, 218 million.

15           Then amongst the institutional providers --  
16 that's the dialysis facilities -- we have them  
17 categorized here according to type of dialysis  
18 facility. The big one is in the second line. It has a  
19 number 72 in front of it. That first column of numbers  
20 is very meaningful to us. It identifies a type of  
21 bill.

0149

1           The word labels after that may be more  
2 meaningful to most of you and the second line there is  
3 the independent renal dialysis facilities. Above there  
4 is the hospital outpatient facilities. And you can see  
5 that those two along with other hospital-based  
6 facilities do most of the lab tests within dialysis  
7 facility providers. And again most laboratory tests  
8 here are provided by nondialysis facilities as shown in  
9 the top line.

10           MR. AUGUSTINE: I want to make sure that  
11 point is clear because the line, I guess number 13, are  
12 those tests are done within the facility, and, most of  
13 which is in the very top line, the freestanding, are  
14 those tests that are performed within but sent to an  
15 independent lab that's affiliated with the chain or the  
16 large dialysis organizations. So, I just want to make  
17 that point clear.

18           MR. CANTOR: It just has to do with where  
19 the test is run.

20           MR. AUGUSTINE: Yes.

21           DR. WOLFE: Yes.

0150

1           DR. HIRTH: And to amplify that a little  
2 bit, in the top line the freestanding laboratory  
3 providers, the eight providers that we have identified  
4 based on name as being dialysis chain-related account  
5 for about 85 percent of that 218 million. So, those  
6 chain-related laboratory providers are really the bulk  
7 of that freestanding. In terms of the institutional,  
8 it's a little bit less clear because the only ones that  
9 are clearly coming from the dialysis unit itself are  
10 the type 72 claims.

11           The others, for example, the hospital  
12 outpatient, it could be a hospital outpatient lab that  
13 has a hospital-based dialysis facility that's sending  
14 its labs through that hospital's own outpatient lab.  
15 It could also be that the patient went for some other

16 unrelated type of medical care and had a lab test for a  
17 similar code, one of our top 50 codes billed by the  
18 hospital outpatient lab but it isn't necessarily coming  
19 from the dialysis unit.

20 DR. LAZARUS: How did you handle the  
21 fifty/fifty rule on this? I'm still not clear. If the  
0151

1 facility, the doctor orders a lab test in a facility,  
2 the facility sends it to the local hospital, either  
3 outpatient or inpatient and has it done, if it's in the  
4 fifty/fifty rule or not in the fifty/fifty rule, how is  
5 it managed here?

6 DR. WOLFE: All we're capturing here are  
7 paid claims. So, to the extent that you have lab  
8 services that don't get reimbursed because they are  
9 reimbursed under the composite rate, they're not  
10 included here because we don't even see them. There's  
11 no way we can find them.

12 DR. LAZARUS: But the hospital bills and  
13 then they, these are hospital bills to Medicare?

14 DR. EGGERS: It's a hospital facility.  
15 It's not a hospital bill.

16 DR. LAZARUS: A hospital facility but --

17 DR. EGGERS: That's just the organizational  
18 unit, not the type of services.

19 DR. WOLFE: So I think that most of our  
20 focus here will be on the top line, which is the  
21 independent laboratory providers and the 72 line, which  
0152

1 are the renal dialysis facilities, some of whom are  
2 performing their own separately billable lab services  
3 and billing for it. The others, as Richard has said,  
4 are more ambiguous because it's not really clear.  
5 While they are provided to dialysis patients, it's not  
6 clear that it was provided that it was ordered in any  
7 way through the associated dialysis facility within  
8 that unit.

9 MR. AUGUSTINE: Nancy, you had a question?

10 MS. RAY: So, for the type of service 13,  
11 you didn't look at whether or not there's differences  
12 in the types of tests run through, whether the type of  
13 services is a 13 versus whether it's a 72?

14 DR. WOLFE: They are limited to the top 50.  
15 So these are tests which are routinely given to ESRD  
16 patients and they are amongst the most common tests  
17 given to ESRD patients but they might have been ordered

18 by a different doctor for a different reason and still  
19 have the same code. We can't tell. At least we have  
20 not broken down here who ordered these tests. We can  
21 do so for the top line. We cannot do this so for the  
0153

1 institutional providers. For those of type 72, they're  
2 clearly dialysis facilities. I think almost everybody  
3 would agree that everything there probably should be  
4 considered. It's a little bit more ambiguous for some  
5 of these other lines.

6 MS. RAY: Right. But we just don't know  
7 the extent to which in a given hospital when they do  
8 their labs that for whatever reason they're not coding  
9 it as a 72, they're coding it as a 13. I mean, I don't  
10 have any information about that one way or the other.

11 DR. WOLFE: We don't know. That's right.

12 MS. RAY: But those tests account for, I  
13 mean, putting aside the freestanding, those in the 72  
14 account for the rest of all the tests?

15 DR. WOLFE: That's right.

16 MS. RAY: Yeah.

17 DR. WOLFE: Yeah, and we're showing these  
18 slides not -- to show problems as well as solutions.  
19 These are the facts that we have in front of us and  
20 this is what we have to deal with and there is some  
21 ambiguity.

0154

1 We do have a list of the top 50 that were  
2 included here. Here we just show the top ten so that  
3 you can get an idea for what's on the list. So this  
4 is, amongst the most frequently provided laboratory  
5 tests, these are the ten most common in terms of  
6 dollars. And you can see it's very close to frequency  
7 as well. And I think I'll just let you read them  
8 rather than me mispronouncing some of them. Okay. Are  
9 there any surprises there?

10 DR. LAZARUS: Aluminum is awfully high.

11 DR. WOLFE: Aluminum is high? Okay.

12 DR. OWEN: Hemoglobin A1Cs are low.

13 DR. RUBIN: I think that that's kind of a  
14 good segue into -- Bob, if you could just throw up the  
15 next slide -- into the discussion part of this. And,  
16 we have a fair amount of time for discussion of the  
17 data. The issue, Bill, that you raised about the  
18 hemoglobin A1Cs being low I think highlights who is  
19 ordering it and where --

20 DR. OWEN: Right.

21 DR. RUBIN: -- not whether or not the

0155

1 patient got one. And one would hope that that  
2 frequency is not indicative of what the patient is  
3 getting.

4 DR. OWEN: Although that's data from USRDS,  
5 there is some data that we have from New Jersey dataset  
6 that suggests that they just aren't being done.

7 DR. RUBIN: It's possible but I think to  
8 maybe put some framework around what I would like to  
9 see the group do this afternoon, and this in  
10 particular, we've gotten an overview of the data. Now  
11 we need to ask, number one, what's the quality of the  
12 information that we got and what can we do to improve  
13 it to better inform the construction of the bundle;  
14 secondly, what data haven't we seen that we need to  
15 help us and form the construction of the bundle, and  
16 then is it reasonable to expect that we can get that  
17 information in a timely fashion.

18 And, that's why we have these folks here to  
19 answer those questions. And, then if we're looking  
20 into what things ought to be in the bundle in terms of  
21 the scope, what criteria should we use to either limit

0156

1 the expansion or increase the expansion. So, the floor  
2 is open to the committee.

3 MR. AUGUSTINE: Help me understand  
4 something. I want to go back to the hemoglobin A1C  
5 comment. I don't want to spend too much time on it but  
6 I want to understand. It says 400,000 of these tests  
7 were done on the top ten lab test slide.

8 DR. RUBIN: Right.

9 MR. AUGUSTINE: And we have about 300,000  
10 patients. You would expect them those to be done  
11 quarterly, correct?

12 DR. OWEN: Not hemoglobin. Hemoglobin A1C  
13 is not bundled. You guys have it characterized as  
14 glycated hemoglobin. I just want to clarify that.

15 MR. AUGUSTINE: Those are different tests.

16 MR. BACHOFER: Okay.

17 MR. AUGUSTINE: And so you would expect to  
18 see a lot more if proper management is done and the  
19 question I had was -- and Linda and I were talking  
20 about it -- is that if it was done in a PCP's office,  
21 this should still show up in here.

0157

1 DR. LAZARUS: No, no. Some nephrologists  
2 do not and refuse to manage diabetes, largely done  
3 by PCPs.

4 MR. AUGUSTINE: But see if they did it  
5 would be, it would show up in these numbers. So, in  
6 other words, a lot of these patients aren't getting  
7 evidence-based care.

8 DR. OWEN: That's what I wanted to pursue  
9 with these guys in terms of testing like that. If the  
10 hemoglobin A1C was done by the primary care physician  
11 and he, she has a UPIN number, I'm assuming you guys,  
12 whether they're nephrologists or not you guys are going  
13 to capture that it was done, that the test was done.

14 DR. WOLFE: Yes.

15 DR. OWEN: So I return to my statement.  
16 It's not a suggestion of where it's being done, it's a  
17 suggestion it's not being done and goes to the bigger  
18 question that I raised earlier that several people have  
19 raised here. What are we going to be addressing to pay  
20 for? Are we going to address ESRD alone or are we  
21 going to address the management of major comorbid

0158

1 conditions associated with it?

2 DR. BURKART: Just for clarification, I  
3 agree with all the statements that were just made but  
4 if the PCP ordered it at the facility and it was drawn  
5 at the facility, it should be showing up here. If the  
6 PCP ordered it in their office and they went to some  
7 lab that is not related to the dialysis unit --

8 DR. EGGERS: It would still show up here.

9 DR. BURKART: -- would it still show up  
10 here?

11 DR. EGGERS: It would still show up here.  
12 This is the universe of laboratory tests in the ESRD  
13 database, billed to Medicare for these patients.

14 MR. AUGUSTINE: Independent labs, ESRD  
15 beneficiary.

16 MS. RAY: Wait. I thought the labs that  
17 were showing up here were the laboratories associated  
18 with --

19 DR. EGGERS: No.

20 MS. RAY: No?

21 DR. EGGERS: Most of them are. 85 percent

0159

1 of it is.

2 DR. WOLFE: Then let me identify -- let me  
3 go through the step again.

4 DR. RUBIN: Well, just one second. Okay.  
5 I think that for this to make sense to somebody that's  
6 going to be looking at it in the future, why don't we  
7 let people finish their sentences rather than kind of  
8 think of what we think they're going to say and answer  
9 that question. It probably would be better for  
10 everybody concerned. So, I'm sorry to interrupt.

11 DR. WOLFE: We first identified the large  
12 institutional providers of the laboratories because  
13 those would be oriented towards dialysis patients.  
14 Then we looked at the top labs that they provided but  
15 then we went back and looked at all providers of those  
16 services, including physicians, including other  
17 laboratories. So, they're included in there but they  
18 represent a very, I shouldn't say a very small but less  
19 than 15 percent of the provision of those services. I  
20 can say that with certainty and I would suspect it's  
21 much lower than that.

0160

1 MR. CANTOR: It is the right incidence, if  
2 there's 100 or 150,000 diabetics, four times a year is  
3 about this number.

4 DR. RUBIN: Well, I think it would be  
5 closer to 600,000 than 400; 150 times four is 600.

6 MR. AUGUSTINE: Well, the reason I'm, you  
7 know, I'm not, up to 60 percent are diabetics if I  
8 remember correctly.

9 DR. WISH: No, no, 45.

10 MR. AUGUSTINE: 45?

11 DR. RUBIN: Anyway, I think that regardless  
12 of this particular test and this particular number, I  
13 think Dr. Owen raises a critical question and that is  
14 are we talking about managing, are we talking about  
15 including things that you need to manage the entire  
16 patient in the bundle or are we talking about putting  
17 things that are only related however we define them to  
18 treating the fact that this patient doesn't have  
19 appropriate kidney function in the bundle.

20 And I think that that's really a  
21 fundamental question. I think clearly this is where

0161

1 the issue of the bundle gets a little murky as it  
2 relates to a whole host of both drugs as well as lab  
3 tests. And it's something that merits some discussion.

4 I gather that Dr. Owen would favor putting things that  
5 take care of the entire patient in the bundle as  
6 several other people had talked about in their opening  
7 statements. Dr. Lazarus?

8 DR. LAZARUS: It's essential that you align  
9 a nephrologist if we're going to do that. Without a  
10 physician that's willing to who manage that, you cannot  
11 include this in. So, unless the MCP is going to say to  
12 nephrologists, you will manage the diabetic care of the  
13 patient on ESRD, there's no point in the world us  
14 talking about the providers doing this.

15 MR. AUGUSTINE: Well, let me, and this kind  
16 of goes back to your comment earlier about the  
17 dialysis-related versus ESRD-related. But to address  
18 your point, I think we have been open all along and  
19 we've had a long two years with the MCP chains and from  
20 day one, one of the things that we talked about with  
21 the, with RPAs and the community at large was that we  
0162

1 were interested in moving toward aligning incentives  
2 between dialysis facilities and practitioners and are  
3 still interested in doing so and I do know there's  
4 increasing discussions about how we can do that.

5 DR. LAZARUS: Who in this panel speaks for  
6 that, is that John or is that Jay; who speaks for the  
7 nephrologist here?

8 MR. AUGUSTINE: Well, we don't have a  
9 quote-unquote nephrologist representative because that  
10 wasn't one of the specific groups of people that were  
11 listed in the legislation but we do have some people  
12 with expertise, Jay, John, Bill, and we have a lot of  
13 nephrologists on this panel that can add some input.  
14 But there aren't official representatives of RPA  
15 raised. Is that a fair characterization?

16 DR. OWEN: I think it's a very fair  
17 characterization. I mean, as you know, Mike, going  
18 back to your tenure with the RPA there has been  
19 substantial debate about the role of the nephrologist  
20 as a disease management caregiver, and, I think it's  
21 fair to say that it may still exist because there is a  
0163

1 schism in terms of what is compensated for versus what  
2 some but not all nephrologists desire. And, you know,  
3 the extremes, either I am the dialysis jockey or I am  
4 the doctor.

5 DR. LAZARUS: Well, can we proceed without

6 knowing?

7 MR. AUGUSTINE: Well, that's not what, I  
8 mean, we can make recommendations but I don't believe,  
9 you know, requiring that physicians incentives be  
10 aligned or within the scope of what Congress has  
11 charged us to do.

12 DR. LAZARUS: Well, then how am I going to  
13 determine what's in the scope here if it largely  
14 depends upon my partner or in managing it. I don't  
15 want to put a hemoglobin A1C in if there's nobody to  
16 manage that.

17 MR. AUGUSTINE: I'll speak, the  
18 relationship between the facility and the nephrologist  
19 from my understanding, and I'm not a practitioner so,  
20 I'll leave it to others to verify my comment is not as  
21 tenuous as some may make it out to be. I mean, in the  
0164

1 new conditions for coverage we actually try to  
2 strengthen the relationship between practitioners and  
3 dialysis facilities at least with the medical directors  
4 and each facility has medical director agreements that  
5 should specify what our expectations are of the medical  
6 director and they do have credentialing for those  
7 people that practice in those facilities.

8 DR. LAZARUS: But none of those things  
9 relate to the practice of medicine, Brady. None of  
10 them relate to the practice.

11 MR. AUGUSTINE: Some facilities do, some  
12 facilities do have relationships, do have in the MBA  
13 agreement requirements that you meet certain quality  
14 outcome markers or certain process markers in order to  
15 receive full or partial payment. And my understanding,  
16 those relationships are growing but they, but an  
17 off-topic that needs to be dealt with as well is the  
18 discussion with, the disagreement between RPA and the  
19 Department of Health and Human Services that is  
20 currently underway.

21 DR. RUBIN: Dr. Owen?

0165

1 DR. OWEN: Mike, rather than asking what  
2 the doctors and/or their societies want, we do have a  
3 representative here from the patients. Wouldn't that  
4 be the person to ask what they want since they're the  
5 recipient of the care?

6 DR. LAZARUS: I'm not sure that they're  
7 going to make the doctors do as they want either.

8 DR. OWEN: Well, I know when I navigate the  
9 health care system I have very strong desires as a  
10 patient, as a consumer and they're, the consumer and at  
11 some level the payer, even the ones who aren't  
12 patients, they're potential payers. So maybe I'm being  
13 a little unfair by saying, you know, Chris, I would be  
14 interested to hear, would you in your experience as a  
15 consumer, would you as the executive director of your  
16 society and lastly your membership has said about when  
17 the patient is in the dialysis unit who they want to be  
18 their doctor.

19 That's what we're talking about. Am I  
20 going to have one doctor who is a nephrologist in the  
21 dialysis unit or am I going to have to bounce around  
0166

1 from place to place? And since one of the great  
2 disparities in health care is driven by an absence of  
3 an ability to navigate the system, Bill Owen's bias is  
4 it should be the nephrologist sitting there.

5 MS. ROBINSON: I'm more than happy to  
6 respond to you. I will tell you that it goes both ways  
7 in that there are patients who do want the  
8 nephrologists to take complete control of their health  
9 care and not have to rely on other physicians but there  
10 are also patients who want to see specialists and that  
11 if they do have diabetes, they still want to see their  
12 physician who can treat the other aspects of their  
13 diabetes besides the ESRD.

14 So, I think, you know, it's something we  
15 really do need to look at as we move forward with the  
16 bundling. As a patient it would be very nice to see  
17 the bundling incorporate everything but you're going  
18 down quite a line. Then will we be incorporating  
19 mammograms? Will we be incorporating all sort of other  
20 things into the bundling if we're getting outside of  
21 the ESRD? So, I would be hesitant in that regard.  
0167

1 MR. AUGUSTINE: Let me, if I can, Mike, let  
2 me see if I can rephrase your question. I think one of  
3 the things Mike was asking about -- and correct me if I  
4 misstate it -- is that he was concerned about moving  
5 forward with an expanded bundle and potential  
6 pay-for-performance for facilities. Would that of  
7 itself have value even if the practitioners did not  
8 have some type of same arrangement, is that correct?

9 DR. LAZARUS: Well, I guess that's one way

10 to say it, yes.

11 MR. AUGUSTINE: And what would your comment  
12 be on that? If the facility had an expanded bundle and  
13 pay-for-performance and quality and yet practitioners  
14 are still getting paid by the MCP as it currently  
15 exists today, would it still be of value to you as a  
16 patient?

17 MS. ROBINSON: Of course it would be of  
18 value. There would be better outcomes for the  
19 patients.

20 DR. RUBIN: Well, I think -- well, I'm  
21 going to let Dr. Lazarus speak for himself. He's  
0168

1 pretty good at that.

2 DR. LAZARUS: Well, the problem is that  
3 I've agreed to the bundle and I've agreed that I'm  
4 going to do certain things and reach certain goals. If  
5 my partner says thank you very much, I'm not interested  
6 in that, I've got a fee for service, it doesn't make  
7 any difference to me whether you meet your goal or  
8 whether you need your bundle, you're on your own. That  
9 system is not going to work for your bundle.

10 MR. AUGUSTINE: I think that's one of the  
11 reasons why at least at the facility level why it was  
12 pointed out, it's very important that these activities  
13 occur at the facility level as opposed to having some  
14 differences in practice patterns within the facility  
15 because some providers are in and some practitioners  
16 are in and some are not. So, it's very clear that we  
17 need to be facility-based, at least facility-based.

18 DR. RUBIN: Dr. Burkart?

19 DR. BURKART: The patient says I know I got  
20 my arm stuck out here and the blood's circulating and I  
21 know my endocrinologist wants a test, can we drop why  
0169

1 I'm on dialysis? So, I agree with is it part of the  
2 bundle payment but who's responsible is another thing.  
3 Sometimes it's just drawn at the dialysis unit for  
4 practical reasons, not necessarily, you know, because  
5 the nephrologist is going to react to the test also.

6 DR. RUBIN: Absolutely. And I think that  
7 there is a fundamental question that maybe we should  
8 "park" and then move on, but, and hopefully we can get  
9 some consensus on this. If for whatever reason we want  
10 to hold some unit of analysis -- in this case a  
11 facility -- responsible for a whole series of good

12 medical practices, like influenza immunization,  
13 pneumococcal immunization -- if Kris wants mammography,  
14 we can throw that in, whatever -- that's very different  
15 than holding physicians, the physicians that may  
16 practice there with the possible exception of the  
17 medical director who can actually order those things,  
18 responsible for acting on the results of those things.  
19 And, I think we need to be very careful about  
20 separating that.

21           So that if it's a quality measure, we need  
0170

1 to say that every patient that is diabetic, we need to  
2 draw a hemoglobin A1C quarterly, that's a pretty easy  
3 thing to specify, put in a bundle, and we need to  
4 figure out what we're going to do with the result of  
5 that. But, it's -- and now I'll editorialize and say I  
6 totally agree with Dr. Lazarus that if Nephrologist A  
7 doesn't want to manage diabetes, you can throw in those  
8 results from now until he's green and it's not going to  
9 do anybody any good.

10           So, you know, I think that may be the next  
11 step, and, again, I just want to caution this group,  
12 this demo is not going to solve the healthcare delivery  
13 problems of the country of which is this is a  
14 microcosm. So, we ought to try to figure out what it  
15 is we want put in here, who we're going to hold  
16 accountable and then make sure that they have both the  
17 authority and the responsibility to do that. Jay.

18           DR. WISH: I just want to make one comment  
19 and remind everybody of the fundamental misalignment  
20 between bundling and best practices. And I was just  
21 looking at aluminum and I think a number of us were  
0171

1 surprised to see aluminum so high. And what I was  
2 going to say, if you want to get rid of aluminum as a  
3 common practice, put it in the bundle and don't require  
4 it.

5           MR. AUGUSTINE: Let me point out, let me  
6 point out that there are various, I mean, I think it  
7 was aptly pointed out this morning some of the caveats  
8 and characteristics of a fee for service as opposed to  
9 a capitated payment system. You know, we are quite  
10 aware that there's potential underutilization. I think  
11 one of the things I do want to bring into the  
12 discussion are that we have discussed the use of guards  
13 in the conditions for coverage proposed rule against

14 underutilization. For example, it states in there that  
15 patients will have hemoglobin till 11 (phonetic) or  
16 corrective action plan in place or some type of  
17 documentation as to why they can't meet the KDOQI  
18 standards.

19 As well there's discussion and some, we  
20 elicit some comment on use of other types of minimum  
21 standards. And so the idea is we need to guard against  
0172

1 underutilization. That's one means to do so. Of  
2 course pay-for-performance is a major activity  
3 currently in development and of interest to the  
4 administrator of CMS. And there's not only within  
5 different provider types but also there's all types of  
6 physician activity with regard to pay-for-performance  
7 as well. So, to say that one will go forward and the  
8 other is not of interest I think is going down the  
9 wrong path. I think we're interested in both and align  
10 them as well. Paul?

11 DR. EGGERS: It appears to me that we've  
12 kind of gotten to the point right away where we're  
13 talking about things on the margin and we haven't sort  
14 of agreed in principle on things. I would hope if  
15 there's going to be a bundle at all, that we could kind  
16 of agree should be in there and in fact gobbles up most  
17 of the dollars, and, you know, taking the Willy Sutton  
18 approach to economics, which is to go where the money  
19 is.

20 You know, if we go back to the Table 34,  
21 for example, and, you know, just look at things that  
0173

1 are billed by the facility, if we start off there do we  
2 have agreement that things that are billed by the  
3 facility are pretty much open to include it in, before  
4 we start worrying about outside providers, is that,  
5 these things are okay to be thinking about? Because if  
6 we're not, if we're going to say, well, EPO, you know,  
7 isn't the thing we should put in the bundle, then, you  
8 know, we can close up shop and go home, right?

9 So, you know, there's no bundle at all.  
10 But, once we put EPO in there, I mean, I calculated  
11 that we got 65 percent of all the dollars, extra  
12 dollars beyond the composite rate taken care of, okay?  
13 And if you put Iron in there, that's another 12 percent  
14 or Vitamin D is another 18. You get 95 percent if you  
15 just do those three.

16           If you do those three alone and say that's  
17 all we're going to do is, you know, going to put all  
18 those in, you got 95 percent of the dollars outside of  
19 a composite rate that we've been talking about today.  
20 And that's so, I'd just as soon not spend hours and  
21 hours worrying about how we're going to, you know, make  
0174

1 that extra five -- maybe deal with that and all that  
2 kind of stuff but can we start off by going where the  
3 money is.

4           MR. CANTON: And that's fine, Paul for the  
5 drugs but when you get into the lab categories, you  
6 have the same lab --

7           DR. EGGERS: Yeah, but I'd just as soon  
8 wait in the lab categories. Let's get some direction  
9 here on the things that are easy. Can we do easy  
10 things before we go to hard things?

11          MR. AUGUSTINE: That's a good point.

12          DR. EGGERS: Or are they easy? I mean, is  
13 somebody around the table going to tell me, that, you  
14 know, EPO is, you know, you can't put EPO in a thing in  
15 there because it varies by patient and, you know, we'll  
16 be tying people's hands. I mean, if, you know, that's  
17 going to be a decision, then, as I say, we're not going  
18 to go very far.

19          DR. RUBIN: Well, you raise an excellent  
20 point and one could certainly construct this the way  
21 you suggest. We're actually kind of constructing it a  
0175

1 little differently in the sense that, to use your  
2 example, we may decide that EPO should be in and then  
3 we're trying to figure out how to make it a fair  
4 payment through whatever it is that you need to do, if,  
5 in fact, you need to do anything, so that the  
6 facilities are well-served, patients are well-served,  
7 et cetera.

8           DR. EGGERS: Well, let me --

9           DR. RUBIN: I don't think, I don't think  
10 that there would be any broad disagreement that the  
11 things you mentioned ought to be in a bundle with the  
12 caveats that they need to be appropriately accounted  
13 for with all of the qualifiers that were brought out in  
14 the members' discussions earlier on this morning. So,  
15 we can go do that. I was trying to look at this a  
16 little differently and I agree with you that it is on  
17 the margin but if you believe all the data, the margins

18 are so small, that maybe whether it's in or out might  
19 make a difference in who comes to play, number one.

20 And number two, I think that Bill Owen  
21 raised a fundamental question, which I don't think is  
0176

1 marginal, regarding what we're trying to accomplish  
2 here. And, I haven't heard any clear-cut consensus as  
3 to whether he's on the right track or the wrong track  
4 in that regard although we're moving there. So, if we  
5 could, in the next few minutes, and we'll come back to  
6 your question, because, that's kind of when we have  
7 heard all of the information. Is there any more  
8 information that we would like to ask our contractors  
9 for as it relates to what might be in and what might be  
10 out? Paul?

11 DR. EGGERS: I think a percent of patients  
12 who get -- and I don't know how to quite calculate this  
13 right off the top of my head -- who actually receive  
14 one or each one of these services, what percent of  
15 patients get EPO, what percent of patients get Iron,  
16 what percent of patients get Vitamin D and so on and so  
17 forth down the line.

18 I think that helps in terms. My feeling is  
19 that if a hundred percent of patients get EPO, you  
20 know, you can maybe risk adjust or something but that  
21 makes a strong case that if you get something, you  
0177

1 know, in fact, many of these are that way, where, say,  
2 20 percent of the patients get something, then we start  
3 thinking about, well, do you want to put it into a  
4 system that goes to 100 percent of the patients and let  
5 the facility decide on that -- maybe that's not it, I  
6 don't know -- or, you know, is there a case-mix thing  
7 that you really need in order to determine who gets  
8 that particular sort of thing.

9 DR. RUBIN: Right.

10 DR. EGGERS: But I find that, that just  
11 helps put in context for me, are these dollars just  
12 small dollars that are going to a hundred percent of  
13 the patients or is it a small possible number of  
14 patients that are getting this in particular? It's  
15 just helps me but that's a data thing.

16 DR. RUBIN: And I think that's something  
17 that's pretty easy for us to do. Mike?

18 DR. LAZARUS: I would like to see the full  
19 list of labs and the full list of drugs and a split as

20 to whether they were ordered in the facility or outside  
21 of the facility.

0178

1 DR. RUBIN: Okay.

2 DR. HIRTH: Yeah, to address the first  
3 question very briefly, we have some information with us  
4 on the distribution of expenditures for various items  
5 across patients by percentile so I can't explicitly  
6 answer what percent did or didn't receive but for EPO  
7 it's zero dollars at the 5th percentile but there's  
8 positive spending at the 10th percentile so more than  
9 90 percent received EPO. That's across all modalities.  
10 Vitamin D is zero dollars spending at the 25th  
11 percentile, positive spending at the 50th percentile,  
12 so somewhere between 50 and 75 percent received Vitamin  
13 D. For Iron it is also zero at the 25th and positive at  
14 the 50th. So for these three it's a majority in all  
15 cases.

16 DR. WISH: Can I just answer? You probably  
17 know from the CPMs, which is the random sample of  
18 hemodialysis patients.

19 DR. EGGERS: I've heard of it.

20 DR. WISH: Right, that the 95 percent of  
21 hemodialysis patients get EPO and about 69 percent get  
0179

1 IV Iron.

2 MR. AUGUSTINE: Something that I think  
3 would be helpful is like on slide 34, where it breaks  
4 out the major components, if we could see that by  
5 what's billed by the facility and what's not billed,  
6 you know, billed outside the facility.

7 DR. HIRTH: Actually, we have that in a  
8 later slide.

9 DR. RUBIN: Yes.

10 DR. EGGERS: Again the first principles  
11 here, sometimes we talk about hemodialysis patients and  
12 sometimes we talk about hemo and peritoneal and home  
13 patients, I presume. Do we have agreement about the  
14 bundle of services, what types of dialysis, does it  
15 cover all types of dialysis, is that what we're looking  
16 for? I don't know, I mean.

17 MR. AUGUSTINE: Well, that's something we  
18 need to talk about.

19 DR. EGGERS: Okay.

20 MR. AUGUSTINE: I mean, if we want to, you  
21 know, encourage flexibility and not, you know, have

0180

1 payment policy dictate what type, you know, affect  
2 practice patterns and allow practitioners and patients  
3 to determine what's best for them, then I wouldn't  
4 recommend going, you know, farther away than what, kind  
5 of the way the system is set up right now, where  
6 they're paid equivalently, except for separately  
7 billables.

8         That will also get us back to where we  
9 started, and one of the reasons we paid PD the same as  
10 hemodialysis as far as the composite rate was  
11 concerned, is that it would encourage and I think the  
12 Department and Congress and CMS have all made very  
13 public statements that we would like to encourage the  
14 use of home therapies and I think we all know that has  
15 not occurred and part of the reason for that is the  
16 increasing use of separately billables, which are more,  
17 much more advantageous and accessible in-center than  
18 they are for home patients.

19         So it would be, if we kind of expand the  
20 bundle it would right the ship, so to speak, and put us  
21 back to where we started as far as for those patients

0181

1 for which it was clinically appropriate PD may not only  
2 may be better clinically but also better financially  
3 for the provider as well.

4         DR. EGGERS: I concur. That's what I would  
5 like to see, is the most inclusive. I just wanted to  
6 see if there's anybody that disagreed.

7         MR. AUGUSTINE: Okay. Anyone have any  
8 other comments; is there any disagreement on that,  
9 or -- okay.

10         DR. RUBIN: Okay. Why don't we move on  
11 then. I think we're up to -- here we go, our next  
12 slide. So, what we want to talk about in this that I  
13 think is kind of the part of the program where we get  
14 -- and for those of you that have these we're on slide  
15 59. This is where we can expand the discussion and to  
16 help answer the question that Dr. Owen raised about  
17 services and that we would like to see included at the  
18 facility level.

19         And, clearly these are services that would  
20 be under the plan of care, services that are ordered by  
21 -- the term of art that's being used here is MCP

0182

1 practitioner but the one that actually gets paid for

2 taking care of the dialysis patient. And, we could  
3 also add to that a list of services by "Hicks-Picks"  
4 codes if necessary which from this morning's discussion  
5 may well be if we decide to do some vascular access  
6 stuff.

7         And, we can, obviously you can do the  
8 opt-in or opt-out methodology which here is called  
9 inclusive and exclusive, and then are there are some  
10 technical issues as to how you would bill for it and  
11 therefore account for it. So, there are a lot of ways  
12 to slice and dice this in terms of the data. One is to  
13 look at the services -- next slide. Next, one is to  
14 look at the services that are provided directly to  
15 dialysis patients by the ESRD facility and then  
16 services provided by everybody else and everyplace  
17 else.

18         The others are sources of lab tests that  
19 might be related to ESRD, related to the use or nonuse  
20 of injectable drugs or other lab tests that deal with  
21 the welfare of the patient or in some cases the  
0183

1 potential risks to the healthcare workers at the  
2 facility. And, then I think at some point we're going  
3 to have to deal with those patients, while small in  
4 number but may make a big difference are those people  
5 that get service at multiple facilities during some  
6 unit, some time units, unit of analysis. So, why don't  
7 you --

8         MS. RAY: Can I ask a question? Can I ask  
9 a question?

10         MR. RUBIN: Yes.

11         MS. RAY: Going back to the --

12         MR. AUGUSTINE: I'm sorry. Could you speak  
13 to the microphone?

14         MS. RAY: Sorry. The top ten lab tests and  
15 the pertinent data combined, it would be helpful, I  
16 guess, because I'm not a physician, I get to have some  
17 sort of synthesis of, for example, the issue was raised  
18 that the hemoglobin A1C, we would have expected in the  
19 ideal world to see more of these, to have some sort of  
20 synthesis of are there evidence-based guidelines after  
21 that suggesting that particular tests are either

0184

1 appropriately being ordered or overused or underused  
2 among this list or anything outside of this list?

3         DR. RUBIN: I think that's a great comment.

4 And if it doesn't come up during the course of the  
5 discussion, let me just presage it by saying what would  
6 be on that list will be to a very large degree a  
7 function of what you believe the answer to what we're  
8 trying to do here is whether it's taking care of the  
9 entire patient or just taking care of trying to right  
10 the wrongs of somebody that doesn't have functioning  
11 kidneys.

12 For example, there are a whole bunch of  
13 things that the U.S. Preventive Health Task Force has  
14 recommended as routine tests that adults should have,  
15 not the least of which is cholesterol screening.  
16 That's not in here. I'm not advocating one way or the  
17 other but there are -- and this is an area where there  
18 are guidelines, there are published recommendations, et  
19 cetera.

20 And, oh, by the way, if you actually  
21 followed the results of that test, depending on whether  
0185

1 you believe Eric Topel of the Cleveland Clinic or other  
2 recent writers in the New England Journal, that would  
3 add somewhere between 16 to \$24 billion a year to U.S.  
4 healthcare costs, which, you know, gets interesting  
5 here in things like that. But, I think the point is  
6 that they're well-defined guidelines that in the draft  
7 conditions of coverage CMS suggests they're going to  
8 incorporate by reference and we could certainly add  
9 those tests and get some dollar value. Oh, are we  
10 running out of time again.

11 MS. KELLY: No, not till 2:30.

12 DR. RUBIN: Okay. Jay?

13 DR. WISH: I was just going to answer Nancy  
14 in terms a number of these tests that I think everybody  
15 would agree are ESRD-related. There are DOQI  
16 guidelines that do specify frequency.

17 MS. RAY: And that's what I was going after  
18 more, because one or two people commented on the  
19 aluminum test and that just started the thought of,  
20 well, to what extent are there certain tests here that  
21 are either being underused, overused, appropriately  
0186

1 used. I mean, in the aggregate these tests account for  
2 a significant amount of payments, about \$250 million, I  
3 think, which is what you had --

4 DR. RUBIN: Right. Exactly.

5 MR. AUGUSTINE: And I'll let the clinicians

6 follow but to my understanding, the only thing that has  
7 been recommended clinically is to avoid aluminum-based  
8 binders, if that's correct, so that's why I think we're  
9 so surprised to see so many aluminums in there.

10 DR. WISH: That's correct. The Dabone  
11 guidelines do not recommend routine screening for  
12 aluminum levels. They do recommend quarterly  
13 parathyroid hormone levels for patients on Vitamin D  
14 analogs. AMA guidelines recommend quarterly Thuratol  
15 (phonetic) and TSAT testing and the CDC recommends  
16 yearly hepatitis B service, actually monthly hepatitis  
17 B service antibody.

18 DR. RUBIN: It depends on your status.

19 DR. WISH: I'm sorry. Yeah, depending on  
20 your status but yearly antibody and monthly antigen,  
21 depending on your status.

0187

1 DR. LAZARUS: But that doesn't have  
2 anything to do with aluminum consumption, that has to  
3 do with purity of water. I mean, you can drop -- this  
4 happened in one dialysis unit in Spencer recently -- a  
5 wrench into the "dialysi," which contaminated ten or  
6 twelve patients. If you don't monitor, you don't pick  
7 it up. The question is the frequency but not that it  
8 should be done.

9 MR. AUGUSTINE: Exactly.

10 MR. CANTOR: There's evidence in here as  
11 Jay pointed out of perhaps low numbers because, as you  
12 said, Jay, parathormone is recommended by KDOQI  
13 quarterly but if there's a change of Vitamin D, it's  
14 monthly and the frequency here 3.6 times per year so  
15 there may just be just in general lower numbers than  
16 expected.

17 DR. RUBIN: Okay. Were you going to take  
18 this part, Richard?

19 DR. HIRTH: Yeah. Okay. Very conveniently  
20 this is the slide that was asked for a few minutes ago,  
21 that splits some of the payments between what goes to

0188

1 the dialysis facility and what goes to everybody else  
2 and kind of confirms what have mentioned earlier today,  
3 that in a sense drugs are easy and labs are hard.  
4 Dialysis service itself obviously is coming from a  
5 dialysis facility. The drugs and biologics are almost  
6 exclusively coming from the dialysis facility. It is  
7 really relative to 1.8 billion in EPO, it's a very

8 trivial amount from that that's coming from other  
9 providers, less than a million dollars.

10 No discernible Vitamin D coming from  
11 elsewhere, a small amount of Iron, about a half a  
12 percent of the total Iron billings. For those other 11  
13 next most prescribed injectables or next most costly  
14 injectables coming from dialysis facility claims. When  
15 we look for those same 11 injectables in other  
16 facilities, we do find that about 5 percent of the  
17 total is coming from elsewhere.

18 Again, a vast majority is coming from the  
19 dialysis unit. With laboratories you get almost the  
20 flip side of that. A relatively small amount of lab  
21 tests are actually being billed for on the type 72

0189

1 bills, in other words, on the dialysis bills  
2 themselves, and the vast majority is coming either from  
3 freestanding labs.

4 As you saw earlier, that makes up about 218  
5 million of the 236 that's coming from other providers.  
6 About 85 percent of that 218 million from freestanding  
7 labs is coming from labs that based on our perusal of  
8 their names we have identified as chain-related labs  
9 and about 15 percent from others. The remaining 18  
10 million was what we have seen from, say, hospital bills  
11 and other types of institutional providers.

12 In terms of the supplies and other  
13 services, that's one category here that's somewhat  
14 unique in this table in that we only looked for those  
15 on the dialysis claims. In other words, that \$26.6  
16 million is just everything that was paid for on a  
17 dialysis facility claim that wasn't composite rate  
18 services, wasn't drugs and biologics and wasn't lab  
19 tests.

20 We haven't kind of constructed a list of  
21 codes that appear as a bit of a grab bag in some, you

0190

1 know, a fairly long list of things that it's fairly  
2 uncommon and there aren't a lot of dollars in so we  
3 haven't constructed that list and then gone to the  
4 other types of claims in the Medicare database to see  
5 how commonly those things were used elsewhere. Okay.  
6 Questions on that before I move on?

7 MS. RAY: So that the other includes claims  
8 submitted by physicians when you are looking at the  
9 injectable drugs?

10 DR. HIRTH: Yes. In terms of the only  
11 thing that does not include claims from anyplace in the  
12 universe of the Medicare database is the supplies and  
13 other services, that final category. That's 26.6  
14 million. Everything else, the drugs and the labs, we  
15 looked for those same codes regardless of where they  
16 were billed.

17 MS. RAY: Again just some clarification.  
18 So, for the other injectables that's the other  
19 injectables, other ESRD injectables?

20 DR. HIRTH: Right.

21 MS. RAY: Not all other injectables that  
0191

1 may be administered by oncologists or --

2 DR. HIRTH: It's the 11, that we described  
3 them. Among the things that were billed, injectables  
4 that were billed for by dialysis units, the big three  
5 are the separately identified and the other are the  
6 next 11 most expensive among things that are billed for  
7 by dialysis units.

8 So generally, you know, oncology drugs and  
9 the like would not have made that cut. And we have  
10 that list if anybody is interested. I think we had it  
11 on an earlier slide as to what the specific agents are.  
12 Okay. Then the question of who is actually ordering  
13 these tests. With the freestanding lab claims, we're  
14 able to identify the UPIN, the universal provider ID  
15 number of the ordering physician.

16 And, what we did is we searched for all the  
17 UPINs of physicians who billed for a Medicare  
18 capitation payment and we sort of loosely identified  
19 those as dialysis physicians or as a Medicare  
20 capitation payment practitioner in the terminology of  
21 the slide. And then we said for these various tests  
0192

1 we've identified and classified into different clinical  
2 categories, what fraction of those tests were ordered  
3 by a physician that had billed a Medicare capitation  
4 payment for dialysis.

5 MR. AUGUSTINE: Let me point out, and the  
6 second bullet needs to be changed because it's, these  
7 are tests not billed by the MCP practitioner but by an  
8 MCP practitioner. There's a difference.

9 DR. HIRTH: Right, right. This is a much  
10 more inclusive list. Part of the difficulty with the  
11 provider ID is if the physicians are practicing

12 together in a group, we don't know necessarily is the  
13 UPIN of the specific Doctor going to necessarily attach  
14 to that specific lab claim for that patient. So this  
15 is, essentially if you're not identified as a Medicare  
16 capitation physician that means that you never billed a  
17 Medicare capitation payment for a dialysis management.  
18 So, it's as inclusive of a list as it could be. And  
19 just be aware of that in terms of interpreting the  
20 data. Yes.

21 DR. LAZARUS: I don't know that it makes  
0193

1 any difference but John made the excellent point that  
2 the bottom six items there probably were not ordered by  
3 the MCP practitioner. He simply is a conduit because  
4 blood is available in the dialysis unit. I suspect if  
5 you would ask did he specifically order that and want  
6 it, you would get a different percentage.

7 DR. HIRTH: So essentially that  
8 practitioner would be nominally the ordering physician  
9 and that the labs were drawn at the dialysis unit but  
10 may or may not be managing the result of that test.

11 DR. LAZARUS: Correct.

12 DR. HIRTH: So for diabetes, the example  
13 that came up earlier, we see about four out of five of  
14 the tests that we had identified as relevant to  
15 diabetes care were ordered by an MCP as opposed to  
16 about nine out of ten. So, it's still a pretty high  
17 percentage but like you said we don't know who is  
18 actually doing something with that result.  
19 Interestingly, to kind of validate this approach, our  
20 nephrologist consultant, we asked him to come up with a  
21 name of a test that would clearly not be

0194

1 dialysis-related and then we wanted to see what  
2 fraction of that particular test was ordered by a  
3 physician we'd identified as the MCP practitioner.  
4 And, he said a Pap smear, and that was 3 percent. So,  
5 for that type of a test that sort of clinically seems  
6 very unrelated to dialysis, in fact, it was very rare  
7 to have that identified as the ordering physician being  
8 the Medicare capitation practitioner, so.

9 MR. AUGUSTINE: And that could have been,  
10 that could have been an internist who had billed MCP at  
11 one time, I guess, and maybe went back in practice and  
12 seeing someone who was a dialysis patient and then  
13 ordered a Pap. I mean, there's --

14 DR. HIRTH: That's entirely possible.  
15 Because, as I said, this is a very inclusive list of  
16 who is a dialysis physician. So, that's just a little  
17 bit of a tidbit in terms of validating that maybe  
18 there's sense to this approach.

19 MR. AUGUSTINE: Mike, when, Dr. Lazarus,  
20 when that happens, where does that test get performed,  
21 does that actually go back to the hospital or to  
0195

1 whoever their independent laboratory is?

2 DR. LAZARUS: Well, because of the problems  
3 of reimbursement we prefer it go to the lab that  
4 they're affiliated with.

5 MR. AUGUSTINE: That they're affiliated  
6 with?

7 DR. LAZARUS: The dialysis unit is  
8 affiliated with.

9 MR. AUGUSTINE: Okay. Okay.

10 DR. LAZARUS: If you send it back to the  
11 hospital, they bill, you bill, then we have problems  
12 with that.

13 MR. AUGUSTINE: Well, do you, does that  
14 cause a problem with them not having the sort of  
15 privileges at your facility, ordering tests through  
16 your facility?

17 DR. LAZARUS: No. We send the results to  
18 them.

19 DR. BURKART: If I can just comment on  
20 that, I mean, I imagine it would vary from facility to  
21 facility. Some facilities may have a policy that for  
0196

1 the lab to be drawn it has to be cosigned, if you will,  
2 or signed off by the capitation physician. And then  
3 you ordered it so theoretically you're responsible for  
4 it; however, you know, Mike, as was mentioned, the  
5 conduit for ordering tests for another physician and  
6 the tests, sometimes they draw the blood and bring it  
7 to the hospital but more often the tests are drawn and  
8 sent to the lab along with the rest but they're an  
9 ancillary test.

10 MR. AUGUSTINE: If you cosign it, that's  
11 your test.

12 DR. BURKART: I understand that. I was not  
13 debating that for one moment.

14 MR. AUGUSTINE: Okay. Okay. I just want  
15 to make a point clear.

16 MR. BURKART: I am not debating that for  
17 one moment.

18 MR. AUGUSTINE: All right.

19 DR. RUBIN: Paul?

20 DR. EGGERS: The reason we're looking at  
21 this table I believe is because only on the facility  
0197

1 lab test is a UPIN identified but it's not, a UPIN is  
2 not identified on the lab tests from other facilities,  
3 is that right?

4 DR. HIRTH: Okay. On the freestanding lab  
5 claims the UPIN of the ordering physician is clearly  
6 identified. For those that come through the  
7 institutional claims, we're still taking a look at it  
8 in more detail but it's not, it doesn't seem as clear  
9 that we can identify the ordering physician on the --  
10 so this refers essentially to the bulk of the 87 or 88  
11 percent that came through freestanding labs are  
12 reflected in this table. It's the 20 or so million  
13 dollars that came through other, 25 million or so that  
14 came through other providers that is not a part of the  
15 data that are in this table.

16 DR. EGGERS: So this is most of the lab,  
17 most of that quarter of a million?

18 MR. AUGUSTINE: Billion.

19 DR. RUBIN: Billion.

20 DR. EGGERS: Quarter of a billion dollars?

21 DR. HIRTH: Right, which is almost 90  
0198

1 percent of that.

2 DR. EGGERS: One thing, I don't even know  
3 if it's worth pursuing but let me just throw it out  
4 because it's in the back of my mind. If we're really  
5 sort of worried about what's ESRD and not ESRD, another  
6 way of looking at the lab bills would be perhaps just  
7 to kind of get some ICD9s there from the diagnosis.

8 DR. RUBIN: Have you ever tried to validate  
9 those? I write down the first three numbers that come  
10 into my mind.

11 MR. EGGERS: I didn't say it was the be-all  
12 and end-all.

13 DR. RUBIN: Okay.

14 DR. EGGERS: But there may be large  
15 categories, there may be large categories of ICD9 codes  
16 that, you know, you know, pregnancy, I don't know, what  
17 the heck, but something like that, I mean, there may be

18 things in there that, you know, help define this  
19 nonESRD that we're thinking about. We're looking at in  
20 terms of the test and in terms of the UPIN; why not  
21 look at it in terms of the ICD9?

0199

1 DR. RUBIN: Fair enough. Jay?

2 DR. WISH: My interpretation of this  
3 particular slide is that there are 10 percent of  
4 physicians who routinely take care of dialysis patients  
5 that don't bill under their own MCP, that they're not  
6 in their MCP universe but nonetheless they are seeing  
7 dialysis patients, they are doing monthly reviews of  
8 dialysis patients, they are transmitting orders to  
9 dialysis facilities to obtain laboratories but for  
10 whatever reason somebody else in their practice bills  
11 the MCP.

12 For the other tests like, you know, thyroid  
13 and seizure or perhaps not so much seizure but I  
14 suspect what's happening is that an endocrinologist who  
15 is following a patient for thyroid disease calls a  
16 dialysis unit and says can you get this test for me?  
17 And the dialysis unit says, yes, we'll do it, and we'll  
18 bill it under your name and your UPIN.

19 MR. AUGUSTINE: The point I made earlier,  
20 kind of which I guess cascades here, dialysis  
21 physicians are those that are a, an MCP and billed an  
0200

1 MCP at anytime so those 10 percent are going to be  
2 people who have never billed an MCP.

3 DR. WISH: I think that's possible based on  
4 the way individual nephrology practices are configured.

5 DR. RUBIN: Okay. Go ahead.

6 DR. HIRTH: Okay. I think that's it for  
7 this session, discussion.

8 DR. RUBIN: So it is. So, to pick up on  
9 our themes, the issue here is what should the facility  
10 be responsible for, what universe of tests and/or drugs  
11 that are in addition to what I'm going to suggest is  
12 currently in the baseline under the composite rate do  
13 we want to include on the one hand and on the other  
14 hand is there any information that we would like to get  
15 that would help us make a better decision as to whether  
16 or not to include those services or tests or drugs as  
17 something that we would want to make facilities  
18 responsible for.

19 DR. HIRTH: One I thing I want to update

20 you on, it's the analysis that was suggested by Dr.  
21 Rubin this morning. We're able to get some of it run.

0201

1 We decided to do a 10 percent sample of patients.  
2 That's 2.7 million patients would have been, patient  
3 months would have been a little bit hard to go through  
4 in a matter of an hour but on 10 percent sample we just  
5 did for two characteristics just to make it a feasible  
6 run in the timeframe, urban versus rural. In the rural  
7 hospitals, rural dialysis units 67.3 percent of  
8 patients on HD had in fact a full month without an  
9 identified event. They had 12 to 14 treatments and no  
10 events identified.

11 For urban it was very similar. It was  
12 actually slightly lower, 66.2 percent. So, about 1  
13 percent more identified events in urban dialysis units  
14 and patient months in urban units than rural. There  
15 was a bigger relationship with size. So, we looked at  
16 small, medium and large facilities, had the same  
17 cutoffs that are in some of the materials we captured.  
18 I think it's something like below 5,000 treatments,  
19 five to ten and ten or more, something like that.  
20 Small, 62.1 percent of patient months were full 12 to  
21 14 treatment months with no events occurring. Medium

0202

1 was higher than that, 65.5 percent for medium; large  
2 facilities had 67 --

3 DR. RUBIN: I'm sorry. Could you go back?  
4 We had a little interruption.

5 DR. HIRTH: The small facilities, 62.1  
6 percent of patient months --

7 DR. RUBIN: Right.

8 DR. HIRTH: -- were full months with no  
9 identified event; for medium, 65.5, and for large,  
10 67.6. So, there was a reasonably significant, I would  
11 think, relationship there between facility size and the  
12 likelihood that your patient months have some type of  
13 an interruption.

14 MR. AUGUSTINE: An inverse relationship.

15 DR. HIRTH: Right. And when we go back to  
16 Ann Arbor we will do that for other facilities  
17 characteristics.

18 DR. RUBIN: Okay.

19 DR. HIRTH: Ownership status, chain status  
20 and any other standard set of things that we look at.  
21 I just wanted to give that quick data for now.

0203

1 DR. WOLFE: And Bob, I called Ann Arbor to  
2 tell them there's no way to get this done, don't even  
3 try and he said he had the answers almost ready.

4 DR. RUBIN: Okay, Bob. That's a good staff  
5 person. Anybody, Mike?

6 DR. LAZARUS: I want to ask you a question  
7 about how you we use the data. Your approach has been  
8 we will look at what's been done, assume that it's  
9 correct, and put that in the bundle?

10 DR. RUBIN: No. I mean that's not my --

11 DR. LAZARUS: Well, that's what it appears  
12 to be right now.

13 MR. AUGUSTINE: Well, Mike, if you could --

14 DR. LAZARUS: You're talking about data,  
15 where we have an opportunity to say, yeah, that's  
16 what's been done for the last thirty years but it's  
17 wrong.

18 DR. RUBIN: Absolutely. That's, I  
19 apologize for not being clear. That's really what I  
20 would like these sessions after we have seen what's  
21 been presented, this is the Committee's opportunity to

0204

1 comment on that information and in addition to saying  
2 that something may not be correct, hopefully the  
3 Committee can provide some constructive criticism as to  
4 how you get the right data or the right way to think  
5 about it. And then what I would expect from the  
6 contractor is that's a great idea, it will take us  
7 three years and fifty million bucks to figure out or  
8 what we just heard, sure, we can get you that in an  
9 hour.

10 And, so, because I think at some point, at  
11 least in my own mind -- and I'm just speaking for  
12 myself -- we're going to need to make some  
13 recommendations based on some rules. And, one of the  
14 rules might be if we don't have data that informs the  
15 decision we're just not going to put something in or  
16 price it into the bundle or whatever. On the other  
17 hand, we may say, well, it's not a big amount of money,  
18 and, if we're off by 20 percent doing some kinds of  
19 sensitivity analyses, it might be okay.

20 I mean, we might come up with all sorts of  
21 different things. But what I want to try to do today

0205

1 is at least expose what our concerns, anxieties and

2 recommendations might be as it relates to data. If  
3 anything I've said suggests that I believe that  
4 everything we've seen is spot-on what we need, I  
5 apologize, because that is, and they'll tell you that  
6 that's not what I believe.

7 DR. HIRTH: I think Nancy's comment about  
8 are there guidelines for certain tests, already very  
9 much in that vein.

10 DR. RUBIN: Jay?

11 DR. WISH: If I could put up a strawman  
12 based on what we've just heard, I mean, what we're  
13 trying to do is determine the bundle based on  
14 ultimately economic impact and best practices, to  
15 collect data to validate what we're doing so --

16 DR. RUBIN: And --

17 MR. AUGUSTINE: -- we have DOQI guidelines  
18 for anemia management. We have it for adequacy. We  
19 have it for calcium and phosphorous and bone disease.  
20 We have indicators at least for the adequacy and the  
21 anemia management, there's going to be indicators,  
0206

1 there are indicators for bone disease and there are  
2 going to be performance measures developed that are  
3 going to be pilot-tested within the next year. And, we  
4 know that those are, in terms of their economic impact,  
5 the Vitamin D, Iron, EPO and then the tests associated  
6 with monitoring those things. So, that I think is a  
7 reasonable inclusive bundle to start with in terms of  
8 further discussion.

9 DR. RUBIN: Okay. Yes?

10 DR. OWEN: I have question. I have a  
11 question for Mike, as a large chain provider. How do  
12 you feel being the conduit for laboratory testing? And  
13 this returns to my second contextual question from this  
14 morning, and that is what is the site of service what  
15 is effectively occurring by what John has described,  
16 what I saw, what you lay out, the word is it a dialysis  
17 unit as, Bill Owen's opinion, rightfully become an  
18 extension of some physicians' practice and caring for  
19 the patient.

20 My concern for what Jay has described is  
21 the dialysis unit will be a site where care of end

0207

1 stage renal disease only is going to occur and part of  
2 the fragmentation of the system that I want to see go  
3 away in the management of a patient, a biographical

4 patient, not a biological entity.

5 DR. WISH: I said to start with. I didn't  
6 say final.

7 DR. OWEN: Let him answer his question  
8 first and then criticize.

9 DR. LAZARUS: Preliminarily we would like  
10 to accommodate patients. No one wants to see patients  
11 with a valuable resource, they can go get it stuck. So  
12 there is drive and a compulsion to provide service.  
13 However, if you put a bunch of tests outside the  
14 bundle, and tell me you're not going to get paid for  
15 those tests, I'm sure when the patient comes to get the  
16 test, we're going to say thank you very much, go  
17 somewhere else.

18 MR. AUGUSTINE: Well, let me say that also  
19 feeds into our Fistula First initiative. I am,  
20 treating these vessels quite delicately and letting the  
21 right people stick them so that they are protected are  
0208

1 very important.

2 DR. LAZARUS: But you understand, that if  
3 you take the test you take them out of the bundle and  
4 you ask us to do it for free, then it becomes a  
5 problem.

6 MR. AUGUSTINE: I mean, I don't know, I  
7 mean, either it would be included in the bundle and  
8 that's the scope piece that we still need to talk about  
9 because you're still cosigning so they're your orders  
10 and you're billing them under your physicians' orders,  
11 if they follow the KDOQI guidelines, you know,  
12 succinctly then those may not be in the bundle.

13 DR. LAZARUS: But if they're not in the  
14 bundle, I don't care what the doctor does. If the  
15 physician says I want to accommodate the patient, and  
16 you tell me it's outside the bundle, there's no way you  
17 can, we think you're obligated to do this test, we did  
18 not include it in your reimbursement for the bundle,  
19 why, why would we do that?

20 DR. HIRTH: It's outside the bundle when it  
21 remains separately billable. I guess I'm not following  
0209

1 your argument.

2 DR. LAZARUS: A separately billable  
3 component to this bundle? I haven't heard that.

4 MR. AUGUSTINE: I mean, that's what we need  
5 to talk about, those things that may be medically

6 necessary that are outside the bundle.

7 DR. LAZARUS: Oh. So we have the bundle  
8 and we can bill for an additional event --

9 MR. AUGUSTINE: Yes.

10 DR. LAZARUS: I haven't heard of that.

11 MR. AUGUSTINE: Well, there be may some  
12 things that are done predominantly like, for example,  
13 most things we're talking about are done 99, 98 percent  
14 of the time in the facility and 2, 3, 4 percent of the  
15 time elsewhere. There may be some things that are very  
16 rarely done at the facility and quite frequently done  
17 more elsewhere and those things may not be, may be  
18 outside the bundle and may be separately billable  
19 because we don't want you to incur a cost without  
20 receiving reimbursement but we would like to get as  
21 much as possible in the bundle to discourage as much  
0210

1 gaming and to encourage as much efficiency as possible.

2 DR. RUBIN: Dr. Burkart?

3 DR. BURKART: I want to ask a question that  
4 goes along with that. Some of these things were put up  
5 there as an example of what is ordered so we can,  
6 here's the data, let's decide which one of these things  
7 we should include in the bundle. I believe that's why  
8 we have some of this up there.

9 DR. RUBIN: Exactly.

10 DR. BURKART: So let's use an example,  
11 hemoglobin A1C. Now, if we want to do the right think  
12 for the patient, we should be checking it and we should  
13 be reacting to it. So if it's included in the bundle  
14 my question to the group is something like hemoglobin  
15 A1C, we saw seizure or cardiac, if we move it to part  
16 of the bundle are we then mandating nephrologists to  
17 assume that part of the care? I think that's an  
18 important part of the question.

19 I'm not saying we shouldn't be patient  
20 friendly, we shouldn't be ordering the tests. I'm  
21 saying if we say since it's done so often as part of  
0211

1 the bundle are we then saying nephrologists then are  
2 taking care of it. Now, if we order the test, it's our  
3 test to do. There's no argument there. But if it  
4 becomes part of the bundle, then there's a diabetic  
5 care, then the nephrologist responsibility, period.

6 MR. AUGUSTINE: And also it's a paradigm  
7 shift, though, as well because it could potentially

8 force practitioners and facilities to work more closely  
9 together because if that test is done in the doctors'  
10 office and it's in the bundle, they're not going to get  
11 paid or they're going to have to get the facility to  
12 potentially pay them and so it may force, in some of  
13 those areas where they should be working together it  
14 may force them to coordinate better, which is not  
15 necessarily a bad outcome. Nancy?

16 MS. RAY: I noted your point earlier about  
17 the potential for one payment bundle for both  
18 peritoneal and hemodialysis. I, for one, would be  
19 interested in looking at the differences in the use of  
20 services between hemodialysis and peritoneal patients.  
21 I think you had that one notion that you talked about,  
0212

1 the one payment bundle.

2 I think the other notion is facing payment  
3 on the cost of efficient providers and rewarding for  
4 quality. And I, before we make, before a decision is  
5 made on that, I think as a first step we should look  
6 into the different, to what extent do services differ  
7 between modalities. And I guess the other issue I  
8 wanted to bring up is I see on the board, Part D, with  
9 a question mark.

10 MR. AUGUSTINE: Yeah. Well, I put that  
11 there to just kind of remind myself to talk about that  
12 because that's a potential issue here. In this  
13 expanded bundle there may be, let's say we include the  
14 Vitamin D, I mean, the Part B (phonetic) drugs that are  
15 injectable. Well, if when Part D comes online there  
16 may be an incentive for certain facilities to push  
17 people to orals like oral Hectorol, which in some cases  
18 are as good but in some cases they're not as good as  
19 the injectables.

20 And you definitely have the compliance  
21 issues. You know, it may be more harmful to patients  
0213

1 and so we need to keep in mind how Part D is going to,  
2 the new prescription drug benefits are going to play  
3 into this demo because there will be incentives to get  
4 people onto orals which are not in the bundle.

5 MS. RAY: And I guess that was my next  
6 question. Statutorily in the MMA they cannot be in a  
7 bundle; could you clarify that, as far as oral?

8 MR. AUGUSTINE: The statute does not, I  
9 don't know if it -- can you read it?

10 DR. RUBIN: The statute doesn't say. The  
11 statute asks for this committee to talk about drugs and  
12 biologicals. It doesn't say Part B drugs, Part D  
13 drugs, it just says drugs. So, if we chose to put in  
14 the bundle phosphate binders, we could probably do  
15 that.

16 MR. AUGUSTINE: Let me, if I can read --

17 DR. RUBIN: Let me just finish.

18 MR. AUGUSTINE: Go ahead.

19 DR. RUBIN: My assumption is in reading in  
20 the context that it would be Medicare-covered services,  
21 not services that Medicare doesn't cover, but in 2006  
0214

1 they will cover all drugs presumably that if they're  
2 used for their FDA-approved uses. So if we wanted to,  
3 at least I think, if we wanted to cover phosphate  
4 binders we could talk about DOQI guidelines and  
5 quality.

6 MR. AUGUSTINE: Well, that's why I put it  
7 on the board. Linda?

8 MS. LINDA: Just to alert people there is a  
9 report to Congress that CMS has been asked to prepare  
10 on the issue of transition of drugs that are currently  
11 covered under Part B to the Part D drug benefit since  
12 the benefit structures are very different, and since  
13 once there is a Part D benefit many drugs that are now  
14 currently provided virtually exclusively on an  
15 injectable basis, many of those will be available on an  
16 oral form and coverable under Part D. So this issue is  
17 being addressed more broadly even in the context of  
18 this. And I think we just have to keep that in mind as  
19 background.

20 MR. AUGUSTINE: And also as we continue to  
21 talk about patient-centeredness, there's a big difference  
0215

1 through our beneficiaries, whether something is Part B  
2 or Part D and that's something we're quite cognizant of  
3 as we do these research activities.

4 DR. RUBIN: Yes?

5 DR. WOLFE: I wanted to respond to Nancy a  
6 little bit about hemo versus PD. And, there are  
7 difficulties in measuring how many of these sources are  
8 given, partly because for a lot of the PD patients we  
9 suspect that many of the drugs are given orally instead  
10 of IV.

11 We see a much lower utilization of drugs

12 among the PD patients. That's not because they aren't  
13 being given, it's because they aren't in the bills and  
14 we don't see them. We suspect, it's also true that I  
15 think, it's my understanding that less EPO is needed  
16 for PD patients but it's not nearly as much less as we  
17 actually see in the bills. So, it's difficult to give  
18 a direct comparison from the data of PD versus hemo for  
19 the drugs which is the bulk of what we're talking about  
20 as Paul has pointed out.

21 MS. RAY: Right, but that's precisely my  
0216

1 point. I mean, if we were to think about the notion of  
2 basing payment based on the provider's costs, if the  
3 provider is not giving the drug as an injectable, if  
4 it's being given as an oral, then I would have at least  
5 liked to know the disparity between the use of  
6 injectables between hemodialysis and peritoneal.

7 DR. RUBIN: Okay. Good point. Go ahead.

8 DR. BURKART: I think in the old system  
9 before January 1st, where part of the excess revenue  
10 for providing services to a patient with ESRD came  
11 through using drugs. You know, there was a move to  
12 make sure that hemodialysis patients got the drugs,  
13 number one, versus the peritoneal dialysis patients and  
14 also part of the money that you made perhaps to do what  
15 it costs you to do hemodialysis came from that. As we  
16 move towards a composite rate that takes care of the  
17 cost of doing dialysis, of providing care to the  
18 dialysis patient, and take away part of that excess  
19 revenue coming from the drugs, I'm not sure that we  
20 need to say should we, do we need to look at the  
21 differences between PD and HD because it could be that  
0217

1 in HD you would provide the drugs orally, too, some of  
2 them.

3 I think that the key thing there is that  
4 you have an indicator that makes sure that the  
5 treatment is being given and that we are trying to get  
6 to patient-centric outcomes that are good. Okay. So  
7 if we, for instance, we could give oral Vitamin D into  
8 a hemodialysis patient. We could if we wanted to.  
9 And, it may work just as well, or, so, but, so I think  
10 if we change this, if we move away to having payment  
11 separately for their drugs and its bundled, I think  
12 it's okay to have the payment be the same for both  
13 modalities as long as we are held accountable for

14 making sure that we achieve the outcomes that that  
15 money is paid for in our patients.  
16       You can give, some of these things could be  
17 done orally or IV. And if we look at the difference  
18 between services right now, I don't know that we can  
19 tell for sure what is driving the difference in one  
20 group of patients versus the other. The PD patients  
21 may refuse to come in or they may not need as much or  
0218

1 the administration may be motivated by the excess  
2 revenue that you were getting in the drugs before  
3 January 1st of this year.

4       MR. AUGUSTINE: Paul?

5       DR. BURKART: Did that make any sense?

6       DR. EGGERS: At the risk of vastly  
7 increasing CMS staff responsibilities and headaches, as  
8 I listened to some of the discussion about the way  
9 various dialysis facilities operate, and, you know,  
10 what they are and aren't responsible for, is it  
11 possible that we could even go so far as to recommend  
12 two or three or four different bundles of increasing  
13 amounts or something like that and facilities  
14 or whoever bids on these sorts of things, however the  
15 demonstration is to work, could opt for one or more. I  
16 mean, suppose that you had a group of facilities that  
17 really did want to take responsibility for, they agreed  
18 they could take responsibility for diabetic care and  
19 they wanted to receive, they wanted to get paid for  
20 hemoglobin A1Cs four times a year, they will do that,  
21 put them in the bundle, they will take care of it and  
0219

1 the others kind of say that's not our job, we're not  
2 going to do this, we would like a bundle that doesn't  
3 have that.

4       MR. AUGUSTINE: I mean, the issue I would  
5 have with that is it's not a very holistic approach  
6 unless it becomes a boutique facility where they  
7 primarily treat people with diabetes. And we would  
8 like to have something that's a little more holistic.  
9 One thing I did want to get back to Nancy on, and we  
10 tried that with the last demo and we will see soon what  
11 the results of bifurcating the RFP (phonetic) and the  
12 disease management demo, what the results of that were.  
13 Nancy, getting back to your comment about the  
14 efficient, you flow, provider, one issue that going to  
15 be tough in PD are that a lot of the patients today

16 from my understanding -- and clinicians, I think you  
17 echo this -- that they get orals that are not Medicare  
18 covered so we don't have any data on them. So, what  
19 you will find out, if someone pulls PD data, it's going  
20 to look a lot lower than it really is for what it would  
21 be in 2006 when we do cover those orals.

0220

1 DR. RUBIN: Bill?

2 DR. OWEN: I'm going to join Paul and be a  
3 curmudgeon because I actually was going to raise later  
4 on maybe there is a duality approach to this because  
5 one size does not fit all. You know, one of the very  
6 striking things that Mike Lazarus and I were talking  
7 about earlier today was when we were both practicing  
8 there in Boston and he had the uptown unit and I had  
9 the downtown unit, and we're talking about units that  
10 were five miles apart and I will tell you --

11 DR. RUBIN: Does that mean I had the  
12 midtown unit?

13 DR. OWEN: There you go, baby. But the  
14 patients had very, very different needs and I put on a  
15 hat from North Carolina where we have a lot of rural  
16 units and a lot of rural patients. It just ain't  
17 feasible to be able to go out and have concierge  
18 dialysis. The patient has got or should I say it's not  
19 feasible but it is needed. The patients don't have the  
20 luxury of being able to float around to get bunches of  
21 tests done by others outside the unit.

0221

1 So, one size does not fit all and I do  
2 recognize the need of simplicity and certainly, you  
3 know, we talk about values, the inherent value of  
4 having a single system administratively but I do think  
5 it is reasonable to consider and since we are using  
6 this as a Beta test to consider a Beta test in which we  
7 compare the two, to meet the two extremes and needs.

8 MR. AUGUSTINE: Well, are you asking about  
9 like a stratified approach? I mean, it sounds like  
10 you're arguing for maybe even a more comprehensive  
11 bundle. So that's not necessarily different bundles  
12 unless you want to have one that's very sparse and one  
13 that's very comprehensive.

14 DR. OWEN: Exactly, one that's sparse and  
15 very comprehensive. And I will, you know, perhaps  
16 speak out of turn and will say that, you know, wearing  
17 a physician hat, one of the conversations that has been

18 occurring in the Renal Physicians Association in terms  
19 of looking at what our next payment model might be is  
20 how to recognize that there are some guys who are out  
21 there, who are very good and very comprehensive in  
0222

1 their care and want to be the physician in general and  
2 others who say, look, I'm just really good at dialysis  
3 and I just want to do dialysis and can do that very,  
4 very well.

5 DR. RUBIN: Okay. I think actually the  
6 charge suggests that we would take cognizance of  
7 geography in designing the experiment, so to speak.  
8 So, that might deal with some of your issues in terms  
9 of the access. It doesn't really get to the heart of a  
10 point you have made several times today, which is, what  
11 actually are the expectations not only of the facility  
12 but of the physicians that practice in that facility.

13 And I think, and to a very large degree the  
14 physician component is rightly or wrongly off the  
15 table. We're not talking about the MCP; we're talking  
16 about the bundle. And, so, the issue here is what do  
17 we want to hold facilities accountable for and what do  
18 we want to include and do we need more information.  
19 You know, so far we have been a little schizophrenic on  
20 that, to say the least.

21 DR. OWEN: If I might just respond back.  
0223

1 You know, I recognize that the physician is off the  
2 table but as those of us who have been medical  
3 directors will clearly say, it is a strong  
4 interdependency. They are linked together. You can  
5 sit there and argue and legislate as much as you want  
6 but they are, one's off the table or not but I can set  
7 up a system and make the physician work very well  
8 within the unit and then likewise a physician can  
9 confound anything that I try to do within the unit.  
10 Now, I would be very interested to hear what Dr.  
11 Lazarus who oversees hundreds or maybe thousands of  
12 units of medical directors has to say about that. So,  
13 I guess what I'm saying is, that at the end of the day,  
14 ideally what we want to do is to develop a system that  
15 facilitates the physician working with us.

16 MR. AUGUSTINE: Yes. Bonnie?

17 MS. GREENSPAN: Just to clarify for myself,  
18 what I heard Paul saying about providing the hemoglobin  
19 A1C for those patients in the facility that were

20 diabetic didn't seem to me to be boutique-wish, it  
21 seemed holistic for all the needs that that group would  
0224

1 have. Not everyone there would require that. So the  
2 percentage of patients that we anticipate being  
3 diabetic would, that would generate the kind of numbers  
4 for it but that didn't seem boutique to me, that what I  
5 thought you were suggesting was a split for those who  
6 were willing to have a more comprehensive --

7 MR. AUGUSTINE: So yours wasn't  
8 stratification, it was more scale like Bill and I were  
9 discussing?

10 DR. EGGERS: Well, actually, I had given it  
11 a good five minutes of thought so I clearly haven't  
12 worked out all the details on this but --

13 DR. RUBIN: That's more than usual.

14 DR. EGGERS: Huh?

15 DR. RUBIN: That's more than usual for  
16 government programs.

17 DR. EGGERS: Absolutely, absolutely, just  
18 take that out of the file sort of thing. No, I mean,  
19 you know, apparently we're going to talk later about  
20 the possibility of vascular access. I mean, that's one  
21 that, you know, certainly raises that question even

0225

1 more, as to whether or not a facility wants to somehow  
2 incorporate that kind of payment. I mean, you know, I  
3 picked a relatively pretty small one but they're, you  
4 know, I don't know, it just, it just, I don't, I don't  
5 have a, well, I don't know, maybe, it's even something,  
6 we haven't started to talk yet about case-mix but if  
7 you think about, you know, case-mix can even sort of  
8 drive that sort of thing in that --

9 DR. RUBIN: Right.

10 DR. EGGERS: -- you know, the payment, you  
11 know, the presence or absence of that characteristic in  
12 the population, in the thing can sort of drive the  
13 payments and such.

14 DR. RUBIN: Yeah. Other comment? Is a  
15 there comment down -- yes, Nancy.

16 MS. RAY: Yes. Let me just follow-up on  
17 the modality point one more time. I think it's  
18 important as we go towards a broader bundle to  
19 understand what Medicare is paying for in the broader  
20 bundle and what providers are furnishing and  
21 potentially what Medicare is paying for and maybe

0226

1 providers aren't furnishing because patients are  
2 getting it through Part D. And I think that is what's  
3 leading me to want to have a better understanding of at  
4 least under the current, under the 2003 payment system,  
5 to what extent are the use of injectables in  
6 laboratories different between hemo and PD patients.

7 DR. WOLFE: We'll get it for you.

8 DR. RUBIN: Okay. Other comments as to  
9 what the facility needs to be held accountable for?  
10 Anybody? Yes.

11 DR. LAZARUS: You've said the MCP was off  
12 the table. Is it absolute fact that we could not say  
13 we think the MCP should be or recommend it be brought  
14 in to be part of this?

15 MR. AUGUSTINE: It's not exactly within our  
16 scope but I think it's, this board can make all the  
17 recommendations we want. That's something this board  
18 agreed upon. You could put a footnote at the end of  
19 the paper or recommendation saying we believe  
20 incentives should be aligned and I don't think you'll  
21 receive any agreement.

0227

1 DR. LAZARUS: It will be very hard to not  
2 make this bundle as narrow as possible without  
3 physician participation and alignment.

4 MR. AUGUSTINE: I think everyone, unless  
5 someone else feels otherwise, I believe we're all in  
6 agreement that incentives need to be aligned.

7 DR. RUBIN: Okay. What I think I would  
8 like to do is, it's about 7 of 3. I would like to  
9 adjourn until 3:10 and then we'll start up with the  
10 last piece of the design, talk about case-mix and leave  
11 some time for public comment and then next steps.

12 MR. AUGUSTINE: Again -- sorry.

13 DR. RUBIN: Go ahead.

14 MR. AUGUSTINE: Since, you know, I made the  
15 announcement earlier if there was anyone from the  
16 public, you know, out in the audience that would like  
17 to talk during the public comment session, please, I've  
18 got the paper right here, put your name down so we can  
19 have an idea how many people are interested.

20 (There was a break in the proceedings.)

21 DR. RUBIN: If we could take our seats and

0228

1 get back. If we could come in and take our seats, we

2 could get started. We might even be able to leave  
3 early so we could all beat the traffic home. All  
4 right. I think that the next, the last area that we  
5 want to talk about in terms of payment design is to  
6 define the unit of payment -- and, great, we're back  
7 on, the slides. Thank you.

8         So, the critical question, next slide, is  
9 what's the time span that we want to have covered by  
10 the payment, is it a single dialysis, is it a week, a  
11 month, per annum, whatever. And, I think that what you  
12 can see from the sort of the distillation of what the  
13 committee said this morning, are that there are the  
14 six -- I think it was -- yeah, the six things that  
15 people felt strongly about was that the time span  
16 needed to be sufficiently so that there was the ability  
17 to be flexible in a way that was consistent with both  
18 the patient's wishes and the clinical realities of  
19 treatment.

20         And, ideally we wanted to be able to have a  
21 level playing field relative to different modalities of  
0229

1 care and ultimately different sites of care, home  
2 versus center. So, if we can go to the next one. I  
3 think I have already gone through that. I think that  
4 the issue here is picking a time span or a unit of  
5 payment that makes sense and deals with some of the  
6 vagaries that we talked about at the end of the morning  
7 session, got a brief update earlier this afternoon and  
8 now we need to take a more careful look at. So, with  
9 that, why don't we continue.

10         DR. HIRTH: Okay. This repeats the slide  
11 you saw this morning that had the typo in it. In your  
12 notes the second bullet should read nearly 3 out of 10  
13 as opposed to 7 out of 10. So, the typo was repeated  
14 along with the slide. Essentially this will refresh  
15 where we were this morning. Looking at all dialysis,  
16 nearly 30 percent of patient months have some type of  
17 an event that might interrupt the full month of  
18 dialysis. Some of the common causes were  
19 hospitalization, start-up and death and we have more  
20 detail and data on those causes.

21         So, if we classify all patient months into  
0230

1 groups, the first two, that's 71 percent without a  
2 month, without an event, could be called up, classified  
3 into full month HD and full month PD. So, those are 71

4 percent of patients that had 12 to 14 treatments per  
5 month and had no indication in the data of being  
6 hospitalized or having any of these other identifiable  
7 events occur. The rest the slide really gives a much  
8 more detailed breakout in terms of what happens when  
9 there is an event.

10           And it's useful to compare that in terms of  
11 separately billables to the full month with no event.  
12 So, for example, the HD full month no event had about  
13 13 sessions per month on average and about \$900 in  
14 separately billable allowable charges. So on a per  
15 session basis that's \$69 of separately billables per  
16 month for those that had their full complement of  
17 treatments and did not have any type of an identified  
18 event. For PD a little bit of data, to go to Nancy's  
19 question from before the break.

20           The separately billables are only about a  
21 third as high so if you look at the full month PD  
0231

1 versus the full month HD it's only about \$300, partly  
2 because there are many different utilization patterns  
3 for things like EPO that are paid for and then the  
4 other drugs that are given orally to PD patients and  
5 therefore don't show up in the Medicare claims which in  
6 effect patients are paying for them.

7           In terms of the events, I'd really like to  
8 you focus on the first two, to start out with the  
9 hospitalization, that was the most common type of an  
10 event. The next most common was what we call the  
11 unexplained partial month. The unexplained partial  
12 months are those that had less than 12 -- but we did  
13 not identify a specific event.

14           So, what we speculate is that a mix of  
15 months where patients had skipped treatments, and  
16 possibly some people starting dialysis on a two times  
17 per week schedule rather than a three times per week  
18 schedule. That probably would account for most of  
19 those months. And, what's interesting about those top  
20 two categories is if you take a look at the separately  
21 billables per month, they're almost spot-on o the full  
0232

1 month, no event. So then when you divide that number  
2 through by a much smaller number of sessions, obviously  
3 on a first session basis it's much higher.

4           So, essentially the patients who are  
5 hospitalized or have short months for unexplained

6 reasons seem to still be getting sort of their full  
7 comment of separately billables for the month,  
8 essentially a catch-up phenomenon probably where if you  
9 skip some treatments, you have to catch up on your  
10 other, your lab tests and your other injectables. So  
11 that seems to be happening here. So, those on a per  
12 session basis, months that have those types of events  
13 are much more expensive.

14 MR. AUGUSTINE: Clinicians on the board my  
15 understanding is there's it may be explained by the  
16 fact when you're hospitalized the DRG kind of covers  
17 the EPO. They only get separately for it so there's no  
18 incentive for the hospital to provide EPO. They come  
19 back and just maybe double-up.

20 DR. WOLFE: That's what he said.

21 DR. HIRTH: Okay. Right.

0233

1 DR. LAZARUS: Are these all drugs, this  
2 charge, the service is drug; what are the charges?

3 DR. HIRTH: The same separately billables  
4 that we looked at before, just drugs and labs.

5 DR. LAZARUS: Drugs and labs and that's  
6 all?

7 DR. HIRTH: Right.

8 DR. LAZARUS: Emergency room.

9 DR. HIRTH: No. The same things we had  
10 been talking about in the earlier part of the  
11 presentation.

12 MS. CUELLAR: I just have a comment to the  
13 thing that you said that the patients are paying for it  
14 themselves. That's not necessarily true.

15 DR. HIRTH: They might have a secondary  
16 insurer.

17 DR. EGGERS: No. They may not be buying  
18 them at all and not taking the medication even though  
19 it's prescribed just because it's over the counter.

20 DR. HIRTH: We can't determine it here from  
21 the claims because we simply don't observe what they're

0234

1 doing.

2 MS. CUELLAR: Right. Right. So it's not  
3 necessarily that there's a substitute to the  
4 injectable.

5 DR. HIRTH: Right, right, right. The key  
6 is that Medicare is not paying for it.

7 MS. CUELLAR: Right.

8 DR. HIRTH: Okay. If you look at some of  
9 the other causes of short months, short terms, number  
10 of treatments, they don't have that pronounced of an  
11 impact on the separately billable costs on a per  
12 session basis. So, if you look, for example, at  
13 patients that transfer between facilities, the third  
14 category -- on average you'd have just a hair over two  
15 facilities per patient because the vast majority who  
16 switch are just going to be a two but there is  
17 occasionally somebody with more than two. So just as a  
18 rough guide. If you got a -- we haven't done this on a  
19 per patient level. It's on a per patient facility  
20 level for that.

21 So, as a rough guide if you double that

0235

1 number you get a 12.4 sessions per month so if you a  
2 little more than double it you're up to 13. And then  
3 you take the separately billables. If you double them  
4 and maybe a little hair more, you're right back at the  
5 \$900. And on a per session basis \$70 versus 69 for the  
6 full month HD so the patients who are switching  
7 facilities during a month seem to be consuming  
8 separately billables at kind of a comparable rate and  
9 attaining sort of the same level of treatments on  
10 average as patients who had a full month without any  
11 event.

12 What we haven't done is break, broken out  
13 to see, say if it's a transient dialysis case where you  
14 are traveling for a few treatments and then you come  
15 back to your home facility, do the separate, does the  
16 separately billables spending per session different in  
17 the transient facility versus your home facility where  
18 you might not be getting.

19 So, at least on average it looks like these  
20 patients over their whole month look pretty much like  
21 the full month HD patients. But that doesn't mean that

0236

1 if you look carefully at where they were getting within  
2 that month their separately billables you might not  
3 find some patterns.

4 So, the others are sort of intermediary. I  
5 won't spend a lot of the time talking about them. If  
6 you look at the last column, the first session amount,  
7 patients who die in the month have certainly higher  
8 spending on a per session basis. For the sessions that  
9 they did receive, then the no-eventful month patients,

10 kind of comparable to the hospitalization patients, on  
11 a per treatment basis, starting dialysis. Also, there  
12 seems to be a higher cost on a per session basis at  
13 start-up probably as you're getting their EPO dosing  
14 stabilized and getting their anemia under control.  
15 Those who receive transplants in the month actually  
16 look very similar on a per session basis to the full  
17 month, no events.

18         And any other training sessions I would  
19 imagine is somewhat dominated by PD relative to some of  
20 these other categories and that probably explains the  
21 lower first session. And, switching modality also  
0237

1 seems to be pretty comparable on a per session basis.  
2 So, essentially there are some types of months where it  
3 seems like prorating by time is a fairly  
4 straightforward thing to do and probably doesn't have  
5 any dramatic implications.

6         There are other types of events or other  
7 types of months where, say, if a patient is  
8 hospitalized for a week and you then prorate it, a  
9 monthly payment for that, to account for that week  
10 they're out of the hospital, that essentially you would  
11 be underpaying the facility for the separately  
12 billables that are being delivered because even though  
13 the patient is gone for part of the month and not  
14 getting all of their dialysis, they are getting what  
15 looks like a comparable amount of separately billables  
16 to those patients that were hospitalized. Any  
17 questions on that before I move on?

18         DR. BURKART: I just have a question  
19 regarding the data. In my personal practice, though  
20 this is anecdotally, maybe 5 to 10 percent of patients  
21 are on more frequent dialysis, so, daily in-center or  
0238

1 daily home, or four times a week dialysis. So, if you  
2 have a hemodialysis patient that is in one of those  
3 categories how is that picked up in this? For  
4 instance, if they're getting daily dialysis and they  
5 went two weeks as an outpatient and got 12 treatments  
6 or two and a half weeks, and, you know, ended up with  
7 15 treatments but, within hospitalized, are they  
8 recorded as an event or not an event? And then is  
9 that, is their dose of medication average still per  
10 month or per session; how do those patients get  
11 included or excluded in this stay?

12 DR. HIRTH: Well, this is one area where  
13 there's certainly a limitation in the data because we  
14 are based on Medicare claims and since Medicare only  
15 reimburses for three times a month, it's really  
16 difficult if not impossible to accurately observe which  
17 patients are getting more frequent hemodialysis than  
18 the schedule for which Medicare reimburses. So, they  
19 will be essentially mixed in with the three per week.  
20 They would look, to us they would look to just like the  
21 standard three times a week dose because that's the  
0239

1 number of paid sessions that they would be getting.

2 MR. AUGUSTINE: Let me clarify. We  
3 actually pay up to four at the contractor's discretion.

4 DR. EGGERS: That's right. So, in your  
5 case it would look to the data system like they were  
6 getting four times a week dialysis?

7 DR. BURKART: Yeah.

8 DR. HIRTH: So four times a week we would  
9 have a small amount. We restrict it to months with no  
10 more than 20 paid sessions. That wasn't a very  
11 important restriction, that's almost no months,  
12 in excess of twenty -- and for four times a week it  
13 would still be under that. It was a very small  
14 prevalence of months with more than 14 treatments.

15 MR. AUGUSTINE: So, John, what you're  
16 saying, what it's saying, if I can summarize correctly,  
17 that these patients may show up as four times every  
18 week but they may actually have three weeks of  
19 treatment four times a week and look like they didn't  
20 have an event when they really did and they were out in  
21 the hospital.

0240

1 DR. BURKART: Right, that was one, and then  
2 of course if we're going to the unit of payment is per  
3 treatment or per week or per month, you know, how would  
4 we deal with that, so.

5 DR. RUBIN: Right. Mr. Hirth?

6 DR. HIRTH: In terms of the with or without  
7 event, in this slide we actually, I think this goes to  
8 your question, we classify patients simply on whether  
9 or not they had an observed event. And, if you look at  
10 the dark green bars, pretty much trails off at 15  
11 treatments in terms of being discernible within the  
12 scale of the bar graph obviously in terms of having any  
13 substantial percentage of patients, 15 treatments per,

14 paid treatments per month is where the data kind of  
15 maxed out. So, that's among those that had no measured  
16 event.

17         Among those that had a measured event,  
18 that's the light gray bars and there we see as we  
19 expect the number of treatments is much more broadly  
20 distributed rather than being sort of tightly peaked in  
21 the 12 to 14 range. So, typically utilization of 12 to  
0241

1 14 represents about 79 percent of the months. So in  
2 terms of classification with or without event, it means  
3 there's still some, there's some people that are  
4 classified as having event that still have 12 to 14  
5 treatments per month.

6         So, that's a distinction between that. So,  
7 79 percent being in the 12 to 14 range versus the  
8 earlier pie chart which said 71 percent on a full month  
9 with no event. So, essentially there are about 8  
10 percent of patient months that are in the 12 to 14  
11 range but nonetheless have some measurable event in  
12 them. So you could be hospitalized for a short period  
13 of time in the month and still get 12 treatments. So,  
14 there, we separately classify based on whether or not  
15 you had an event and the number of treatments received.  
16 Because we don't infer that if you had 12 to 14  
17 sessions you necessarily didn't have an event.

18         And this just looks at the kind of the wide  
19 variation that occurs in months where there is an event  
20 as opposed to the very peak relationship in months  
21 where this is an event, just kind of makes the data on  
0242

1 the last slide a little more clear by putting the  
2 with-event bars in the discernable color on the slide  
3 as opposed to the gray background. And, I won't really  
4 say anything about this but this is just repeating the  
5 data that was in the table about three slides ago and  
6 looking at the different types of events that occur and  
7 what the separately billable payments are in months  
8 that have those events.

9         MS. RAY: Could you go back? Okay. Can  
10 you explain what the hospital 880 dollar bar means?  
11 I'm sorry. I would like some clarification.

12         DR. HIRTH: Okay. That's the same data  
13 that appeared on this slide, which is that in months in  
14 which a patient was hospitalized, what was the total  
15 separately billable drug and lab charges for that

16 patient. Yes.

17 DR. LAZARUS: Those events on there that  
18 occur in which the partial month had to be before the  
19 event, death or transplant, why, I can understand maybe  
20 in a death why there would be more maximum charges,  
21 maximum allowable charges but with a transplant I don't  
0243

1 understand why. They get called out of the blue. Why  
2 would that category have spent, what is it, \$403 per  
3 month? If you don't know they're going to be  
4 transplanted, they get called, the rest of the month  
5 they're gone so if all of those expenses had to occur  
6 before, why would that group of people have had those  
7 extra charges and extra services?

8 DR. WOLFE: They didn't have extra charges.

9 DR. HIRTH: The charges look exactly like  
10 the full month HD. They've got, on a per treatment  
11 basis it's \$65.

12 DR. EGGERS: That's half a month's worth of  
13 dialysis. I'm sorry.

14 DR. HIRTH: Yeah. They got on average 6.2  
15 sessions. If you divide that, divide the \$403 of  
16 separately billable charges they received for that part  
17 of the month until they got their transplant by the 6.2  
18 sessions, they got \$65 per treatment of separately  
19 billables compared to \$69 in the full month HD. So  
20 they look very similar.

21 DR. LAZARUS: I don't understand the chart  
0244

1 then. This is not extra charges, extra lab, extra  
2 drugs? This is just a different way you averaged it.

3 DR. HIRTH: This is just the separately  
4 billables that were billed by the dialysis facility and  
5 by other providers during that month.

6 DR. LAZARUS: I still don't understand why  
7 they would have had them.

8 DR. WOLFE: They aren't getting extra  
9 payments here. This is just typically if you get a  
10 transplant you're there for about half a month and the  
11 charges are half as much because of that. So, you're  
12 just accumulating charges at the regular rate until the  
13 transplant and then they stop.

14 DR. LAZARUS: This, at least as I interpret  
15 this, this was extra? No?

16 DR. WOLFE: No.

17 DR. LAZARUS: Okay.

18 DR. HIRTH: This is just your EPO, your  
19 Iron, your Vitamin D and your labs in this small amount  
20 of other services and supplies. So they're  
21 accumulating them at the exact same rate as the full  
0245

1 month, no event people.

2 DR. RUBIN: Just to follow-up, is your  
3 question what happens to all of those tests that are  
4 done that are separately billable to Medicare that  
5 relate to the process of transplantation and where they  
6 would show up?

7 DR. LAZARUS: Or any of the  
8 other categories.

9 DR. RUBIN: And that's somewhat surprising.

10 DR. EGGERS: What tests related to  
11 transplantation?

12 DR. RUBIN: Well, there's a whole slew of  
13 things.

14 DR. LAZARUS: A whole slew of things.

15 DR. RUBIN: Of the immunological tests that  
16 get done prior to a transplant.

17 DR. EGGERS: I thought that was part of the  
18 acquisition costs, they get billed, in a pass-through  
19 amount that the hospital adds onto the cost report.

20 DR. WOLFE: This is just the EPO, the Iron,  
21 the Vitamin D and the labs. That's what we're counting  
0246

1 in this particular slide.

2 MS. GREENSPAN: Not the transplant.

3 MR. AUGUSTINE: Just those top ten labs or  
4 all of them?

5 DR. WOLFE: The top 50.

6 DR. RUBIN: The top 50, okay. All right.  
7 So, in this final piece of the design sessions, I guess  
8 the fundamental question is, is there any more  
9 information that we need to informed choice as to the  
10 time unit for payment and are there any sort of  
11 unintended consequences of going or positive things  
12 about going to a unit of analysis longer than a single  
13 session?

14 DR. LAZARUS: I'll build a scenario for  
15 you. My fifty dollars, units, and I sign up for the  
16 bundle and I decide all my patients, I will dialyze  
17 them ten times a month, and on the day that I check my  
18 EKD I will be able to dialyze them six hours each. So  
19 I have excellent outcomes. I reduced my dialysis times

20 three per month. How are you going go from gaming the  
21 system? My answer is obviously is you have to measure  
0247

1 adequacy, you have to measure the full run every  
2 treatment. Now, not everybody can do that. Some of us  
3 can but not everybody can. But how are you going to  
4 keep from gaming the system when you a monthly --

5 MR. AUGUSTINE: Let me -- that incentive  
6 exists today.

7 DR. LAZARUS: No.

8 MR. AUGUSTINE: I mean you could, there's  
9 no real guard against ensuring people today.

10 DR. LAZARUS: Yeah, but I don't get any  
11 card punched about whether I dialyze 10 times or 13  
12 times or 8 times. I get paid for treatment.

13 MR. AUGUSTINE: All right. Okay. I got  
14 you, but, the one good thing, well, actually, the  
15 conditions wouldn't help out here because conditions  
16 are based on the KTRV.

17 DR. LAZARUS: You have to measure adequacy  
18 for every single dialysis, you have to you have a  
19 monthly KTRV.

20 MR. AUGUSTINE: I mean, just like with the  
21 MCP, which is somewhat contentious at times there is a  
0248

1 requirement that they put on there the number of visits  
2 or interactions with the beneficiary. There may A  
3 monthly bill for the provision of dialysis or  
4 management of dialysis and which included at least so  
5 many treatments.

6 DR. LAZARUS: Well, that still doesn't tell  
7 you that you are delivering adequacy. I have to  
8 measure adequacy. If you're going to do this you have  
9 to measure adequacy. I mean, it's like me saying well,  
10 I could say I did 13 dialysis treatments but I'll do  
11 one hour for 12 of them or ten of them or six of them.

12 MR. AUGUSTINE: One thing I want to remind  
13 everyone, if I am correct, if someone in the audience  
14 that knows, Pam, better than me, please say so, but the  
15 current composite rate pays for 13 BUNS, 13 adequacy  
16 measurements. Some people aren't doing that but they  
17 are being paid for it.

18 DR. LAZARUS: It's per quarter.

19 MS. CUELLAR: Quarter, quarter.

20 MR. AUGUSTINE: I'm glad I got corrected.

21 DR. LAZARUS: I'm sure it's a quarter.

0249

1 MS. CUELLAR: Yes.

2 MS. GREENSPAN: If you look in I think.

3 MS. CUELLAR: And that includes --

4 DR. RUBIN: Kris?

5 MS. ROBINSON: I have to agree with Mike  
6 because at the opening I said, you know, with the  
7 question what are the three key things the bundle must  
8 have measurement, measurement, measurement and if we  
9 don't measure, it's the patients who are going to lose  
10 out in the end. There's nothing wrong with putting it  
11 on a monthly rate but we've got to ensure that patients  
12 are being adequately treated during that month.

13 DR. RUBIN: Jay?

14 DR. WISH: If there's one alignment  
15 incentive more or less that exists in the current  
16 system, it's keeping people in their chairs and getting  
17 them to come to the unit, not in the hospital, not  
18 skipping treatments, getting them into the unit. I  
19 think if you had a wider bundle in terms of the unit of  
20 payment would misalign those incentives because the  
21 facility would get paid for the equivalent of 13

0250

1 treatments regardless; however addressing the second  
2 question, I think the bigger the bundle the more you  
3 could justify increasing the unit of payment to allow  
4 for experimentation for different types of dialysis in  
5 terms of more times a week or longer treatments or  
6 things like that.

7 I mean, if you look at the CHAR experience  
8 in France, I mean the longer treatments were clearly  
9 associated with total lower costs because the patients  
10 require fewer hypertensive medications, fewer phosphate  
11 binders, less in the way of EPO. So with a big bundle  
12 then I think you would encourage that kind of out of  
13 the box thinking and perhaps decrease lower costs and  
14 increase the margins from the facilities.

15 DR. RUBIN: Could you tell us what you mean  
16 by a big bundle versus a little bundle or --

17 DR. WISH: Well, a big bundle that included  
18 those things that CHAR, for instance, show could be  
19 saved, you know, not only EPO but the phosphate  
20 binders, the antihypertensives, a lot of the other  
21 things that end up costing the total system if not just

0251

1 the bundle part of the system.

2 DR. LAZARUS: You want to do this without  
3 the physician being able to consent?

4 DR. WISH: I'm sorry?

5 DR. LAZARUS: Do this without the physician  
6 being able to consent?

7 DR. WISH: Well, the one lack of alignment  
8 in the antihospitalization incentive is the physician  
9 who said it's almost aligned to keep people out of the  
10 hospital in chairs. The one person who is not in the  
11 current alignment is in fact the physician who  
12 potentially earns more money when the patient is in the  
13 hospital. So, I think in any system you have to align  
14 the physician, the current system or any proposed  
15 system.

16 DR. RUBIN: Paul, did you have a comment?

17 DR. EGGERS: Well, I actually was going to  
18 ask a question that Jay started to answer which is Mike  
19 has given a pretty good example of the downside, and I  
20 was trying to think of what are all the upsides. I  
21 mean, the ability to be more flexible, you know, we  
0252

1 sort of all mentioned that at the very beginning but if  
2 we thought of say, two options, one being it's still a  
3 payment per service but it's a larger bundle for the  
4 service, what is the added flexibility that could be  
5 done there, what that might get us. If you went to a  
6 weekly one, then what would be the advantage there.

7 And, I mean, I'm not a physician and I  
8 don't work in a dialysis facility so I hesitate to  
9 suggest what those might be but that's how I'd phrase  
10 the question, I guess. How would a medical director  
11 come and look at that and think, gee, if I had one  
12 payment per week for that patient, what could I do,  
13 that, you know, thinking outside the box.

14 DR. LAZARUS: I don't think as a physician  
15 we think in weeks. I don't think in weeks. I maybe  
16 think of months when I think of sessions but I  
17 certainly don't think in weeks. I don't know if other  
18 physicians here think differently. The other thing is  
19 we have to get away from months. As you calculate,  
20 many things that we need to calculate we need 13, 20  
21 and we need 13, 4 -- what am I trying to say?

0253

1 Four-week sessions, not 12 months. The months are  
2 different. They vary. If you're going to do this, you  
3 need 13 four-week sessions.

4 DR. RUBIN: Okay. Anybody, Dr. Burkart?

5 DR. BURKART: Well, how might you minimize  
6 some of the downside risk is if you actually had part  
7 of this pay-for-performance in effect. I mean, in your  
8 example, Mike, I mean, we could do that and we could  
9 get the bundle payment but if that happened, some of  
10 the other indicators for the patient, which I know you  
11 know, the hemoglobins might drop or the hospitalization  
12 might --

13 DR. LAZARUS: Just for EPO dose, though.

14 DR. BURKART: Yeah, the EPO dose. All of  
15 those other things might not be as good so if you are  
16 also if there was a pay-to-performance tied into this,  
17 you wouldn't want to be doing that because it would  
18 adversely affect your payment or you wouldn't get the  
19 extra, whatever way that goes.

20 MR. AUGUSTINE: Let me also add that the  
21 conditions even though that specific part where it  
0254

1 talks about having a minimum KTRV may be able to be  
2 gained. You know, I don't expect that would last for  
3 long before there would be a state surveyor in that  
4 facility looking at standards of care.

5 DR. LAZARUS: Where does it say per month  
6 in the standards of care? It doesn't say.

7 MR. AUGUSTINE: Well, I'll leave that up to  
8 them but I believe that there would be some issues that  
9 would arise very quickly and they would look into it.  
10 I don't know exactly how they would address it but --

11 DR. LAZARUS: It would much easier that you  
12 measure it properly.

13 DR. RUBIN: Tom?

14 MR. CANTOR: It just seems that the concept  
15 of the bundling is a responsibility, an increased  
16 responsibility for the recipient. And to go along with  
17 that has to be an increased accountability. And so we  
18 have to be cautious about taking away measures of  
19 accountability. For example, although it may seem  
20 burdensome to have the unit of measure be the session  
21 rather than time, it still is a measure of

0255

1 accountability which at least in my mind seems to be  
2 necessary because the balancing here that's trying to  
3 be done is to make provision for outside the box or  
4 innovative, new modes for caring for the patient but at  
5 the same time caution has to be exercised to not

6 abandon accountabilities.

7 MR. AUGUSTINE: One thing, I don't want,  
8 just like the situation we had today where paying  
9 policy drives practice patterns. I mean, I know  
10 there's a lot of talk out there about middle molecules  
11 and I'm getting way beyond my depth but about shorter,  
12 more frequent or maybe fewer but longer. I mean,  
13 there's a lot of discussion out there about what's the  
14 appropriate frequency and duration. And you know, I  
15 want to make sure we strike a good balance so that the  
16 payment policies are driving things but allowing people  
17 to innovate as they see fit.

18 DR. RUBIN: Nancy?

19 MS. RAY: Yeah. I'd also, would perhaps a  
20 monthly payment bundle be more conducive to including,  
21 I guess what we call non-ESRD services into the bundle,  
0256

1 like hemoglobin A1C which is, I guess, supposed to be  
2 done once every quarter --

3 DR. RUBIN: Right.

4 MS. RAY: -- or cholesterol tests or things  
5 like that. I mean, as we think about the timeframe of  
6 the bundle we should think about the non-ESRD services  
7 as well.

8 DR. OWEN: You know, Mike, I'm not  
9 dismissing your concerns because I think it's  
10 reasonable but I would argue that virtually every  
11 manager, you can come up with some sort of sinister  
12 behavior that would persuade that you that it wouldn't  
13 be reasonable to pursue, you know.

14 DR. LAZARUS: This is an easy one to do  
15 that.

16 DR. OWEN: Well, I'll give you another easy  
17 one, Mike, hemoglobin. You know, if I say fine, you  
18 know, I'm going to save somebody on EPO because I'm  
19 going to inject them full of antigens and give them  
20 lots of Vitamin C, and they'll get oxalosis six months  
21 from now. I'm not worried about it. I mean I would  
0257

1 say virtually everything you can think of you could  
2 think of some sort of sinister behavior. Maybe I'm  
3 being Pollyannish about this but I, you know, it  
4 doesn't dissuade me. I really have, I have trouble  
5 believing that en masse we're going to go have even a  
6 substantial minority behavior that's going to act like  
7 that. Might there be some jackass who is going to be?

8 Of course, there always is one but --

9 MR. AUGUSTINE: Get that in the transcript.

10 DR. OWEN: Oh, you can quote me in the  
11 transcript for that. Feel free to do so. But, you  
12 know, on the other hand, I think the advantages of  
13 having a payment of a month and the ability to be  
14 innovative in terms of how I offer care outweighs my  
15 concern about, you know, what's going to happen because  
16 of some bad behavior by a very small minority.

17 DR. LAZARUS: Well, I don't, I think Jay  
18 gave a better example. It's going to be those people  
19 en masse, we're not going to go after the aggressive --  
20 it's very easy to say, but look, I'm not going to chase  
21 that around. I got too much to do here. So, it will  
0258

1 be inadvertent, it will be minor. I guess my feeling  
2 is more than anything else, we have to have a better  
3 measure of dialysis adequacy, if we're going beyond a  
4 single session, a monthly measure.

5 DR. OWEN: Perhaps I'm misinterpreting what  
6 you describe. You know, what you are describing to me  
7 sounds like an ethical, moral issue and you can get the  
8 greatest group sitting around the table, you aren't  
9 going to be able to legislate or regulate that. That's  
10 something that's learned from your patients and that  
11 you acquire as you go through your professional  
12 training.

13 You know, again, maybe I'm dismissing  
14 something that's not there. You know, if I know as a  
15 practitioner and I describe a practitioner here as  
16 physician, nurses, allied health professionals all  
17 involved in the unit, that it is bad for my patient to  
18 miss mistreatment, I would hope that as a professional  
19 whatever sort of suffix I might have in my title that I  
20 will go after that patient.

21 DR. LAZARUS: There are a lot of doctors  
0259

1 that believe that two times per week dialysis is  
2 adequate.

3 DR. OWEN: Then that becomes an educational  
4 issue if that's the case. That's not a regulatory  
5 issue or payment issue.

6 DR. RUBIN: Well, let me sort of pose the  
7 question to you. If you are to expand the timeframe to  
8 a month, is there any more information that you would  
9 like that would make you more comfortable in doing

10 that? I mean, that's really, I mean, our assignment  
11 today is to give some homework to the folks at Michigan  
12 to find, you know, so that they can empower us to make  
13 good decisions as it relates to constructing this  
14 bundle. So, it seems to me that what we have seen  
15 presented is a description of the status quo which is  
16 payment per treatment.

17 And, so, my question to the group is if you  
18 think that we're going to expand the unit of time, are  
19 there, is there data that we need to make an  
20 intelligent choice? Mike's mentioned one of them a few  
21 times, which is we need to think of, see whether we can  
0260

1 get an adequate measure of, a good measure of dialysis  
2 adequacy. Are there other sorts of things that we want  
3 to have Bob Wolf and his colleagues look at.

4 MR. CANTOR: Bob, if it's possible to look  
5 at patients, to identify the patients that had as has  
6 been brought up two times per week historically and  
7 attract the hospitalizations and mortality on those  
8 patients.

9 MR. AUGUSTINE: I remember culling through  
10 a very large database and finding out of 50,000  
11 patients, I mean, not more than twenty or fifty, I mean  
12 not more than that were on two times a week.

13 MR. CANTOR: Well, that's what the current  
14 is.

15 MR. AUGUSTINE: It's quite rare and mainly  
16 those who still have -- again I defer to the  
17 clinician -- a residual function.

18 DR. RUBIN: Yes.

19 MS. GREENSPAN: Just one other comment  
20 about the missed treatments that I think is probably  
21 the truest thing that we would be concerned about. If  
0261

1 we use an historic base that includes the missed  
2 treatment ratios we have, reducing missed treatments,  
3 and that's the basis for the bundle, then reducing  
4 missed treatments would actually cost you money because  
5 you would have patients there using supplies and  
6 personnel. And, so, if you did it based on a month  
7 instead of on a per treatment basis and you reduced  
8 your number of missed treatments, you would actually  
9 get less for the treatments you did give. So that's  
10 not a very positive thing either.

11 DR. RUBIN: Okay. Anybody else have any

12 comments about information that we may require to go  
13 forward to expand the timeframe? I'm sorry. Go ahead.

14 MR. AUGUSTINE: The one thing I would like  
15 to understand better, I don't have all the answers and  
16 I would like to hear from some of you with regard to  
17 all these switches, all of these events, if you will,  
18 have, almost of them have end points except for  
19 hospitalization and unexplained and those are the ones  
20 where they go, they come back and then they do the  
21 catch-up. And depending on whether those services are  
0262

1 in the bundle or out of the bundle creates some weird  
2 incentives. And, I think we need to explore that a  
3 little bit further.

4 For example, if we pay a per diem rate,  
5 we're going to penalize those people who actually have  
6 patients who are frequently hospitalized; therefore,  
7 they may be less likely to want to care for them and  
8 there would be some type of cherrypicking in that  
9 regard. So, we may need to make some type of  
10 adjustment or consideration or accounting for those  
11 particular issues so that those facilities don't have  
12 that, can maybe do it through case-mix, I don't know,  
13 but there needs to be some type of consideration given.

14 DR. RUBIN: Well, more likely, though, the  
15 converse is true, which is if you pay people for a  
16 month, patient's in the hospital, there's sort of a  
17 tradeoff between not expending the resources for the  
18 extra treatment versus having to play catchup for the,  
19 what are now separately billables that don't appear  
20 that they get use utilized in the hospital as much, so  
21 I think those are --

0263

1 MR. AUGUSTINE: Per diem or not, right? I  
2 mean if you per diem you're taking away the days you're  
3 in the hospital.

4 DR. RUBIN: Well, the point is you can't  
5 linearly prorate if you are doing it on a monthly  
6 basis, and that would be, I think you can take that  
7 out.

8 DR. HIRTH: One approach might be to have  
9 to prorate the composition rate costs for the time  
10 they're away in the hospital but not prorate the part  
11 of the bundle that's going to pay for the things that  
12 are being added to it now.

13 DR. RUBIN: Sure. I mean, there are a lot

14 of approaches. That's what we're kind of looking at  
15 you to see what the data can give us or what we need in  
16 terms of the quality of the data. And I think the  
17 other piece is to the degree that you can, also that  
18 you can tease it out whether there are issues related  
19 to more frequent but shorter dialysis in terms of the  
20 positive things that come out of it. I know that there  
21 have been a lot of quasi-anecdotal stuff in the  
0264

1 literature in that regard. Dr. Eggers is running a,  
2 one day will be running a demo in that regard but he  
3 might have some information that would be helpful. Did  
4 I see your hand?

5 DR. LAZARUS: I just, I don't see it in  
6 here and it's probably not appropriate under the unit  
7 of time but are you going to discuss inclusion of  
8 vascular access; are we going to have a discussion on  
9 that?

10 DR. RUBIN: Yeah, that's actually at our  
11 second meeting, I think, we're looking at that. Okay.  
12 This is a little bit interesting. We're going to I  
13 think it's designed as a preview or a peak at case-mix  
14 adjustments. If we could go through the, to the next  
15 slide. Could you hit the next? Thanks. So, I guess  
16 the crew didn't quite get to that slide.

17 DR. WOLFE: I think that --

18 DR. RUBIN: All right. Well, let me, let  
19 me summarize from my memory. And I think basically the  
20 point was made by those people who talked about  
21 case-mix adjustments, that they needed to be good.

0265

1 They needed to be clinically relevant. Although nobody  
2 was impolite enough to be explicit, we ought to  
3 acknowledge that 800-pound gorilla that's in the room,  
4 which is not too many people were overwhelmed with the  
5 proposed case-mix adjusters in either the proposed rule  
6 or the final rule. And, so, I think -- and this is not  
7 news to you, I know.

8 So, I think that there are issues that  
9 concern people regarding the data, how the data that we  
10 do have might differ from the questions that were asked  
11 of you and CMS as it relates to the case-mix adjusters  
12 to the composite rate, and, how that, how that might,  
13 how we might go forward in this demonstration with  
14 either new or newly analyzed information that would  
15 give the committee confidence in our ability to

16 appropriately case-mix adjust.

17 DR. WOLFE: I think it is important to  
18 clarify just in case there are any residual concerns  
19 about this. The case-mix adjustment made for the  
20 composite rate was limited by the available data. We  
21 don't know what kind of services are provided

0266

1 differentially to different patients under the  
2 composite rate system because it has been paid on a  
3 flat basis.

4 What we could, the only data we had  
5 available there was from the cost reports which showed  
6 how many resources the facilities self-reported in  
7 this, we know, limited data system to report costs.  
8 And, based upon that we could see if those costs were  
9 associated with differences in average patient mix.  
10 Now, given those limitations and given what was done  
11 for the basic case-mix, and it was a basic case-mix  
12 with the intent of continuing to work on that, what  
13 we're talking about here is rolling in separately  
14 billable services.

15 And, you have seen a lot of information  
16 here. We know very, very accurately or much more  
17 accurately what separately billable services are  
18 provided patient by patient, month by month, moment by  
19 moment. We know whether they're associated with  
20 hospitalizations during the month. We know what kind  
21 of patients they are directly being given to. So, we

0267

1 will have much more information about which kinds of  
2 patients require more services amongst these that we're  
3 considering right now, EPO, Iron, Vitamin D and the lab  
4 services and other injectables. So, the ability to  
5 case-mix will be entirely different for this component  
6 of the bundle, in that we will be able to see patient  
7 by patient what kinds of patients require more  
8 services.

9 So, I think that whatever concerns might  
10 have might be in people's minds about that first  
11 case-mix adjustment, many of them don't carry over here  
12 and I think that you should think instead about what  
13 are the opportunities to come up with an appropriate  
14 case-mix adjustment based upon the data that we do  
15 have. And I will say that while we have much more data  
16 available, that's both a blessing and a complication.  
17 Because, they're then in my mind one of the important

18 things for us to sort out is the extent to which we  
19 case-mix adjust for what I will call or try and  
20 characterize as basic fundamental patient condition,  
21 that is, their fundamental medical status versus the  
0268

1 way they are responding to treatment and versus their  
2 compliance.

3 To the extent that both compliance and  
4 response to treatment are the consequence of what the  
5 facility does as opposed to what the patient brings to  
6 the facility, then perhaps it's ambiguous about whether  
7 you should adjust for that. Serial updates of patient  
8 status, I think it's very clear that we don't want to  
9 rely upon the patient condition for five years ago when  
10 they first started dialysis but at the same time let me  
11 go to the other extreme.

12 Suppose we use hospitalization last month  
13 as a measure of their comorbidity for this month, then  
14 the facilities that have high hospitalization rates  
15 would presumably be paid more because they have sicker  
16 patients. Is that a good incentive? The alignment of  
17 incentives becomes more problematic as you bring more  
18 and more proximate patient condition into the  
19 adjustment for the payment system.

20 So, although we have more data, that  
21 doesn't mean it's going to be an easy problem. I think  
0269

1 we will be able to come up with a better case-mix  
2 adjuster without any doubt but there will still be real  
3 important decisions about what is the appropriate level  
4 of case-mix adjustment. I think that may be partly  
5 what Paul was referring to although it goes beyond  
6 there. So, I do want to lay to rest the question of  
7 the data source. It is a different data source for the  
8 separately billables and it doesn't have the problems  
9 that we have of the data source before the limitations.  
10 At the same time, I think we'll have a different kind  
11 of problem to resolve and ones which are very  
12 complicated.

13 MR. AUGUSTINE: Then being complicated,  
14 let's err on the side as best as we can to let the  
15 community be able to reproduce many of the results, at  
16 least. We may not be able to share everything but at  
17 least they should be able to get somewhat close. And I  
18 do want to take you off the hook, and look, you know,  
19 because of the fact that KECC was receiving direction

20 from CMS and we were administering it as we saw fit  
21 under the law. So decisions that were made were CMS  
0270

1 decisions. One thing from developing payment systems  
2 in the past in the private sector is they tend to work  
3 best when they were iterative. You don't get it right  
4 with just one crack.

5 And so we received a significant amount of  
6 comments on the proposed rule. And myself and many  
7 others from the agency have read every single one of  
8 them and looked at the data and tried to incorporate  
9 all that we could in there and make it as fair as  
10 possible. And we expected this will not be the last  
11 iteration and we will continue. That's one reason why  
12 we're excited about being here today. It's better to  
13 work on something going forward together in that  
14 fashion having access to the analytical resources that  
15 we have as opposed to the rulemaking process where  
16 things are much more conservative.

17 DR. RUBIN: If I understood the thrust of  
18 your comment -- and jump in if I'm misstating it -- you  
19 have much more robust data on the separately billable  
20 piece but because for the composite rate the data  
21 sources that you use are the same ones that you've used  
0271

1 before, that's really not going to change in terms of  
2 your ability to deal with that.

3 And, so, I guess the question is, and this  
4 is, as I said earlier, we ought to acknowledge the data  
5 deficiencies, not that it's your fault or anybody's  
6 else's, just that we don't have what we might need. I  
7 mean, it would be great if we could do time and motion  
8 studies that are patient-specific in dialysis units,  
9 perhaps. That ain't going to happen between now and  
10 January '06 that I've seen.

11 But, my point here is, what do you think,  
12 will you be able to tell a relative contribution in  
13 terms of explanatory variables for both the case-mix,  
14 the composite rate component versus the separately  
15 billable component and then some degree as some of the  
16 work we did for the RPA, looking at patient size or the  
17 number of patients you need for these random variations  
18 to be somewhat mitigated.

19 DR. WOLFE: Bob, you bring up very good  
20 points that we do have a separate data source for the  
21 separately billable and for the composite rate. Our

0272

1 current thinking is that we would actually develop  
2 those separately, recognizing limitations of the  
3 composite rate bundling essentially, and then add them  
4 together to come up with an overall payment. We don't  
5 know if that's what CMS would find attractive in terms  
6 of implementation or not. That is certainly one of the  
7 proposals that we will be making to CMS. And it will  
8 also be very clear in both of those components the  
9 extent to which there are different kinds of impacts on  
10 different kinds of facilities and the extent to which  
11 we can explain the amount of variation at the facility  
12 level.

13 For the patient level we will only be able  
14 to do that on the separately billable side. And, we  
15 will certainly have much less predictive power at the  
16 patient level than we do at the facility level, because  
17 of the averaging effect at the facility level. And  
18 actually that is an important thing to keep in mind,  
19 the inability to predict exactly what happens patient  
20 by patient isn't as important as getting it right on  
21 average at the facility because on average the facility

0273

1 is what drives the economic viability of the facility  
2 delivering the services.

3 I don't know if it is worthwhile to spend  
4 just a little bit of time, I don't know how familiar  
5 people are with the basic case-mix adjustment to the  
6 composite rate system. It has three components.  
7 They're shown on the slide here. They're not in your  
8 handout. If you want to look at it, there is an age  
9 component, which is shown graphically there as  
10 multipliers of the basic composite rate and there are  
11 different multipliers for different age groups. You  
12 can see that the multiplier goes up for the older ages,  
13 above age 60, recognizing that those folks cost more  
14 per session.

15 And there's also a body surface area or  
16 body size component which is the major effect within  
17 this case-mix adjustment. Larger patients cost more,  
18 and this is consistent with the actual practice of up  
19 to four hours of dialysis versus three hours of  
20 dialysis for the small versus the larger patients, and  
21 also the very different kinds of dialyzers that are

0274

1 used for the larger patients compared to the smaller

2 patients.

3 DR. RUBIN: Excuse me.

4 DR. WOLFE: So this is a well understood  
5 effect. The body mass index is the third component  
6 representing frail patients at the low end. A cutoff  
7 of 18 and a half was used. This is consistent with the  
8 standard definitions of malnourished and it was also  
9 based upon the data that this was the cutoff that  
10 appeared to be the most predictive of costs based upon  
11 the basic case-mix.

12 So, nearly all of the components here have  
13 a lot of face validity and agree with well with the  
14 general understanding of what drives costs. I believe,  
15 at least this is the feed back that I've gotten. There  
16 has been a concern expressed about the 18 to  
17 44-year-old multiplier, that it seems large to many  
18 people. I will say that it is what the data showed and  
19 to the extent that there are plausible explanations for  
20 it may be that people simply haven't thought about some  
21 of those explanations.

0275

1 For example, those patients do have a much  
2 higher fraction with AIDS. I think it's close to 8  
3 percent. They have a much higher fraction with drug  
4 dependence, about 10 or 11 percent, I believe. They're  
5 twice as likely, as older patients, to be skipping  
6 sessions, which means that you miss the opportunity of  
7 getting the payments for them by filling those chairs.

8 So, there are reasons why those costs are  
9 higher. I don't know the extent to which those  
10 actually explain why it is as high as it is but there  
11 is medical plausibility to much of the relationship  
12 that we see here. So, Bob has pointed out some of the  
13 concerns raised about this but at the same time there  
14 is a lot of plausibility to it. It will really makes  
15 sense to a large extent except for one or two concerns  
16 that people have and many of those, and even those may  
17 be explainable and understandable.

18 DR. RUBIN: I was afraid what I just saw  
19 happen was going to happen. We only have about seven  
20 more minutes for this session and I really want to talk  
21 about what's going forward but clearly some people have

0276

1 an urge to say something in response to what you said.  
2 So, well, why don't we just, the first people that  
3 pressed the buzzer I think were Paul Eggers, Mike

4 Lazarus and Bill Owen and then why don't we move on.

5 DR. EGGERS: Yeah, I'll be very brief. I'm  
6 assuming that given all the work that went into this  
7 that in a bundled payment you pretty much live with  
8 this, this adjustment for that part, the composite  
9 rate. I mean, that would be my assumption and that the  
10 additional amount would be, as you point out, with  
11 individual level data and stuff you would be able to  
12 adjust on that.

13 Again thinking again outside of the box a  
14 little bit here, the effect of covariates might differ  
15 depending on the, what do we call it, the length of  
16 time that we're looking at, so that my hypothesis would  
17 be that age, for instance, wouldn't affect much of a  
18 single session but it might affect over a week or a  
19 month or something like that. So, just kind of think  
20 about that a little bit in terms of modelling. You  
21 might have two or three different models depending on  
0277

1 one for a per session treatment, one for a week and one  
2 for a month.

3 MR. AUGUSTINE: Well, all this could  
4 change. I mean, even if we go to an expanded bundle  
5 these numbers would change because there may be an  
6 interaction between EPO and some of these composite  
7 level data as well. For example, let's say that  
8 African-American males tend to be younger and tend to  
9 be the ones that skip -- I don't know if that's true  
10 but just as an example -- there could be some  
11 correlation there, that would make these numbers change  
12 in an expanded bundle environment. And that's  
13 something we need to be aware of.

14 The good news is, is that, as Bob stated  
15 earlier, when we have patient level data that's much  
16 more predictive we will explain much more variation and  
17 the variation that would be explained by this  
18 additional information would overwhelm the information  
19 in many regards because it's not near as predictive  
20 information from the composite rate adjustment.

21 DR. LAZARUS: Could you put the slide back  
0278

1 up, Bob? I don't think there's a clinician in this  
2 room that will look at that chart and believe the  
3 69-year-old costs that much less to care for than an 18  
4 to 44-year-old. I mean, I just have real problems as a  
5 clinician taking care of patients for 35 years

6 believing that despite the fact that there might be 2  
7 percent of patients with AIDS and some --  
8 DR. WOLFE: 8 percent.  
9 DR. LAZARUS: No, not in my population. I  
10 don't know where you got that number from but I can  
11 tell you mine is 2 percent. So I have to disagree with  
12 the number. But it's a small population, but the  
13 outcome, and better people, by the way, get better  
14 outcomes. They clearly are better. There have been  
15 numerous studies that have shown that people do better.  
16 They're less -- to take care of. The end result of  
17 your case-mix adjustment is shown in the example that I  
18 keep bringing up is that an 18-year-old male gets  
19 reimbursed \$170 and an 82-year-old malnourished woman  
20 gets \$140.

21 But if you take a 69-year-old woman with a  
0279  
1 body mass index of 19, it goes down to \$106, with the  
2 model. That will drive behavior in the wrong direction  
3 for patients, for CMS, and for everybody. Facilities  
4 will not take 69-year-old malnourished ladies of which  
5 they get \$106 when they can get an 18-year-old male,  
6 whether he misses or not, they get \$170. It will drive  
7 behavior in the wrong direction. Well, you may not  
8 find it but we'll go out and look for it. What will  
9 happen is and maybe if that's what you want to happen  
10 we'll stop taking the care of the frail elderly and I  
11 don't think that's what anybody wants but this model  
12 will drive that behavior.

13 DR. RUBIN: Bill?

14 DR. OWEN: I would just like to underscore  
15 what Bob had raised earlier and is for me a bit of a  
16 cautionary note, and, my unease about case-mix  
17 adjustment as schizophrenic as I might sound albeit I  
18 embrace it is the potential to compensate for an  
19 absence of responsiveness, which is to say I've got  
20 something that is manageable and because I have shown  
21 an association to cost, I make an assumption of

0280  
1 causality, almost protopathic bias and then I  
2 compensate the provider for that and the incentive is  
3 lost for the provider to fix it.

4 So, for example, I say, well, I've got an  
5 older -- well, we have the chart down but I've got an  
6 older person and they're diabetic and they have poor  
7 vasculature and they're more likely to have a graft and

8 their costs are going to be higher so I'm going to pay  
9 them more; therefore, I've lost the incentive, the  
10 drive, to go back and fix it. And I have seen  
11 healthcare systems outside of the U.S. where they tried  
12 to do case-mix adjustment and the cautionary note on  
13 that is that they reimbursed inadvertently not very  
14 aggressive interventions to improve things.

15 MR. AUGUSTINE: Let me, that's one of the  
16 major cruxes of case-mix, what's a priori and what  
17 happens during the session. And I do know there's,  
18 that there are some proposals to the change the  
19 information we get from hospitals to include whether or  
20 not something happened during the stay or not -- I read  
21 about that recently -- which would help us in our  
0281

1 case-mix activities. But those points are quite valid.

2 DR. RUBIN: Would, having said what you  
3 said, do you have a proposal to the KECC people as to  
4 how to deal with the issue?

5 DR. OWEN: You know, unencumbered by any  
6 knowledge I would say as a first point to try to  
7 categorize what their profiling is being constitutive  
8 to the patient, things that I have absolutely no  
9 control over versus those that arguably are things that  
10 are manageable. So, if somebody's got HIV, I can't  
11 change that. If somebody is skinny and malnourished,  
12 I'm going to argue that maybe that is something that  
13 can be adjusted. I can't change someone's age.

14 MR. AUGUSTINE: But you may not control it  
15 completely but you can mitigate through proper  
16 management an MI patient or if you were managing the  
17 patient.

18 DR. OWEN: If I'm managing the patient,  
19 yes, but, you know, you know, things that you know,  
20 that God and my parents gave me arguably I can't change  
21 too much but things that are related to, that might be  
0282

1 influenced by process of care, I got a lot of concern  
2 about doing case-mix adjustment on them.

3 MR. AUGUSTINE: Well, what would you say,  
4 all right, let me, let's say you case-mix adjust for  
5 major comorbidities that affect these beneficiaries,  
6 what would be your reaction to that?

7 DR. OWEN: You know, I got some unease  
8 about it. You know, let me give you by example and  
9 that is, you know, the older patient who's had an MI

10 and has AFCHF clearly costs more so I'm going to pay  
11 him more. Well, you know, maybe I am in doing that  
12 driving away the initiative, the incentive, the  
13 innovation to try more aggressive things to manage that  
14 congestive heart failure.

15         You know, maybe I'm paying you to not think  
16 about using a beta blocker in that person. I don't  
17 know. It's a very difficult, it's obviously a  
18 difficult problem but I can see a circumstance where we  
19 say we've got these associations and we can identify a  
20 chain of logic to account for why they cost more so  
21 let's go ahead and pay for it. And, in doing so, we've  
0283

1 lost the incentive to have the aggressive initiative to  
2 try to manage it. As Paul and I were discussing  
3 earlier, in health service you get one check. You  
4 manage it. You make it work. In international health  
5 systems you get one check; you make it work.

6         MR. AUGUSTINE: Right.

7         DR. OWEN: And, you know, but that's a kind  
8 of an extreme version but I'm saying, you know, maybe  
9 we need to be, have a little caution about how much we  
10 allow case-mix adjustment to drive what we pay for.

11         DR. RUBIN: Well, just to again, let's come  
12 back to the major point here, everything you say would  
13 be a lot more acceptable to me if what we were doing  
14 was the demonstration that's about to get underway,  
15 which is a capitated, I'm responsible for every single  
16 cost and I have, I do get one check and I can divvy it  
17 out. That's not what this is. This is facility  
18 composite rate separately billable drugs and maybe some  
19 other things that we want to throw into the mix  
20 depending on future deliberations. It's not Jane falls  
21 down, breaks her arm and we got to take care of it.

0284

1         DR. OWEN: Right.

2         DR. RUBIN: And so, it's a very, very  
3 different question. And, I think we're, there are a  
4 couple of issues. Number one, I completely agree with  
5 Mike Lazarus and in fact I believe I was one of the  
6 people that called him when I read the thing in the  
7 Federal Register, that this, you know, this just didn't  
8 make any sense.

9         DR. OWEN: You did a logic check.

10         DR. RUBIN: But the problem is it's not,  
11 it's not necessarily seen as a problem in terms of the

12 data, it's the correct data isn't available. And, so,  
13 we could talk about the bureaucratic process and all of  
14 that and I have been there and sometimes you kind of  
15 make do but the point here is we have an opportunity to  
16 say what we want to put in, we ought to identify what  
17 we want to leave out and we ought to tag it and say,  
18 yeah, the data is terrible but we kind of think that  
19 this is something we want to do and it's better than  
20 doing nothing or whatever.

21 But, we shouldn't place too great a burden  
0285

1 on the information system on the one hand but on the  
2 other hand we need to be honest about what the heck  
3 we're measuring. And so what I'm going to be a big  
4 proponent of going forward is transparency, and let's  
5 be clear about what our assumptions are, and then we  
6 can see what our case for risk is and, you know, as  
7 I've said before, we don't want to throw a party that  
8 nobody comes to.

9 MR. AUGUSTINE: Bill, one following comment  
10 after that wonderful discussion, is that with case-mix  
11 you run so much risk, if you do it, if you don't do it,  
12 especially in an expanded bundle environment, you're  
13 going to have --

14 DR. OWEN: Nobody will show up.

15 MR. AUGUSTINE: -- serious cherrypicking.

16 DR. OWEN: Uh-huh.

17 MR. AUGUSTINE: So, it's one of those  
18 issues where the good outweighs the bad. And you try  
19 to guard against those type of utilization patterns  
20 with, for example, pay-for-performance and other means.  
21 It's a balancing act. There is no perfect payment

0286

1 system. There are a lot of things that you try to  
2 weigh against the middle and the middle being the  
3 patient care focus, and that's really kind of what we  
4 continue to talk about.

5 DR. RUBIN: Well, try as we might we  
6 thought we would get all of the people in the public to  
7 leave because they wouldn't find this stimulating  
8 enough but that's off the record.

9 DR. EGGERS: They looked outside and it was  
10 raining so this was just as good.

11 DR. RUBIN: And CMS made the mistake of  
12 having coffee available but in any event we have  
13 reached the time in the agenda that those people who

14 have patiently been listening to the folks over here  
15 say things now can unburden themselves with what  
16 they're been keeping pent up.

17 I must say that we twice tried to figure  
18 out how much time to allocate by asking people to  
19 identify themselves in advance. That failed, so, what  
20 we're going to do is, this is going to be, this is  
21 people's opportunity. If nobody steps up to the mike,  
0287

1 we're going to forge on and my guess is that no one in  
2 this room will be unhappy if we finish early.

3 So, is there anybody that would like to  
4 make a comment regarding, aside from the people on the  
5 committee, that would like to make a comment? I would  
6 like for you to identify yourself because we are  
7 keeping minutes and keep your comment to five minutes  
8 or less.

9 DR. CRONIN: I have a comment and question.  
10 My name is Dick Cronin and I am the medical director of  
11 the American Renal Association. I have one philosophic  
12 comment which has been danced around a little bit here  
13 today. And that's that you have to be very careful  
14 that in bundling payment you do not fragment the  
15 patient and make their lives more difficult. And  
16 that's a very easy thing to do. And I have been an  
17 everyday nephrologist as well and they live hard lives  
18 and we can't make them harder. I had a question for  
19 Dr. Wolf. Do you have the ability to take the MAC data  
20 and to correlate that with comorbidities and ICDM-9  
21 codes or ICD-9 codes?

0288

1 DR. WOLFE: We do for separately billable  
2 services.

3 DR. CRONIN: Uh-huh. Okay.

4 DR. WOLFE: And we can do that both based  
5 upon conditions of the patient at the time of  
6 initiation of dialysis and conditions that occurred  
7 during hospitalizations and diagnoses that are made in  
8 the doctor's office.

9 DR. CRONIN: That seems to me that might be  
10 a valuable tool.

11 DR. WOLFE: Absolutely. Thank you.

12 DR. RUBIN: Anybody else care to make a  
13 comment or ask a question? Dr. Pereira?

14 DR. PEREIRA: Brian Pereira. I just wanted  
15 to bring up an issue that Bill Owen raised with me

16 today that I think very important for the Committee to  
17 address. The question is, are we trying to manage ESRD  
18 in the patient or the patient with ESRD. And  
19 oftentimes we talk about the clinical practice  
20 guidelines. Well, the National Kidney Foundation KDOQI  
21 initially dealt with issues which were largely specific  
0289

1 for dialysis such as vascular access, dialysis adequacy  
2 and so on, anemia being one which wasn't.

3 The newer guidelines have been now looking  
4 at issues such as how do you manage lipid  
5 abnormalities, how do you manage diabetes, blood  
6 pressure and so on. So, I think there is a wealth of  
7 information that is coming into the domain of kidney  
8 disease wherein we are looking at how do we treat the  
9 patient rather than just treat ESRD.

10 So, as we go forward I think the Committee  
11 needs to answer this first. This the first fork in the  
12 road. The next fork in the road is, are we going to  
13 bundle it based on modality or not because that's where  
14 we start providing incentives, financial incentives to  
15 choose a modality if you have a one size fits all.

16 The third step or the fork in the road  
17 would be what should be and should not be included in  
18 the bundle and you have discussed that quite elegantly.  
19 After that you go to what's the unit of payment and  
20 then you start looking at case-mix and other adjusters  
21 and then further down the road whether you're going to  
0290

1 do it at the unit level or the patient level, look at  
2 the issues that Mike Lazarus brought up about  
3 cherry-picking of patients.

4 And then finally as you start closing the  
5 loop the issue of quality of care, a feedback loop and  
6 what is the frequency of updates. So this is just a  
7 view from the bleachers as to how the roadmap for the  
8 discussion could be structured as we go down this path.

9 MR. AUGUSTINE: Thank you, Dr. Pereira.

10 DR. RUBIN: Anyone, anyone else? Okay.  
11 This is your last chance. Fine. If we could -- could  
12 you just keep circling here? Here we go. For our next  
13 meeting, what we are going to do is look at the three  
14 things we talked about today. We will get feedback  
15 from the University of Michigan for what they thought  
16 they heard us asking for. We will get feedback from  
17 CMS staff who have been interspersed around the room

18 and hopefully diligently taking notes and they'll give  
19 us some feedback about what they think they heard us  
20 asking for. We'll amalgamate those two lists,  
21 circulate them to the Committee to make sure that the  
0291

1 Committee thinks that that's what it was we were asking  
2 for. And, hopefully all of that will be done prior to  
3 our next meeting.

4 In addition, we're going to be discussing  
5 case-mix adjustment, issues for outliers, and begin a  
6 discussion on quality incentives and  
7 pay-for-performance. I would urge everybody on the  
8 Committee that has things that they want to make sure  
9 we present analysis and/or data on regarding those  
10 issues to contact, use the contact numbers that Brady  
11 Augustine mentioned early at the outset of this  
12 presentation or, if you're like me, you're a little  
13 challenged in that regard.

14 We all got e-mails from either Pam Kelly or  
15 Heather Grimsley and just pull it out and hit the reply  
16 button and I'm sure that they will make sure it gets to  
17 the right people. And they just cringed in their seats  
18 because I'm not sure that was on the program but that's  
19 okay.

20 The third meeting will be to review the  
21 payment system design that we come up with at the  
0292

1 second meeting. We want to talk a little bit about the  
2 update and what it should include, to some degree the  
3 methodology, although my guess is that the  
4 methodologies are reasonably well-trodden by Nancy and  
5 her colleagues, since they have done this for every  
6 other PPS system.

7 And then we want to look at the  
8 demonstration design and the plan. And, that will  
9 include the quality incentives and pay-for-performance.  
10 We will send e-mails out to schedule. My preference  
11 would be to schedule both the second and the third  
12 meeting in the next couple of weeks since most of us  
13 have calendars that are terrible and the sooner we can  
14 do that, the better.

15 When we, when I talked with Brady earlier  
16 in the month, I think what we're looking for is a  
17 second meeting sometime end of March, beginning of  
18 April, and a third meeting sometime in the second part  
19 of May. And, so, we'll send you a preference sheet and

20 hopefully we can get everybody here. I don't have  
21 anything else. Do you.

0293

1 MR. AUGUSTINE: The only thing I have --  
2 Paul, go ahead and I'll finish off.

3 DR. EGGERS: Well, as usual I'm sort of out  
4 of sync with we were actually talking about but just a  
5 comment to Bob Wolf is I have been thinking quite a bit  
6 about this business of using the bill data to identify  
7 adverse events, you know, that we have been  
8 characterizing the population and, you know, if it's  
9 too close to the time then it's, you know, adverse  
10 incentives. You might consider taking the approach  
11 that's very, very similar or maybe even exactly the  
12 same as the Medicare Plus Choice in which you use the  
13 prior year and characterize the patient and that data  
14 may already be available and accessible to you on the  
15 ERSD population.

16 DR. WOLFE: Thank you. We have done that  
17 kind of analysis also.

18 DR. EGGERS: Okay.

19 DR. WOLFE: And I think that that's a very  
20 good option. It is more complicated but certainly we  
21 already have the model for it.

0294

1 DR. EGGERS: Well, but it also is, you  
2 know, there is also sort of innocence by association or  
3 guilt by association by using something that people  
4 know from another venue.

5 DR. WOLFE: Thank you.

6 MR. AUGUSTINE: All right. Well, let me  
7 follow with one comment. I don't want this to be the  
8 last of our discussions. I don't know how it works  
9 with -- in case someone on the board asks someone else  
10 on the board a question.

11 MR. BACHOFER: They can talk amongst  
12 themselves.

13 MR. AUGUSTINE: So --

14 DR. WOLFE: It's not like a jury.

15 MS. GREENSPAN: A jury.

16 MR. AUGUSTINE: So, I mean, if people have  
17 questions and would like to discuss items, all I ask is  
18 that verbally we would include the entire board on any  
19 of this, on any e-mails even though most, some of us it  
20 would be a little extra bit of a hassle just for the  
21 sense of transparency I would like to err on the side

0295

1 of caution in that regard. As well, the last item of  
2 the day is, like I discussed earlier, we at CMS are  
3 always interested in continued quality improvement.  
4 And we have included an evaluation form. If there are  
5 ways that this meeting could be improved, please feel  
6 free to let us know and you can leave it with myself or  
7 with Linda.

8 MS. GRIMSLEY: Mine's blue.

9 MR. AUGUSTINE: Oh, blue? Mine's white.  
10 Yours are blue.

11 DR. RUBIN: That's because we want to know  
12 what you said.

13 MR. AUGUSTINE: Oh. So much for anonymous  
14 surveys, right? Just fill them out, leave them with  
15 myself or Linda Magno or Bob and we'll make sure that  
16 they get addressed. And that's it. Anyone else? Oh,  
17 parking.

18 MS. MAGNO: If you self-parked here, stop  
19 at the desk on the way out and pick up a card from the  
20 meeting. It's just an organizer that discounts the  
21 parking. That's it. It looks like this.

0296

1 MR. AUGUSTINE: All right. Looks like we  
2 are adjourned.

3 (Meeting concluded at 4:31 p.m.)  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21

0297

1 State of Maryland

2 Baltimore County, to wit:

3 I, ROBERT A. SHOCKET, a Notary Public of  
4 the State of Maryland, County of Baltimore, do hereby  
5 certify that the within-named proceedings personally  
6 took place before me at the time and place herein set  
7 out.

8 I further certify that the proceedings were  
9 recorded stenographically by me and this transcript is  
10 a true record of the proceedings.

11 I further certify that I am not of counsel  
12 to any of the parties, nor in any way interested in the  
13 outcome of this action.

14 As witness my hand and notarial seal this  
15 1st day of March, 2005.

16

17 \_\_\_\_\_  
18 Robert A. Shocket,  
19 Notary Public

20 My Commission Expires:

21 November 1, 2006